

1

2 PUBLIC MEETING TO DISCUSS THE DEVELOPMENT OF A LIST OF

3 PRE-DSHEA DIETARY INGREDIENTS

4 Conducted by Cara Welch, Senior Advisor

5 Tuesday, October 3, 2017

6 8:32 a.m.

7

8

9

10 Center for Food Safety and Applied Nutrition

11 U.S. Food and Drug Administration

12 Wiley Auditorium

13 5001 Campus Drive

14 College Park, MD 20740

15

16

17

18

19 Reported by: Natalia Thomas

20

21

22

1 A P P E A R A N C E S

2
3 Cara Welch, Senior Advisor

4 Office of Dietary Supplement Programs

5 Center for Food Safety and Applied Nutrition

6 FDA

7 Dr. Stephen Ostroff, Deputy Commissioner

8 Foods & Veterinary Medicine

9 FDA

10 Steve Tave, Director

11 Office of Dietary Supplement Programs

12 Center for Food Safety and Applied Nutrition

13 FDA

14 Robert Durkin, Deputy Director

15 Office of Dietary Supplement Programs

16 Center for Food Safety and Applied Nutrition

17 FDA

18 Loren Israelsen, President

19 United Natural Products Alliance

20

21

22

1 Joe Betz, PhD, Director
2 ODS Analytical Methods and Reference Materials Program
3 Office of Dietary Supplements
4 National Institutes of Health
5 Michael McGuffin, President
6 American Herbal Products Association
7 Duffy Mackay, ND, Senior Vice President
8 Scientific & Regulatory Affairs
9 Council for Responsible Nutrition
10 Dr. Pieter Cohen, Associate Professor of Medicine
11 Harvard Medical School
12 Laura MacCleery, Director of Regulatory Affairs
13 Center for Science in the Public Interest
14 Vasilios Frankos
15 Herbalife International
16 Jack Henningfield
17 PinneyAssociates
18 Kristi Muldoon Jacobs
19 USP
20 Harry Rice
21 GOED

Page 4

1 George Paraskevakos
2 International Probiotics Association
3 McClain Haddow
4 Upstream Consulting
5 Scott Polisky
6 Polisky Law Firm
7 Susan Brienza
8 Ryley Carlock & Applewhite
9 Gabriel Giancaspro
10 United States Pharmacopeia
11 Daniel Fabricant, PhD, Executive Director and CEO
12 Natural Products Association
13 Stephanie Scarmo, PhD, MPH, Officer
14 Health Care Products Project
15 The Pew Charitable Trusts
16 Jay Sirois, PhD, Senior Director
17 Regulatory & Scientific Affairs
18 Consumer Healthcare Products Association
19 Chuck Bell, Programs Director
20 Consumers Union
21 Brian Frisby

Page 5

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20

Sibyl Swift

FDA/ODSP

Ashish Talati

Amin Talati & Upadhye

Alissa Jijon

United States Pharmacopeia

21

22

Page 6

1 C O N T E N T S

2

3 SPEAKER	PAGE
4 Cara Welch	7
5 Dr. Stephen Ostroff	11
6 Steve Tave	16
7 Robert Durkin	38
8 Loren Israelsen	40
9 Joe Betz	54
10 Michael McGuffin	66
11 Duffy Mackay	78
12 Pieter Cohen	91
13 Cara Welch	161
14 Daniel Fabricant	164
15 Stephanie Scarmo	177
16 Laura MacCleery	184
17 Jay Sirois	197
18 Chuck Bell	208
19 Steve Tave	265

20

21

22

Page 7

1 PROCEEDINGS

2 DR. WELCH: Let's try that again. Better?

3 All right.

4 So good morning, everyone. For those who
5 missed the first part, my name is Cara Welch with the
6 Office of Dietary Supplement Programs. This meeting is
7 being webcast and transcribed and will be posted on
8 FDA's website when completed. I'm not sure the
9 timeline on the transcription of being posted. We try
10 to get them done as soon as possible, but it could take
11 a couple weeks.

12 If you're interested in the transcription, I
13 would suggest you monitor the FDA meeting page that
14 announced this meeting. And that -- it will be posted
15 there.

16 To ensure our webcast participants can hear,
17 please be sure to speak your questions and your answers
18 and your presentations clearly into the microphone. If
19 it's not spoken into the microphone, they have no

20 chance of hearing.

21 And then also for our webcast participants, if

22 you have a question to ask during the Q&A session, you

Page 8

1 are all muted. So you'll have to type your questions
2 in, and we'll have staff monitoring the webcast to ask
3 the questions on your behalf.

4 Restrooms. As you exit the auditorium at the
5 top, both the men's and women's restrooms are located
6 down the corridor on your right.

7 Wi-Fi. For those who have not yet asked, we
8 do not have Wi-Fi connection available. My apologies.

9 Breaks and lunch. We have a couple short
10 breaks and a lunch break scheduled throughout the day.

11 Snacks and beverages are available in the Wiley
12 building cafe, known as Ms. T's Cafe. It's located
13 outside the front entrance of the building. You'll go
14 to the left when you exit out the front doors.

15 And even though we didn't plan this in
16 advance, we also have CFSAN's fall food court available
17 today. That is some food vendors. Three or four food
18 vendors will be available in the courtyard outside of
19 the auditorium. That courtyard is actually just

20 outside the auditorium external wall; however, please
21 only use the front entrance to exit and enter the
22 doors. I'm not quite sure if you go out those back

Page 9

1 doors what will happen, but let's not test that today.

2 Also, please be sure to wear your nametags
3 because you will have to come in through that front
4 exit and go through security each time.

5 Also, because of our fall food court, you may
6 have noticed there are some cords extending from the
7 auditorium out the back door. Please be careful. They
8 have been taped down, but we don't want anyone to trip.

9 The folders. You were all provided a folder
10 when you checked in at the registration desk. The
11 webcast participants received it by email. That folder
12 has some documents helpful for today. It has today's
13 agenda, slightly updated from what was posted online
14 earlier. It has the list of persons that are making
15 public comment during the morning and the afternoon
16 public comments session. It has the bios for our
17 presenters, both the FDA and the panelists.

18 And it has the Federal Register Notice. And

19 on that I would just make note that we do have a
20 comments session that is open. You can submit written
21 comments to the docket. The deadline for that is
22 December 4. So you have a couple months to submit

Page 10

1 comments to the docket.

2 Another reminder -- there are no food or
3 drinks allowed in this room.

4 For media and press questions, we have two
5 communications staff available. I believe both of them
6 are outside the room right now. But if you're not
7 familiar, their names are Marianna Naum and Corinne
8 Newhart.

9 On the public comments sessions, the sessions
10 today, both this morning and this afternoon, we are
11 having two comments sessions. As I mentioned before,
12 the list of people who have requested an opportunity to
13 make public comment is found in your meeting folders;
14 however, we will have additional time for the public
15 comment during the afternoon session. If you have not
16 signed up but are interested in giving comments, please
17 check in with Juanita Yates at the registration desk or
18 in the back of the room.

19 Juanita, can you wave to the crowd? She's in
20 the back of the room. If you have any questions
21 throughout the day, Juanita is probably your best bet
22 for a knowledgeable answer.

Page 11

1 The public comments session is five minutes,
2 so prepare to keep your remarks to five minutes or
3 under.

4 And with that, I would like to turn it over to
5 Dr. Ostroff, Deputy Commissioner of Foods & Vet Med
6 Program.

7 Thank you.

8 DR. OSTROFF: Thanks very much.

9 I always think it's interesting when I speak
10 in this room about how many more people are up at the
11 top of the room than at the bottom. But it's really
12 terrific to see all of you here. And let me welcome
13 all of you -- not only those of you that are in the
14 room, but those that are on via WebEx -- to FDA and to
15 what should prove to be a very important and, I think,
16 informative meeting.

17 But let me first thank Steve Tave, Cara, Bob,

18 and the others in the Office of Dietary Supplement
19 Programs for organizing this meeting. And let me also
20 in advance thank all of the panelists who have agreed
21 to participate in the several panels that we have
22 today.

Page 12

1 The members of these panels, because I looked
2 over the agenda for the day, certainly reflect the
3 diversity of viewpoints regarding dietary supplements.
4 And that is what we strive to achieve in public
5 meetings of this nature. But hopefully, as we reflect
6 over the course of the day and afterwards, as we
7 reflect on these very diverse viewpoints, we're able to
8 coalesce around a pathway to be able to address today's
9 topic. All of your participation in this meeting and
10 after this meeting is really valuable to us, and we
11 look forward to being able to continue the work with
12 each of you as we move forward.

13 It's worth noting that we're approaching the
14 two-year anniversary of the creation of the Office of
15 Dietary Supplement Programs. This is something that I
16 very strongly championed when I was the acting
17 commissioner at FDA back in 2015. That was the first

18 time I was the acting commissioner. And for me,
19 elevating the status of this program within FDA was a
20 very important step to be able to enhance our work and
21 also sent a signal that we believe it's important to be
22 doing more in the dietary supplement space, to be able

Page 13

1 to oversee the industry, and to better protect
2 consumers who are using these products.

3 Right now, we have an estimated -- an estimate
4 that there are something like 75,000 to 80,000 dietary
5 supplement products on the market. That's a huge
6 market. When DSHEA became law in 1994, we were talking
7 about maybe 4,000 products on the market. So if you do
8 the math, that's about a 20-fold increase over a 23-
9 year period.

10 The market for dietary supplements has grown
11 from 4 billion in 1994 to around 40 billion today, and
12 that's just in the United States. These are products
13 that over half of the population and two-thirds of all
14 adults in the United States take on a regular basis.
15 Some see that rapid growth as a good thing; others see
16 it as a problem and a public health concern. I see it

17 as a reality.

18 These products don't receive pre-market
19 approval, although they can sometimes contain very
20 powerful substances, whether they're supposed to be
21 there or they're not supposed to be there. Some
22 products make extreme health claims; some make drug

Page 14

1 claims; some are potentially harmful.

2 And while we've elevated the dietary
3 supplements group to an office and brought in extremely
4 capable leadership, the group is still pretty small and
5 under-resourced for the task that they have been given.
6 This is an office that always has their hands full. I
7 think that they're doing a great job using available
8 resources to ensure that we are acting to be able to
9 identify and remove dangerous products from the market
10 and, in the work that they're doing, to establish and
11 implement practices that ensure that these dietary
12 supplements are kept free of adulterants as they make
13 through -- their way through what we all agree is a
14 very complex supply chain. And if anything, that
15 supply chain gets more complicated every year.

16 Last year, ODSP issued the draft guidance on

17 new dietary ingredient notifications, and they are
18 currently working to review the over 300 comments that
19 we received. Today's meeting is an important adjunct
20 to that effort. It focuses on the creation of a list
21 of dietary ingredients marketed in the U.S. prior to
22 the passage of DSHEA.

Page 15

1 We understand that the law's requirement to
2 submit a new dietary ingredient notification to us can
3 be burdensome to industry, especially without an
4 authoritative list of ingredients that were marketed
5 prior to October 15th, 1994. I can assure you it can
6 also be burdensome to us.

7 The program has limited resources, and so they
8 need to be focusing on review notifications for
9 ingredients that are truly new. Likewise, having such
10 a list will allow us to improve our enforcement efforts
11 by letting us focus more on our strategic priorities,
12 which are consumer safety, product integrity, and
13 accurate information.

14 We have heard from consumer groups about some
15 of the concerns that they have about an ODI list, and

16 we have heard from industry about some of their
17 concerns. These are the concerns that need to be
18 properly balanced, and we hope to hear those concerns
19 over the course of the day. We recognize that there's
20 a lot we will hear and that we need to consider as we
21 go forward. So today, we are here to listen and to
22 learn from all of you and from each other.

Page 16

1 In that spirit, I will once again welcome you
2 and thank you for being here. I hope we all find this
3 to be a productive and useful and engaging meeting.
4 And let me just thank all of you for taking the time
5 out of your busy schedules to participate today.

6 Thanks again.

7 (Applause.)

8 DR. OSTROFF: And I neglected to mention --
9 let me introduce Steve Tave.

10 MR. TAVE: Good morning. All right. People
11 are engaged. That's good. It's not even 9:00 o'clock,
12 and we've got dialogue. So that's a start.

13 I want to first take a moment to thank Dr.
14 Ostroff for that kind introduction. He's been a very
15 powerful force here at FDA both in his current role as

16 deputy commissioner and during his previous times as
17 acting commissioner in support of enhancing the work
18 that we're doing here in the dietary supplement space.

19 He's been an advocate for things, as he said,
20 like increasing the program's profile by elevating us
21 from division status to an office, as well as making
22 sure that we have access to the resources that we need

Page 17

1 to do our part. So if you've seen positive changes at
2 FDA related to our dietary supplements work, then Dr.
3 Ostroff deserves a share of the credit for that. You
4 can feel free to hold me responsible for anything that
5 you don't like.

6 Thank you all for being a part of this
7 meeting. I'm thrilled to see so many people
8 participating both here in person and virtually through
9 the webcast. As you know, we're taking a collaborative
10 approach to developing an authoritative list of pre-
11 DSHEA ingredients, and it's absolutely essential that
12 this process be participatory. We can't do this
13 without active engagement from all of you, so we're
14 already off to a good start, in my mind.

15 Let's begin with some background, what the law
16 says and how that shapes today's topic of discussion.
17 When DSHEA, the Dietary Supplement Health and Education
18 Act of 1994, was enacted, it defined the term dietary
19 supplement. As part of that definition, the dietary
20 supplement has to contain one or more dietary
21 ingredients. Dietary ingredients under the statute are
22 defined to include vitamins, minerals, herbs or other

Page 18

1 botanicals, amino acids, dietary substances for use by
2 man to supplement the diet by increasing the total
3 dietary intake and concentrates metabolites,
4 constituents, extracts, or combinations of those or
5 other ingredients.

6 As Dr. Ostroff said, under DSHEA, dietary
7 supplements can be marketed without any approval from
8 FDA. And most of the time, there is no requirement
9 that a dietary supplement firm even tell FDA what
10 products it's going to sell before it offers them to
11 consumers. It's the one exception to that requirement
12 that indirectly brings us here today.

13 DSHEA defined that the term "new dietary
14 ingredient," or NDI, as we call it, to mean a dietary

15 ingredient that was not marketed in the United States
16 before October 15th, 1994, which, incidentally, is a
17 tricky date after Congress passed DSHEA but before the
18 president signed it. So it's not exactly aligned with
19 the date of enactment. But we call it pre-DSHEA, and
20 that's close enough. In fact, the law actually repeats
21 this twice in the statute, and it makes clear that the
22 term "new dietary ingredient" does not include any

Page 19

1 dietary ingredient which was marketed in the United
2 States before October 15th, 1994.

3 Now, DSHEA included a requirement, with some
4 exceptions, that firms notify FDA no later than 75 days
5 before introducing a dietary supplement containing a
6 new dietary ingredient into commerce and that that
7 notification set forth their basis for concluding that
8 the product is reasonably expected to be safe. This
9 NDI notification process is an extremely important part
10 of FDA's regulation of dietary supplements in the
11 United States. It's our only opportunity to identify
12 potentially dangerous products before they become
13 available to consumers.

14 But there's also no question that not every
15 dietary supplement is subject to this requirement. It
16 only applies to a finite subset of dietary ingredients.
17 Specifically, the requirement to notify only attaches
18 to dietary ingredients that are considered new within
19 the meaning of DSHEA. And even among the new
20 ingredients, there might be an exception to the
21 notification requirement.

22 So this leaves an entire category of

Page 20

1 ingredients that are not new and, therefore, are not
2 subject to the NDI notification requirement. Some
3 people call these ingredients old. Some call them
4 grandfathered. Some call them pre-DSHEA. But no
5 matter what you call them, here is the \$64,000
6 question: Which ingredients does this category
7 include?

8 Over the years, a number of different
9 organizations have attempted to compile their own
10 lists, but we've never sanctioned or approved any of
11 those lists, in large part, because we can't verify the
12 data on which they relied. And in the nearly 23 years
13 since DSHEA was enacted, FDA has never compiled our own

14 authoritative list of dietary ingredients that we
15 consider to have been marketed in the United States
16 before October 15th, 1994.

17 This brings uncertainty. Some firms might
18 choose not to market products, continuing ingredients
19 that most likely aren't new because they don't know for
20 sure whether a notification is required. Some firms
21 might already be marketing products containing
22 ingredients that they believe aren't new, but they also

Page 21

1 don't know for sure whether they might face potential
2 liability for not complying with the notification
3 requirement.

4 And some firms might invest the time, effort,
5 and expense to prepare and submit a notification that
6 wasn't actually required. We at FDA then have to use
7 our own limited resources to review an unnecessarily
8 submitted notification.

9 And everyone lacks clear guidelines about
10 which ingredients are and are not new, preventing us
11 from taking as focused an approach to regulation and
12 public health protection as we'd like.

13 Last year in August 2016, we issued a revised
14 draft guidance on new dietary ingredients and related
15 issues, recognizing that the state of uncertainty is
16 not optimal either for FDA or for industry. We stated
17 in that revised draft guidance for the first time that
18 we're prepared to develop an authoritative list of pre-
19 DSHEA ingredients based on independent and verifiable
20 data. We also stated that, because we generally do not
21 have access to marketing records for dietary
22 ingredients and dietary supplements, industry would

Page 22

1 have to supply documentation to demonstrate that
2 ingredients were marketed pre-DSHEA.

3 The revised draft guidance itself is a lengthy
4 document, about 100 pages long, covering a multitude of
5 issues. We have received about 300 comments on the
6 revised draft guidance. And not all of those comments
7 were enthusiastically in favor of all of the positions
8 we articulated in the guidance, but there were a few
9 areas where there was some consensus. And our
10 willingness to develop an authoritative list of pre-
11 DSHEA ingredients was one. We, therefore, believe that
12 this is a worthwhile endeavor that will be beneficial

13 for industry and FDA alike.

14 That said, while there was a broad consensus
15 that this is a useful task for us to undertake, a
16 careful review of the comments that we received on the
17 revised draft guidance reveals a wide variety of
18 opinions on how it should be done. And in order to be
19 successful, we need to be realistic, and we need to be
20 honest about the challenges we'll face.

21 I'll be blunt. This would have been a
22 completely different undertaking if today were October

Page 23

1 16th, 1994. The exercise would have been objectively
2 straightforward. We simply would have identified all
3 of the dietary ingredients being marketed in the United
4 States, figured out how specifically we wanted to
5 identify them and describe them, and made a list. But
6 as we all know, that didn't happen. And as a result,
7 we now have a number of questions to answer and
8 decisions to make.

9 There may be some sources of fairly conclusive
10 evidence that should be pretty readily available. For
11 example, there's an extensive legislative history from

12 the years leading up to passage of DSHEA. And if an
13 ingredient was mentioned in that debate, that would
14 seem to be pretty strong evidence that it was marketed
15 at least in some form in the United States before
16 October 15th, 1994. We'll hear about possible sources
17 of evidence from our panel soon.

18 Unfortunately, that won't be the case for a
19 large number of ingredients. But an absence of
20 evidence isn't necessarily evidence of absence, which
21 means we'll all need to play detective. It's possible
22 that some clues reside here in FDA's files. But the

Page 24

1 reality is, as we said in the revised draft guidance,
2 that the bulk of the data is going to be in industry's
3 possession.

4 Even today, there is no general requirement
5 that firms tell us what dietary supplements they're
6 marketing or what ingredients are in them. We
7 certainly don't have that information from 1994 before
8 "dietary supplement" was a term defined in law. We
9 also can't assume that firms, some that were marketing
10 in 1994 but no longer are in business today, and some
11 that are active today but didn't exist in 1994 have

12 kept perfect records, especially when there was no
13 requirement to maintain them over the years.

14 But there's a lot of middle ground between
15 conclusive evidence and no evidence. And that is at
16 the heart of what we want to explore during today's
17 meeting.

18 To get a sense of some of the issues we'll
19 need to navigate, here are some sample excerpts from
20 the comments we received on the revised draft guidance.
21 On the question of process, one commenter suggested
22 that we should establish a joint panel consisting of

Page 25

1 representatives from industry and FDA to meet regularly
2 and to evaluate evidence submitted by stakeholders.

3 Another commenter also recommended an expert
4 panel, but this commenter believed that the expert
5 panel should also add questions such as how to develop
6 the list, as well as whether developing an
7 authoritative list is even viable.

8 Yet another commenter stated that a list
9 should be developed subject to rulemaking so all
10 interested stakeholders have an opportunity to

11 participate on the record.

12 And several commenters believe that FDA should
13 just adopt some or all of the existing lists that
14 industry has prepared in the past or may be preparing
15 now.

16 There is also a threshold definitional
17 question. Several commenters pointed out -- and we
18 noted this in the revised draft guidance -- that until
19 the passage of DSHEA, there was no definition in the
20 law of either dietary ingredient or dietary supplement.
21 As a result, they argue, it should be meaningless to
22 attempt to superimpose those standards when evaluating

Page 26

1 the pre-DSHEA status of an ingredient.

2 One commenter suggested that ingredients
3 should qualify for the list if there is evidence that
4 their intended use as a dietary ingredient or dietary
5 supplement before October 15th, 1994, would be
6 consistent with lawful dietary supplement marketing
7 under current law. This seems like a reasonable
8 interpretation. But as always, it's not difficult to
9 imagine scenarios that may merit some additional
10 discussions.

11 Suppose, for example, that the relevant date
12 in the law were October 4th, 2017, instead of October
13 15th, 1994. I think all of us would acknowledge that
14 there are ingredients now being marketed in dietary
15 supplements that shouldn't be considered lawful. FDA
16 had acted against some of these ingredients. In other
17 cases, we may not have acted yet, whether because of
18 resource or other constraints. And still, other
19 ingredients may be subject to vigorous debate about
20 what the rightful status actually is. But there is no
21 denying that these ingredients exist.

22 How would we treat those ingredients? And how

Page 27

1 should we treat those ingredients from 1994 that's
2 traveled the same line?

3 The identity of ingredients raises a separate
4 but equally important set of questions. If we assume
5 that an ingredient was marketed in some form before
6 October 15th, 1994, does that mean that all versions of
7 that ingredient should be considered old? And is this
8 appropriate even without any evidence to suggest that
9 they were marketed that way in 1994? In some cases,

10 the evidence, such as a patent application, might
11 establish that a certain form didn't become available
12 until after 1994.

13 Intertwined with this issue are questions
14 about how to treat variations in ingredients, stemming
15 from things like alternate preparations or
16 manufacturing changes.

17 The question ultimately boils down to how to
18 define in the list, as one commenter put it, an
19 ingredient's identifying characteristics -- things like
20 concentration, formulation, and specifications, such as
21 plant part. Or as another commenter wrote, we need to
22 clarify which changes do and do not alter the identity

Page 28

1 of a dietary ingredient.

2 This question seems inextricably tied to the
3 issue of evidence. A number of commenters argued that
4 a single document should suffice to establish that an
5 ingredient was marketed before October 15th, 1994. And
6 I don't know that anyone would disagree that there may
7 be cases where a single document is both reliable and
8 detailed enough to establish the pre-DSHEA marketing
9 status of a specific ingredient, but that may not

10 always be the case.

11 And when the evidence gives us some
12 information but not perfect information, is it possible
13 to craft a flexible approach that recognizes, as
14 commenter suggested, that some ingredients were very
15 likely to have been marketed in the United States
16 before October 15th, 1994? If so, how do we define the
17 parameters of those listed ingredients in a way that
18 preserves the balance intended by DSHEA without any
19 sacrifices to our ability to protect the public?

20 We've also heard from some commenters who want
21 to separately address specific categories of
22 ingredients like probiotics and enzymes and fish oils

Page 29

1 and omega-3-rich oils. We need to discuss whether
2 there are any special considerations that we need to
3 account for to appropriately recognize characteristics
4 unique to these categories and possibly others, and
5 we'll specifically invite discussion on this subject
6 during the open public comment period later this
7 morning.

8 Finally, a common refrain throughout the

9 comments was safety. For example, writing about
10 manufacturing process changes, one commenter argued
11 that these changes should only create a new dietary
12 ingredient if the change affects the ingredient's
13 safety profile. Several commenters who advocated
14 reliance on existing lists of old ingredients,
15 acknowledged that even some of the ingredients on these
16 lists present significant safety concerns. And at
17 least one commenter suggested that in adopting these
18 existing lists, we should exclude ingredients for which
19 of the -- for which there was a known safety concern.

20 We applaud the safety-oriented aim of these
21 comments, but this suggestion may be either -- might be
22 easier proposed than implemented. Although we know

Page 30

1 that responsible industry members share a focus on
2 consumer safety, in practice, our warnings about safety
3 have not always been readily or uniformly accepted.
4 Even ephedra, the only dietary ingredient which FDA has
5 banned to date, which at least one commenter
6 highlighted as an example of an ostensibly old
7 ingredient that could be excluded for safety reasons,
8 went through a contested years-long rulemaking process

9 before it was finally deemed unsafe.

10 This brings me to a very important point. An
11 authoritative list of pre-October 15th, 1994, dietary
12 ingredients will not be a list of safe ingredients.
13 And again, the simplest example is ephedra. FDA had
14 determined that ephedra and alkaloids present an
15 unreasonable list of illness or injury, and a federal
16 court has upheld that determination. Yet ephedra was
17 unambiguously marketed in the United States before
18 October 15th, 1994. So it would be on a pre-DSHEA
19 list.

20 And there are surely other ingredients that
21 are both old and whether in all of their forms or only
22 some, whether in any population or only limited in sub-

Page 31

1 populations, are unsafe. Future experience and studies
2 might yield new information about the safety profiles
3 of other ingredients. But the plain language of the
4 definition of new dietary ingredient in DSHEA does not
5 incorporate safety. And so in developing a list of
6 pre-DSHEA dietary ingredients where the fundamental
7 question is whether a certain ingredient was marketed

8 at a certain point in time, it would appear that
9 questions of safety don't factor directly into the
10 equation.

11 With that in mind, it's absolutely critical
12 that we be precise in how we describe this effort.
13 This is at its core a regulatory exercise, rooted in
14 figuring out which ingredients were marketed on a date
15 specified in the statute. We, therefore, need to be
16 exceedingly careful to make sure that consumers and
17 healthcare practitioners do not fall under the
18 misimpression that the appearance of any ingredient on
19 a pre-DSHEA list suggests that the ingredient is safe.
20 And looking ahead, as the list becomes a reality, we
21 may need to work together to ensure that we prevent
22 consumers from being misled by representations about

Page 32

1 ingredient status.

2 At the same time, even though it's not a
3 direct factor in the chronological question of
4 marketing status, there may still be room for safety to
5 guide us. I want to qualify what I said a minute ago.
6 The legal definition of new dietary ingredient does not
7 entail a safety assessment. But the significance of

8 being a new dietary ingredient under the statute most
9 certainly does have a nexus to safety. It's only if a
10 dietary ingredient is new that it is potentially
11 subject to the requirement of the a pre-market safety
12 notification.

13 We said this repeatedly, but it rings true.

14 The NDI notification process is critical because it's
15 FDA's only opportunity to spot dangerous products
16 before they become available to consumers.

17 So as we consider seemingly mundane questions
18 today about things like expert panel composition and
19 bills of lading and authorization, I would implore you
20 to keep in mind that the way we answer all of these
21 questions could mean the difference between whether we
22 first identify a safety concern through a pre-market

Page 33

1 notification review or through a serious adverse event
2 report.

3 In a few moments, we'll hear from our
4 panelists who have prepared thoughtful and thorough
5 presentations touching on all of these subjects and
6 more. Before we begin, it's important to be clear

7 about what we're working towards.

8 The list that we envision would be
9 authoritative, but it won't be comprehensive. In other
10 words, an ingredient's inclusion on our list would be
11 conclusive evidence that FDA considers the dietary
12 ingredient to have been marketed in the United States
13 before October 15th, 1994. But an ingredient can still
14 be pre-DSHEA and, therefore, exempt from the
15 notification requirement, even if it isn't on our list
16 or anyone's list.

17 As we stated in the revised draft guidance,
18 the mere fact that an ingredient is not on the list
19 would not establish that the ingredient is an NDI.
20 Rather, the omission of an ingredient from the list
21 would be regarded as neutral and would not affect the
22 ingredient's regulatory status. The list would reflect

Page 34

1 an ingredient's pre-DSHEA status. But being included
2 on this list is not necessary to confer that status.

3 More immediately, we don't expect to emerge
4 from today's meeting with a list of pre-DSHEA
5 ingredients, even an incomplete one. But we do hope to
6 begin to agree on the contours of how a list should be

7 developed and what it should look like. And we
8 fundamentally believe that the best way to accomplish
9 this is through an inclusive process that is
10 transparent to all of our stakeholders. The same
11 standards that are -- that inform our determination of
12 whether one ingredient was marketed before October
13 15th, 1994, should apply to all ingredients.

14 So if a firm is deciding whether to sell an
15 ingredient that we haven't yet had the opportunity to
16 evaluate -- and to be sure, developing a list will
17 require resources; and ours are limited, so this won't
18 happen overnight -- then that firm will have access to
19 our thought process, where if a firm has proprietary
20 information that it doesn't want to risk sharing, then
21 that firm will have access to our thought process.
22 Knowing how we are approaching these questions, all

Page 35

1 firms will be able to independently make their own
2 informed determinations about whether we would likely
3 consider their ingredients to be pre-DSHEA.

4 And just as everyone will have an opportunity
5 to contribute their ingredients as we decide how to

6 approach these questions, we think it goes without
7 saying that everyone should reap the benefits of this
8 effort. The result will be that both industry and FDA
9 will be able to better direct our respective resource
10 use. And then because of our collaboration, the
11 dietary supplement marketplace will be a little bit
12 more effectively regulated. Everyone will be better
13 off. That's the goal.

14 Now back to today. As Dr. Welch said, you
15 should have a copy of the agenda in your folders. For
16 those of you participating by webcast, it should be
17 available electronically.

18 We structured the day in two parts, each with
19 a panel discussion followed by an opportunity for
20 questions and public comment. In the morning, we're
21 going to discuss issues related to standards and
22 evidence. In the afternoon, the focus will be on

Page 36

1 process.

2 There will be moderators from FDA to
3 facilitate the discussion throughout the day, but we
4 don't plan to say too much. Our goal is to listen.
5 We're approaching all of these questions with an open

6 mind, and the point of today's meeting is to begin a

7 dialogue and to hear ideas.

8 We're fortunate to be joined by 10 panelists

9 representing a diverse range of experiences and

10 perspectives. And we very much appreciate the time and

11 effort that they all went into in order to be here

12 today to share their thoughts and help move this

13 discussion forward.

14 We know that many of you also traveled to be

15 here. And regardless of how far you came, we

16 appreciate everyone's participation both in person and

17 virtual.

18 We're looking for contributions from everyone

19 in the form of both questions and comments. And as Dr.

20 Welch noted earlier, there will be several

21 opportunities today for public comment. We've also

22 opened up a docket so that you can submit your views in

Page 37

1 writing.

2 There are no special ground rules, just the

3 baseline for normal civil discourse -- listen, be

4 respectful, and please pay attention if we let you know

5 that you've reached the end of your allotted time.

6 But I would like to add one modest plea:

7 Please stick to the topic of this meeting. We know

8 that many of you have opinions on a range of matters

9 related to dietary supplement regulation. But today

10 we're focused on the development of a list of pre-DSHEA

11 ingredients. We hope to cover an ambitious amount of

12 ground in a limited amount of time, and we need to stay

13 focused in order to be successful. There will be a

14 time and place to talk about other issues.

15 And I'll note, for example, that FDA recently

16 opened a docket and requested information on areas

17 where stakeholders believe there may be an opportunity

18 to modernize the Agency's regulations.

19 So with all of that out of the way, and

20 following my own rule, it's time to start hearing from

21 our stakeholders about today's topic. I'm now going to

22 turn things over to Bob Durkin, Deputy Director of the

Page 38

1 Office of Dietary Supplement Programs, who will

2 introduce and moderate our first panel.

3 Thank you all again.

4 (Applause.)

5 MR. DURKIN: Thank you, Steve.

6 Good morning. My name is Bob Durkin, the
7 deputy director of the Office of Dietary Supplement
8 Programs. This morning we're going to be brief and try
9 to turn the topic over to our stakeholders as soon as
10 we can.

11 We're looking forward to starting our first
12 panel this morning. As Steve just mentioned, this
13 first panel will be discussing standards and types of
14 evidence, specifically, what level of evidence is
15 necessary to demonstrate an ingredient was marketed
16 before October 15th, 1994.

17 The revised draft guidance from 2016 states
18 this list of pre-DSHEA dietary ingredients should be
19 based on independent and verifiable data. This data
20 should include the date of marketing in the United
21 States as well as a description of the ingredients
22 being marketed. There are almost four pages of

Page 39

1 comments in our revised text on -- in the draft
2 guidance discussing aspects of these two questions,
3 aspects such as what does marketing mean, what

4 documentation shows marketing, what level of
5 description is needed, and what does it mean to be
6 marketed as a dietary ingredient.

7 However, our goal today is not to read
8 verbatim out of the revised draft guidance, as we
9 imagine some folks in the room are aware of it and
10 maybe even read portions of it. But our goal is to
11 hear from stakeholders that we've invited here to be on
12 our panel today -- panels today as to what is important
13 and what is feasible in developing this list of pre-
14 DSHEA dietary ingredients.

15 With that said, I'd like to bring up our first
16 panel of five stakeholders -- Loren Israelsen, Joe
17 Betz, Michael McGuffin, Duffy Mackay, and Peter Cohen.

18 I won't take the time to read the bios of
19 these five individuals off to you, as they're in your
20 packets. In order to save time, we're going to get
21 going with our first presenter. They're going to have
22 15 minutes to discuss their topic. At the 15 minutes,

Page 40

1 we'll bring up the next moderator. Please hold your
2 questions until the end of the panel.

3 Thank you very much.

4 Can we please bring up Loren's slides?

5 MR. ISRAELSEN: Good morning, everyone.

6 Pleased to be here and to start off today's discussion.

7 And given our time limits, I will move quickly.

8 The topic, as you know, is to develop a -- I

9 still call it an ODI list. I'm having trouble with

10 pre-DSHEA list, so I will use ODI. Our assignment is

11 to try and divide our time so that we cover a range of

12 issues around this topic.

13 What I will do is to take you through a bit of

14 a timeline. I'd like you to be able to see and

15 understand the broad picture of how we got to where we

16 are now in 2017 and also a specific look at one example

17 of the type of evidence that might be interesting as

18 we're trying to figure out where and how to find old

19 dietary ingredients that are still on the market. And

20 as Steve Tave noted, they come in many ways and forms

21 and from many sources.

22 This is a very old issue. This long predates

Page 41

1 DSHEA 1994. We're talking the -- into the 1950s and

2 '60s. And much of the problem started because of a

3 binary system dividing foods and drugs. There was
4 really no place for dietary supplements that had been a
5 friction point for many decades.

6 So first, a little bit of history. This is a
7 document that's from 1985. There was a very famous
8 case called *Fmali versus Heckler* that was about the
9 question of whether overseas use of food could
10 establish common history of use -- and this case that
11 the herb company won and FDA lost.

12 And so this document -- actually, Cara, this
13 is my old deck, so we'll just go through this one.

14 Right. So this is a couple generations back, so this
15 will change things a little bit.

16 So this began to set a template of how do we
17 think about common history of use in foods and when did
18 that begin and where are these products. It was then
19 followed by this really important document published in
20 May of 1992, which was a dietary supplement task force
21 report that was requested by then Commissioner David
22 Kessler. And the question was to the FDA panelists and

Page 42

1 experts invited is imagine a new template for dietary
2 supplements. Tell me what you think we should do from

3 a clean slate.

4 The proposals boiled down to this: For
5 vitamins and minerals, it was to cap the potencies of
6 vitamins and minerals; for amino acids, single aminos
7 should be treated as drugs; and for everything else --
8 vitamins -- sorry -- herbs, botanicals, fish oils,
9 probiotics, et cetera -- should be regarded as food
10 additives. This was really the final confirmation that
11 FDA's intentions were not to treat dietary supplements
12 as we know them today, but to look at them as drugs,
13 food additives, or something else.

14 This is really what precipitated the passage
15 of the Dietary Supplement Health and Education Act.
16 And part of that act, as Steve Tave mentioned, was the
17 creation of a new dietary ingredient provision. But
18 what was the context in which this provision was
19 created?

20 Having been involved in those negotiations,
21 this happened late in the process of DSHEA, which began
22 in the fall of '92 and ended in the fall of '94.

1 That's very fast by legislative terms. But this issue

2 was really one of the last. And the reason was, is
3 after we had worked out many of the other problems and
4 challenges, those that were concerned about this bill
5 and public safety said that we've got to do something
6 to really look at the future. What will happen as
7 innovation brings new and different ingredients that we
8 can't envision in 1994?

9 So the decision was made to create a
10 grandfathering date, which as you know is October 15,
11 1994, and all prior ingredients on the market would be
12 left on the market for two reasons -- one, an
13 assumption that they had been there with some
14 presumptive history of use and that they would be
15 presumptively regarded as safe. And that's just the
16 judgment that was a legislative decision. The other
17 key reason was to assure continued consumer access to
18 those products. That was the primary goal of DSHEA.

19 If it was decided to remove all products to do
20 some kind of go-forward safety review, it would defeat
21 the first and primary objective of the statute itself.
22 Both sides agreed that that simply would not work, that

2 Congress that it was decided that this approach of
3 adopting old and then look at the new was the better
4 way to go. So that's why this section is written the
5 way it is.

6 So what has happened in the post-DSHEA world?
7 And remember 1994. So these were the tools that we
8 were working with at that time. Some of these look
9 very familiar and with some fondness, no doubt. And I
10 actually brought with me -- this is a floppy disk,
11 which is one of our UNPA ODI files. We don't have a
12 computer in our office that can play this, and many of
13 you may not as well.

14 So that is one of our challenges, is that the
15 passage of time has changed technology. And it has
16 also changed our ability to access the literal
17 information that was captured and held above and beyond
18 what is found on the ODI list which have been
19 published.

20 So the question is where are your records.
21 And the current said deck, there is a file cabinet
22 which shows the actual physical paper records that were

1 held. And just by good corporate practice, every
2 company has a sweep-out and a cleanout provision that
3 we get rid of old records, and someone is in charge of
4 doing that and what happens. All of these old aging
5 documents that someone looks at and can't find any
6 relevance to, they get tossed. Those are the key
7 records that we really needed.

8 Of particular importance are manufacturing
9 records that would show how a product was made, what
10 solvents and extractions, what processes. So that's
11 where the most damage was done from DSHEA plus 1 going
12 forward. So now we're DSHEA plus 23 years.

13 And as Steve said, is that if we had been able
14 to do this on day plus 1, it would have been really
15 easy. Everything would have been in ODI, and a
16 snapshot in time would have solve this quickly. And
17 then you can begin to look and see how things change
18 over time. But that did not happen, and that leaves us
19 in the situation that we're in now.

20 So shortly after DSHEA passed, a number of
21 trade organizations -- the American Herbal Products
22 Association; CRN; NNFA, now NPA; and UNPA --

1 individually created ODI lists where we gathered our
2 members and asked them to provide lists which were
3 developed and created a few years later that this list
4 was a compilation of those existing lists. And this
5 represents the style and type of listing of these
6 ingredients. And there are several thousand listed.
7 But this is not complete, and we know that. FDA has
8 never regarded this or any of the other lists as
9 authoritative, and that is what is a real clear
10 problem.

11 So where we are now is that, over the past
12 year, that the August 2016 date is a significant one --
13 when FDA published the revised draft NDI guidance.
14 Within a month after that -- and this is dated
15 September 2016 -- is we organized the (inaudible -
16 technical difficulty) industry members to comment to
17 really discuss what this NDI guidance said and look
18 also at the question of GRAS, generally recognized as
19 safe.

20 So with that little period of 30 days to try
21 and really understand this large, complex document, we
22 also do polling. And the idea there is just to get a

1 sense of the audience of what is their feeling. And so
2 we asked this question. We have these little polling
3 units, so everyone in the audience can just click and
4 do it anonymously.

5 And so -- but what we really wanted to know
6 was what do you think is the best pathway forward now,
7 just based with this 30 days of understanding. Is it
8 time to ramp up ODI's or ramp up GRAS affirmations,
9 which is an option in many people's minds, to an NDI
10 filing? Or do you just hold for now because we just
11 really still don't understand this? Or are you mad
12 enough you want to push back and just tell FDA you've
13 got to try it again; this is not really what we wanted?

14 So then in February, six months later, in
15 2017, we felt -- we held a third conference, all in the
16 effort to keep educating industry to try and understand
17 what this guidance really says and to find a proper
18 response so that comments could be filed. So we asked
19 the same questions again and looked at the change in
20 view and opinion.

21 This is what's quite shaking, is to see a zero
22 response to file new NDIs. What that suggests is that

1 there was a fundamental sense of stop, we don't know
2 how to do this. There were too many unanswered (sic)
3 and unknowns. I was very surprised to see that. Even
4 a drop in to ramp up GRAS, you would think there would
5 be a shift toward GRAS if there was a drop in NDIs.

6 But this is what's astonishing, is to see that
7 it's simply we don't know what to do. We just flat out
8 don't know what to do. There are too many things that
9 we just can't reconcile. And even pushback dropped
10 down. That left us in a state of help. You know, we
11 need to go back and think about this again.

12 And we're appreciative for this day, which
13 gives us a chance to really try and discuss what we
14 think is the core issue, is laying out a framework for
15 an ODI list, which allows us then to begin
16 systematically working on these other issues.

17 And as was said -- and I fully agree --
18 without a robust ODI list, many companies are unable to
19 make a proper business decision, whether we invest in
20 an NDI but find out later we didn't have to -- it turns
21 out to be an ODI. Do we go GRAS affirmation as opposed
22 to NDI? And many legal advisors and consultants have

1 told companies that GRAS affirmation is a good option
2 to NDI filing under certain conditions. There are
3 probably six to seven times more GRAS affirmations than
4 there are NDI notifications to date, post-DSHEA, which
5 is an indication of the shift toward GRAS because
6 there's more clarity about the overall process and a
7 lack of an authoritative ODI list.

8 So where shall we look for ODIs? I decided
9 just to take one example because our other panel
10 members will present other ideas in other areas. But
11 this comes from a Chinese herbal restaurant in San
12 Francisco mid-1980s. This is the first, as they say,
13 herbal food restaurant in America. And what is of note
14 is that if you look -- and I apologize list; it blurs
15 out a bit -- is that you will see dozens of Chinese
16 herbs, botanicals listed here that are clearly used in
17 a food context. These are food dishes.

18 And it raises the question of how products,
19 dietary ingredients, botanicals, and other things have
20 been used over a very long time in this country and
21 elsewhere that go to the history of its safe history of
22 use and of brought common use in food. And that is an

1 important consideration as we're trying to think
2 through an ODI list.

3 So the key issues -- and again, this is a
4 prior deck, but I'll try and blend what you see with
5 what current thinking is. The standard that we're to
6 apply for ODIs is reasonably expected to be safe, and
7 safety is a primary issue. Whether you're an ODI,
8 there is no free card, there is no safety obligation
9 there is as there are for NDIs. They are a little bit
10 different.

11 The appropriate level of regulatory oversight
12 is a central issue in the industry's mind, is if we
13 have an ODI list, what level of enforcement should be
14 given to that list? Where we see a problem if it's not
15 present on the list, should FDA actively go and do
16 something about it? We think not. The issue should
17 really be a focus on is there a safety issue worth
18 addressing. Let's look at where it fits into the
19 scheme, whether it's an ODI, GRAS-affirmed NDI, before
20 deciding that we need to take action. The industry is
21 not in favor of having unsafe markets available to
22 consumers in the absence of a proper regulatory status

1 for those ingredients wherever they are.

2 But there is a broad mandate for consumer
3 access, and that is fundamental to the principle of
4 DSHEA. And we think the resolution of an ODI list
5 contributes to an understanding of what really belongs
6 on the market and those things that do not. But until
7 we create the separation between old and new, it's very
8 difficult for us to really decide this is the small
9 group of ingredients that really don't belong on the
10 market and then focus our efforts both Agency and
11 industry toward the end of removing those products.
12 And it's not in our interest to have unsafe products on
13 the market. That's self-evident.

14 Time has passed. This is urgent. We need to
15 get this done quickly. As we lose time, we're in the
16 fall season. This is typically when people go through
17 record cleaning out once again. And we'll lose yet
18 another generation of ODI documents. We've asked
19 people please save them, but very often they don't.

20 There's also been a concern that the NDI
21 notification process is returning to a food additive-
22 like process. This raises a concern in the mind of

1 many who have been in this industry a long time and
2 recognize that food additive red flag, this is
3 something that was addressed by DSHEA to be sure that
4 we didn't wander back into that food additive world.

5 So essentially, the last and key point I would
6 like to make is that we are here to discuss developing
7 an ODI list. But there are other core issues that were
8 presented in the draft guidance in 2016. Principally,
9 a -- the question of chemical alteration and
10 manufacturing changes are the two key ones. And the
11 reason those are so relevant is that they will help
12 determine what is and is not an ODI list.

13 If we go through the process of creating an
14 ODI list and then we ask the question well, what
15 chemical changes have happened and suddenly realize
16 that what I thought was an ODI is, indeed, an NDI or
17 it's not what we thought at all because of a
18 manufacturing change or a change in chemistry, then it
19 will bring ambiguity once again to the ODI list.

20 So we need these three issues discussed and
21 resolved in tandem to allow industry to make a reasoned
22 judgment about how best to contribute and work with FDA

1 toward the creation of authoritative list, which we
2 fully support and would like to see proceed. But we're
3 hopeful that this will not be done as separated or
4 segregated issues so that we can understand what we're
5 dealing with together and then proceed.

6 And so with that, the people did speak. The
7 Congress did speak. If NDI notification is not seen as
8 affordable or protectable -- and this whole issue of
9 intellectual property rights is becoming a major issue,
10 not for discussion today, but this really goes to the
11 heart of how companies protect their assets.

12 And so with that, I will stop and say thank
13 you for the opportunity to speak. I appreciate being
14 here and look forward to hearing from my panel members
15 this morning, afternoon. And thanks to all of you.

16 (Applause.)

17 AUTOMATED VOICE MESSAGE: At the tone, please
18 speak your name. This will be used to introduce you to
19 the meeting. When finished, press the pound key.

20 (Number hit.)

21 AUTOMATED VOICE MESSAGE: By request of the
22 meeting organizer, this meeting is being recorded.

1 MR. DURKIN: Thank you, Loren.

2 Of course, Loren is present of UNPA.

3 Our next panelist to speak will be Dr. Joe

4 Betz, Director, Analytical Methods and Reference

5 Materials at ODS of NIH.

6 Dr. Betz.

7 DR. BETZ: Good morning, everybody.

8 (Side conversation.)

9 DR. BETZ: All righty. So since I still do

10 work for the government, I start off with a disclaimer.

11 The views expressed today by me are mine and don't

12 reflect the views of ODS, NIH, or HHS.

13 We're talking about a controversial subject, a

14 little bit controversial. And it's the classification

15 and consideration of what constitutes a dietary

16 supplement in terms of all dietary ingredients.

17 I am sticking strictly to the stuff in red

18 here, which is evidence -- which primarily concerns

19 evidence of what constitutes an old pre-DSHEA

20 ingredient. One item is -- especially is something

21 that has been in the food supply. I'll concentrate on

22 that. I'll let other people who are smarter in the law

1 than I am figure out how to find evidence of whether
2 something was marketed versus simply in the food
3 supply.

4 Loren mentioned this. I know Michael McGuffin
5 will almost certainly mention it. These are potential
6 sources of documentary evidence of ODI status; shipping
7 documents from importers from pre-October 16th, 1994;
8 bills of lading, records from contract manufacturers;
9 master manufacturing records, et cetera; catalogs.

10 When I was in graduate school, we did a lot of work on
11 ginseng in the 1970s, and we had boxes and boxes of
12 catalogs. My mentor has retired, and I don't know what
13 happened to those boxes. I'd love to get my hands on
14 them.

15 FDA taught a microscopy course. I helped
16 teach that, and we bought powdered materials from
17 companies like Pan Herbo and Frontier Herb and others.
18 My mentioning those names does not constitute an
19 endorsement. It's just a couple of the companies that
20 we bought stuff from when we were teaching the course.

21 What sorts of information are available? So
22 this is the 1970s -- or 1990 edition of edible wild

1 plants. It's a Peterson Field Guide. The plants --
2 there are over 300 plants in this book, wonderful
3 pictures, descriptions, geographic ranges. These are
4 North American plants. These were certainly in the
5 food supply. Good luck finding evidence that they were
6 marketed, although I do remember going through farm
7 markets at the time and finding dandelion leaves and
8 such, fresh dandelions, being offered for sale at farm
9 markets.

10 This seems a little bit facetious, but this is
11 my Boy Scout handbook from 1965. And at the time in
12 1965, there was a requirement for the second-class rank
13 to know edible wild plants. And there were probably
14 about a dozen, maybe a little bit more than a dozen,
15 edible wild plants that one had to know. These were
16 not necessarily things that one would make a regular
17 diet of. Some were last-resort foods, but they were
18 things that were -- could keep you alive.

19 So this is evidence. This book was published
20 in 1965. I have it on my shelf. It's a little bit
21 more beaten up than this book is because I carry it
22 around everywhere. But you know, this is evidence of

1 things that were eaten by humans in the United States
2 in 1965. Interesting that poke -- fresh poke shoots,
3 young poke shoots, was one of the edible plants
4 mentioned, not that I would want an 11-year-old making
5 the judgment as to whether something was a young shoot
6 versus an old shoot. But it was there.

7 This is something that I happened to stumble
8 across. This is a resource I stumbled across when I
9 was at FDA. There was a question about whether or not
10 ginseng was suitable for use in foods prior to -- this
11 was before 1994. This was well before pre-DSHEA.

12 That dark, hard-to-read copy of the United
13 States dispensatory is the 20th edition published in
14 1918. The second edition there that I have is the 21st
15 -- 25th edition published sometime in the '50s. It
16 does not have an entry for ginseng, which is why I
17 didn't bother to find out exactly what the date was.
18 The 26th edition I think had no botanicals at all, so
19 the book was about a third the size of these two books.

20 But it's interesting. That 1918 edition,
21 yellowed with time -- I have two of them because I went

Page 58

1 they were throwing them out at one point. And they
2 just had them out on a cart saying take one if you want
3 it. But there is an entry for ginseng.

4 Now, I -- you probably can't read this because
5 I can barely read it on this screen up here, but it
6 says *Panax quinquefolius*. So it's American ginseng,
7 not Asian ginseng. But clearly, there's an entry for
8 ginseng in this 1918 edition of the United States
9 dispensatory.

10 This is an excerpt from that, which I knew you
11 couldn't read, so I reproduced it here so you could
12 read it. "The extraordinary medicinal virtues formally
13 ascribed to ginseng had no other existence in the
14 imagination of the Chinese" -- not judgmental at all.
15 It's a little more than a demulcent, and in this
16 country is rarely" implied as -- "employed as a
17 medicine. Some persons, however, are in the habit of
18 chewing it, having acquired a relish for its taste, and
19 it is sold chiefly to supply the wants of these," so
20 evidence that it was used as a food and that it was
21 sold -- 1918 for *Panax quinquefolius* root. These were

22 -- the people who were consuming it were the

Page 59

1 collectors, the sang hunters in the Appalachians.

2 The scientific literature can be a source of
3 information about pre-1994 ingredients. So I
4 originally had several of these publications, mainly
5 for convenience because I was the author or coauthor on
6 several of them. I eventually took it down to just two
7 mentions.

8 This particular article was published in 1993,
9 clearly before 1994. It talks about the analysis of
10 commercial comfrey products. So there were commercial
11 comfrey products available on the market prior to 1994.

12 This one is about yohimbe. This one -- I
13 circled this because it's another source of
14 information. So the publication appeared in 19- -- if
15 I can read that correctly, I think 1995. But it was
16 submitted for publication prior to October 15th, 1994,
17 and you can see that in the little disclaimer box down
18 at the bottom.

19 Somebody's messing with my slides. It's not
20 me. My hands are here.

21 So that's evidence that the work on this --
22 these products was actually done prior to 1994.

Page 60

1 We -- when we published these at FDA, we
2 carefully transcribed the ingredients list. And so we
3 analyzed a number of yohimbe products. And so there
4 you can see that there was yohimbe extract in the
5 marketplace prior to 1994. Let's see. There was
6 yohimbe bark extract in that prior to 1994, just
7 ordinary yohimbe bark as an ingredient prior to 1994.

8 And then -- oops. Sorry. There's supposed to
9 be another animation.

10 That long footnote down at the bottom had one
11 product that had a whole kitchen sink's worth of
12 ingredients listed, including things like sarsaparilla,
13 testicle gland, branched-chain amino acids, beta-
14 sitosterol, all sorts of interesting things. Those
15 were ingredients read directly off the label of the
16 yohimbe product prior -- that we collected prior to
17 1994.

18 Another source of information -- and this is
19 something that's probably a little bit controversial,
20 and I warned Dr. Welch that I was going to bring this

21 up. So these are where ephedra-containing materials

22 that we collected. The first ephedra cases that we

Page 61

1 worked on at the Agency were in 1993.

2 And I bring these up mainly because some of
3 these samples were official samples. They had been
4 collected in conjunction with, you know, some kind of a
5 case that the FDA was investigating at the time. Some
6 we just purchased for the purpose of developing
7 analytical methods, so these were not official samples.

8 We -- we're required to deposit all of these
9 in a sample room at FDA. Now, these samples are now
10 well over 25 years old. And whether or not the FDA
11 sample room still has these materials I have no idea.
12 And that's the question that Dr. Welch would have to
13 answer.

14 But all of these products had the date that
15 they were collected, a sample number, and the date that
16 the analyst opened them. So in some of these pictures
17 here -- let's see. Here we go.

18 So some of these pictures have the date when
19 they were collected and also initialed my initials and

20 the date that I opened the materials for the -- to
21 perform the analysis. So this particular one, I think,
22 is in June of 1994. So we were collecting materials

Page 62

1 prior to October of 1994. So those materials were on
2 the marketplace.

3 Now, alas, these were photographs that I took
4 of these products -- actual photographs, not digital
5 photographs. There's no metadata on them as you would
6 in a digital photo now, so I can't tell you when these
7 photos were taken. These were simple -- simply photos
8 that we took because we made up old Polaroid slides for
9 a presentation, something that doesn't exist anymore
10 either. We're talking about old technology.

11 So we don't have the back of these labels with
12 the ingredients list in these photographs. However --
13 thanks. However, in creating -- in populating our
14 notebooks and create -- and populating our analyst
15 worksheets at the time, we were taught how to Xerox
16 entire labels by rolling the bottle as the light bar
17 moves across the Xerox machine. And so that material
18 is available if those analyst worksheets and if those
19 laboratory notebooks, which I had to leave behind,

20 still exist at FDA.

21 And again, this is over 25 years ago. I

22 believe that the mandatory recordkeeping for things

Page 63

1 like notebooks is, like, seven years, or something like

2 that. So yeah, good luck tracking any of this stuff

3 down. But you know, it may or may not exist if

4 somebody was a packrat somewhere.

5 Again, this is an example of a sheet from an

6 analyst worksheet. This is some old-fashioned

7 pharmacognosy where I -- before we had widely available

8 microscope cameras where I use the old technique of

9 looking through a microscope with my left eye and

10 drawing using my right eye materials that I had gotten

11 from the yohimbe capsule.

12 But again, here's dates; sample numbers up

13 here. This is from an analyst worksheet that I had

14 filled out for a yohimbe-based products. So these

15 materials were current at the time. We did collect

16 this information, and we had to save it for at least

17 seven years either as an analyst worksheet or in a

18 laboratory book. So FDA may or may not still have some

19 of this documentary evidence in existence.

20 As I said, I presented those scientific papers

21 mainly for convenience because I was the author. I

22 know where to -- knew where to find them, and I know

Page 64

1 what the contents are. That doesn't mean you have to

2 go for -- look for FDA analysts as authors of those

3 papers. You can go to the scientific literature.

4 I cannot stress the value of going pre-PubMed.

5 It -- you -- PubMed allows you to go farther and

6 farther back in time now, but there will be a point at

7 which you cannot go back in time any further. So

8 anything published in the 1800s, the early 1900s might

9 not be easy to find in PubMed. But you can go back in

10 the literature as far as you can on PubMed, pull up an

11 actual publication about a plant, and then hand-search

12 the reference section of those articles. And that's

13 how I came across those United States dispensatory

14 entries, is the old-fashioned technique of hand-

15 searching reference sections and old publications.

16 So that's all I have for today, just kind of

17 some food for thought. There are some things that I

18 punted on like marketing -- whether or not something

19 was in the marketplace. But I just wanted to kind of
20 broaden people's mind in what they consider to be
21 documentary evidence.

22 The nature of the extracts that are listed on

Page 65

1 those ingredient labels, good luck with that. I know
2 that they were yohimbe bark extracts or aqueous
3 extracts that were permissible for food -- for the
4 flavoring of alcoholic beverages. Those were devoid
5 of yohimbe alkaloids because they were aqueous
6 extracts.

7 I know that people were making tinctures for
8 some of those products. So, you know, the nature of
9 the extracts is a little bit fuzzy, simply saying that
10 the extract existed may not be enough for the purposes
11 of determining whether or not a particular extract is a
12 new ingredient. But for point material, some of this
13 stuff is pretty straightforward.

14 And that's it. Thank you very much for your
15 time.

16 (Applause.)

17 DR. WELCH: Thank you, Joe.

18 Our next speaker is Michael McGuffin,
19 President, American Herbal Products Association.
20 MR. MCGUFFIN: Good morning and thank you to
21 the Office of Dietary Supplement Programs for inviting
22 me to participate in this panel.

Page 66

1 AHPA has previously communicated that it views
2 the efforts by FDA to create an authoritative list of
3 ODIs, or pre-DSHEA, ingredients as the Agency has
4 previously described it in the revised NDI draft
5 guidance is unlikely to be successful in actually
6 compiling a list of these ingredients. AHPA repeats
7 that concern here today, and AHPA and its members would
8 need to see a significant shift in the Agency's
9 thinking if we are to embrace the current effort.

10 My comments today address several points made
11 by FDA on the issue of identifying ODIs in that draft
12 NDI guidance, as this is the most recent Agency
13 communication on the matter. My comments largely
14 disagree with FDA on several details, but also provide
15 suggestions for improvements and revisions.

16 Where is the -- how do I do this?

17 You can see here that FDA is consistent in

18 discussing documentation of the ODI status of dietary
19 ingredients as necessary, recommended to show, or
20 needed to determine that an ingredient was marketed
21 before October 15th, 1994. The companies that sell
22 only ODIs are not required to obtain or provide any

Page 67

1 such documentation. They must register their
2 facilities, comply with CGMP rules, label their
3 products in accordance with all relevant regulations,
4 and make sure to meet their requirements under the law
5 if any adverse events are reported to be associated
6 with those products. But they do not need to obtain
7 old records to show that. For example, valerian root
8 or saw palmetto fruit was marketed as a dietary
9 ingredient before 1994.

10 This does not mean that supplement companies
11 that market only ODIs are off the hook with regard to
12 safety. As Steve and Loren both said, the NDI
13 provision of the law only establishes that old dietary
14 ingredients are old. It does not establish that every
15 old dietary ingredient is safe in any quantity for any
16 person.

17 Whether a supplement is made with pre-DSHEA
18 ingredients or new dietary ingredients, it's held to
19 the adulteration clause of the Food, Drug, and Cosmetic
20 Act and so is adulterated if it presents a significant
21 or unreasonable risk of illness or injury under
22 conditions of use recommended or suggested in labeling

Page 68

1 or general conditions of use. Marketers of both ODI-
2 based and NDI-based supplements thus have an
3 affirmative responsibility to meet this unreasonable
4 risk threshold.

5 I don't know what I did right to -- oh, there
6 we go.

7 Let's move then to identifying the sorts of
8 records that can be used to show a dietary ingredient
9 is a pre-DSHEA ingredient. And here in introducing the
10 idea of an authoritative list of these, FDA stated in
11 the 2016 revised NDI guidance that since the Agency
12 does not generally have access to marketing records, it
13 would rely on records supplied by the industry. And
14 the Agency identified these numerous kinds of records,
15 most of which do not exist anymore.

16 We don't have sales records, bills of ladings,

17 sales contracts, manufacturing records, commercial
18 invoices. These are all internal documents. They're
19 just not there, as Loren mentioned. Maybe somewhere
20 they haven't cleared them out yet with their SOPs that
21 require them to destroy records after 7 to 10 years.
22 They're just not there.

Page 69

1 Even these more broadly available records that
2 were broadly available in '94 -- commercial -- or
3 rather, magazine ads, mail order catalogs, sales
4 brochures, lists of ingredients for sale. Some
5 packrats might still have a box or two of their own. I
6 would be one of those, but they're still not readily
7 accessible. They're not things that we can readily
8 find. So identifying these documents as the type of
9 documents that FDA recommends to show that an
10 ingredient was marketed prior to the date, the Agency
11 has identified records that are unlikely to still be
12 available. So of course, we can glean some information
13 from those, but they're unlikely on their own to
14 provide a robust record of the 1994 supplement
15 marketplace.

16 On the other hand -- I'm just not technically
17 advanced here. Here we go.
18 Some records that have not been lost over time
19 are the various lists submitted by the trade
20 associations to FDA in 1996 and 1998 to identify
21 ingredients believed to have been in the U.S. market
22 when DSHEA was passed. But FDA has stated that it does

Page 70

1 not accept these records as authoritative and has cited
2 as rationale for dismissing them out of hand specific
3 problems with each, some of which are articulated here.
4 These problems, by the way, were first brought to the
5 attention of the submitting organizations many years
6 after they were presented to FDA. And so we didn't
7 really have an opportunity to go back and try to repair
8 these things.

9 AHPA completely disagrees that these records
10 should be rejected out of hand and opposes the
11 wholesale dismissal of these lists as relevant records
12 for ODIs. Rather, FDA should accept these as
13 documentation of pre-DSHEA marketing of the many
14 ingredients for which there are no questions on these
15 lists, which at least established that the listed

16 ingredients were very likely marketed in the United

17 States on the date.

18 In discussing these industry-supplied lists,

19 FDA has also stated its unwillingness to consider these

20 as valid records because it's unable to verify the

21 accuracy of the lists. Again, AHPA thinks that FDA

22 should accept the good represented by these lists

Page 71

1 rather than rejecting them for the absence of the

2 perfect and so, therefore, strongly recommends that FDA

3 state its intention to consider exercising enforcement

4 discretion by recognizing each of the ingredients of

5 these as -- in these lists as very likely to have been

6 marketed pre-DSHEA.

7 There are some ingredients on this list, as

8 others have mentioned, that are no longer allowed to be

9 sold. I'll get back on that after my next discussion,

10 which is on these two documents issued by AHPA in the

11 first edition 1992 and the second edition in 2000. The

12 first, of course, was published pre-DSHEA; the second

13 one stated clearly, "We only included ingredients that

14 we believe to be in the marketplace prior to October

15 15th, 1994."

16 Again, FDA stated that these are -- these
17 can't be accepted as authoritative. And it gave as
18 reasons that books do not identify the plant part or
19 other extract part. But rather than simply rejecting
20 these references as having no relevance, FDA should
21 consider any listing in the text to represent the
22 commonly used plant part -- so chamomile flower, but

Page 72

1 not chamomile root; ginkgo leaf, but not ginkgo bark.
2 We know what the parts are used. That information is
3 readily accessible.

4 And as previously communicated to FDA, it's
5 AHPA's view that traditionally processed extracts
6 derived from any pre-DSHEA botanical ingredient should
7 also be acknowledged as a pre-DSHEA ingredient. And
8 we've articulated in detail in our written comments
9 what we mean by traditionally processed extracts.

10 As noted with the lists submitted by industry,
11 there are some plant species included in herbs of
12 commerce that were lawful at the time of the
13 publication, these -- this -- lists that -- and
14 references that have since been removed under FDA's

15 extensive authority to regulate supplements. Examples
16 include, of course, various species of ephedra. It
17 would be a simple matter, though, for FDA to simply
18 exclude these from any eventual authoritative pre-DSHEA
19 list or to identify these with some kind of footnote or
20 marker as unallowed through other provisions in the
21 law. Either such approach would be far superior to
22 completely rejecting the usefulness and validity of

Page 73

1 these documents as accurate records of pre-DSHEA
2 dietary ingredients.
3 Another perfectly legitimate record to
4 establish that a dietary ingredient was marketed in the
5 U.S. prior to the date would be a sworn affidavit
6 attesting to this status. FDA has stated that it would
7 not accept such affidavits. AHPA finds this position
8 to be remarkable and to be completely contrary to the
9 manner by which proofs are made in the courts of the
10 United States.

11 FDA also considers marketing a dietary
12 ingredient to mean selling or offering the dietary
13 ingredient in this very narrow scope of as a dietary

14 ingredient for a dietary supplement or in a dietary
15 supplement.
16 I know I'm running out of time now. Suffice
17 it to say that AHPA believes this limited view of what
18 constitutes marketing in the U.S. is unnecessarily
19 narrow. It's inconsistent with the actual language of
20 DSHEA and the intent of Congress when this good law was
21 passed. AHPA believes that marketed in the United
22 States simply means sold or offered for sale by or to

Page 74

1 any U.S. company, largely irrespective of the end use
2 in an oral dose product. And we will discuss this in
3 greater detail in written comments to the docket.
4 When -- Joe mentioned that 1980 United States
5 dispensatory. Every one of those was marketed in the
6 United States prior to 1994. You can tell because the
7 copyright date is 1918. The 1950 one Farmer's Almanac
8 that I have, that was before 1994. Those -- that
9 almanac listed dozens and dozens and dozens of herbal
10 products for home use, and those were all marketed in
11 the United States prior to 1994. And the idea that we
12 would reject them because maybe they made a drug claim
13 simply throws out the historical records.

14 With all of my comments to this point -- where
15 am I on time here -- AHPA believes that there are other
16 documents than those few types the Agency has
17 previously identified and that I've described today as
18 records that are likely no longer exist or only
19 marginally exist. AHPA believes, for example, that any
20 pre-DSHEA-dated letter addressed to a U.S. firm,
21 whether from a U.S. or foreign supplier, to solicit
22 purchase of a dietary ingredient is a valid record of

Page 75

1 pre-DSHEA marketing, though these, too, may be
2 difficult to locate at this late date. I have a few of
3 them.

4 More readily available references that at
5 least implied pre-DSHEA marketing include herb books,
6 Jeanne Rose's Herbal, Jethro Klos's herb book. All of
7 those herb books that we were all reading when we were
8 kids identified ingredients that were in the
9 marketplace. Even though there wasn't a dollar sign,
10 there wasn't a bottle offered, those should be
11 recognized as references that clearly at least strongly
12 suggest that these products were marketed prior to the

13 date.

14 And pharmacopeia listings to dispensatories,
15 the USPs -- the 1820 USP that included hundreds of
16 botanicals that were marketed in 1820, which was prior
17 to 1994 -- each of these must be considered as implicit
18 evidence of pre-DSHEA marketing, in which AHPA believes
19 a court of law would consider as such evidence should a
20 court's opinion be requested.

21 To summarize, AHPA believes that for FDA to be
22 successful in creating an authoritative list of ODIs in

Page 76

1 a manner that balances this task with the Agency's
2 highest priorities, FDA must make some significant
3 changes to its previous positions. These include that
4 FDA must accept records that are currently widely
5 available, modified as needed to make corrections or to
6 remove a few specific listed ingredient.

7 FDA should move away from any quests for
8 absolute proof of pre-DSHEA marketing and move toward
9 exercising enforcement discretion for dietary
10 ingredients that are acknowledged as very likely to
11 have been marketed in the U.S. as of the passage of
12 DSHEA. This suggestion, by the way, is consistent with

13 FDA's statement in its September 6th Federal Register
14 Notice that announced this meeting and, as Dr. Ostroff
15 said this morning, that the Agency should "better focus
16 our enforcement efforts in alignment with our strategic
17 priorities of consumer safety, product integrity, and
18 accurate information."

19 Next, any eventual authoritative list should
20 identify as a pre-DSHEA ingredient any traditionally
21 processed ingredient derived from a pre-DSHEA botanical
22 ingredient.

Page 77

1 And also, FDA should move away from its prior
2 stated position that only pre-DSHEA use of an
3 ingredient in a product that would today be identified
4 as a dietary supplement actually demonstrates an
5 ingredient to be a pre-DSHEA ingredient.

6 In closing, AHPA recommends that FDA seriously
7 consider whether the significant Agency and industry
8 resources that would be required to create the
9 envisioned authoritative list of pre-DSHEA dietary
10 ingredients is the best use of those resources. In the
11 narrow context of DSHEA's NDI provisions, it's AHPA's

12 view that these resources might be better directed to
13 providing guidance on how to clearly describe an
14 ingredient that is the subject of an NDI notification,
15 as this is the single issue that is most commonly
16 identified by FDA as a serious concern in responding to
17 submitted NDI notifications.

18 More broadly, though, if FDA, and especially
19 the Office of Dietary Supplement Programs, has
20 resources to spare, AHPA believes these resources might
21 be better addressed to improving a mutual Agency-
22 industry understanding of FDA's current good

Page 78

1 manufacturing practice regulation for supplements and
2 to assisting manufacturers, especially small entities,
3 to comply with this complex rule. The GMP rule affects
4 100 percent of dietary supplement products, whereas the
5 NDI provisions and rules apply only to that proportion
6 of supplement products that actually contain an NDI.

7 Thank you very much.

8 (Applause.)

9 MR. DURKIN: Thank you, Michael.

10 Our next speaker is Duffy Mackay, Senior Vice
11 President, Scientific & Regulatory Affairs at CRN.

12 Duffy?

13 DR. MACKAY: Thank you, Bob.

14 Good morning, everyone. It's great to here --

15 be here. I'm with the Council for Responsible

16 Nutrition, one of the trade associations here in D.C.

17 It's great to see such a sincere interest in

18 today's topic, and I want to host (ph) the Agency for

19 this discussion. And I want to thank everyone that's -

20 - for adding their viewpoints to this. We clearly have

21 a topic with a broad spectrum of interests. And I'm

22 hoping that what we hear today gives you enough

Page 79

1 information to find a path forward because I think the

2 task at hand is very difficult.

3 MR. DURKIN: What you want? You need this?

4 DR. MACKAY: All right. So October 15th,

5 1994, a date we're going to hear a lot today, that's

6 when the law was passed. And here we are today,

7 October 3rd, 2017, 20-plus years later, and I would

8 argue we are starting from scratch. We are starting

9 from point 0 in time today, 20 years later. And

10 therefore, we took the liberty to sort of start our

11 thinking from scratch -- big problems, new ideas.
12 Industry's had a position for a long time.
13 Some of the consumer groups have had a position for a
14 long time. We continue to play this tug-a-war. And
15 it's time to really start mapping out a path forward
16 that makes sense and allows both groups to feel
17 confident we're in a good place.

18 So our task right now, the one we're
19 discussing, is identifying independent and verifiable
20 evidence ingredients were sold 20-plus years ago.
21 We've heard a lot of rationale, very reasonable
22 rationale why this is going to be a very difficult

Page 80

1 task.

2 And I've got a spoiler alert that no longer is
3 a spoiler. This exercise alone, especially if we
4 continue to see the interpretation of the evidence
5 constrained and limited and specificity required, this
6 exercise alone is not likely to result in the desired
7 certainty around a significant number of common dietary
8 ingredients that common sense would dictate have been
9 used either in food for a long time or actually in
10 dietary supplement-like products.

11 So we really need to think about this in a way
12 that allows the consumer groups to feel comfortable
13 that is appropriate regulatory paradigm for these
14 ingredients as well as for consumers and the people who
15 consume these products as well as the industry is not
16 strapped with unreasonable resources or unreasonable
17 regulatory requirements. So we're back to this point
18 of balancing consumer accessibility with appropriate
19 regulation.

20 So what are our goals? I think it's fair --
21 and when I say stakeholders, I'm talking about
22 industry. I'm sort of trying to think on behalf of the

Page 81

1 Agency, and I'm also thinking about consumers. And I
2 think it's fair that we all desire transparency and
3 regulatory certainty regarding a very long list of
4 common dietary supplement ingredients.

5 There are too many questions. Even industry
6 has questions. Is this form of zinc old? I don't
7 know. It's a hard question to answer when you're faced
8 with a board of directors and business decisions and
9 lots of different things that you're faced with and

10 people want certainty, transparency. Investors want
11 it. Consumers want it. So let's move forward.
12 Stakeholders also want consumers to have
13 access to safe dietary supplement. The industry I work
14 with really values safety as a high priority. I think
15 it's fair to say that industry embraces its obligation
16 to file a 75-day pre-market notification for new
17 ingredients when, in fact, there is no evidence to
18 support a history of use in the food supply or as a
19 dietary supplement. So on the flip side, the industry
20 does not support unnecessary regulatory submissions for
21 ingredients where we do have the common knowledge that
22 these ingredients have been consumed for a long time.

Page 82

1 So we'll go to the safety standard. Whoops.
2 And I know I'm oversimplifying some of this, but for
3 the sake of discussion, we have these three buckets
4 that we have been given.
5 Old ingredients -- the infinite wisdom was
6 their presence in the marketplace provides an adequate
7 history of use to establish -- and I kind of
8 overstepped here -- reasonable expectation of safety.
9 We all know that's not true. If you have an ingredient

10 that was on the market, that's all it tells you. It
11 was on the market. But as was mentioned, Section 342
12 of the Food, Drug, and Cosmetic Act would also, as an
13 umbrella clause, say that you as a marketer have to
14 understand that your finished product with that
15 ingredient as formulated and as instructed is still
16 safe for the intended use.

17 We'll take caffeine for an example, a great
18 ingredient to talk about -- old ingredient, been around
19 forever. No one would expect an NDI to be filed on it.
20 However, when firms try to sell pure powdered caffeine,
21 the form changed everything. It became unsafe, it
22 became dangerous, and FDA said this is no longer a

Page 83

1 dietary ingredient.

2 So again, Section 342 kicks in even if no
3 notification is required, and we need to have that
4 guide this whole entire discussion because just because
5 no notification is required does not mean your
6 obligation to establish safety is skipped. So we have
7 to remember that as we move forward.

8 So then we have new dietary ingredients. And

9 all's that happens here is that the history of use or
10 other evidence of safety that establishes the
11 ingredient as reasonable accepted to -- for safety is
12 given to the Agency so they can acknowledge it. That's
13 -- you know, still, we're just looking that it's been
14 consumed and there's evidence that it meets the
15 standard.

16 One thing we're not talking about so much --
17 and clearly from the discussions, a lot of evidence is
18 going to be out there that says this ingredient has
19 been used hundreds of years, 50 years, 60 years. But
20 getting into where it was marketed and whether it was
21 marketed in a tablet or capsule, that's going to be a
22 layer that's very tricky. So we have to remember that

Page 84

1 ingredients that are already in the food supply, that
2 in itself provides evidence that the -- there is a
3 history of use which can link to a reasonable
4 expectation of safety. And the guidance would say no
5 notification is required. So these are new dietary
6 ingredients, but no notification is required for
7 ingredients which have been present in the food supply
8 as an article used for food in a form which is not

9 chemically altered.

10 I would argue we are going to find way more
11 evidence related into ingredients in the food supply
12 than we are when we narrowly look for ingredients that
13 were sold as dietary ingredients, which has been
14 pointed out, did not even exist before 1994. So we're
15 saying the law is telling us you've got to show us it
16 was sold as a dietary ingredient -- whoops -- that
17 didn't exist.

18 So we have this challenge. And I'm going to
19 suggest that limiting our current efforts, the Agency
20 and the industry, on only developing a list of
21 ingredients for which there is pre-1994 evidence they
22 were marketed as dietary ingredients is not an

Page 85

1 efficient use of anyone's resources. And we will
2 suggest a better path forward is expanding the scope of
3 this effort to establish clarity with regard to all
4 dietary ingredients that can be used in dietary
5 supplements without notifying FDA 75 days before
6 market. This is all based on what's in the current
7 draft guidance. This is all based on legislation.

8 But why waste our time on a short list of ones
9 we can find hard evidence? Why not make a nice, long
10 list of ingredients that you don't notify where
11 industry can formulate and use without concern --
12 certainty, transparency.

13 So where -- what will we do here? We would
14 create a comprehensive list of all these dietary
15 ingredients. It would include the pre-1994
16 ingredients. So we would do whatever we come up with
17 regard (ph) what evidence, what process. We would all
18 work together to get those things nailed down on the
19 list.

20 But we would add to that all the NDIs that
21 have been filed without objection. There are a handful
22 of ingredients that have been filed, and believe it or

Page 86

1 not, it's actually not that easy to find information
2 on. So how we have our pre-DSHEA ingredients, we have
3 our NDIs with no objection, but we could also create a
4 much longer list that includes ingredients already in
5 our food supply. This is going to be a much longer
6 list, a much better resource for both FDA and industry.
7 And it allow -- which I was really -- great to hear

8 this idea that if we get this all done and we do it
9 right, the Agency and industry can focus our resources
10 on the more important stuff -- GMPs, real safety
11 reviews, getting those NDIs filed. We can create
12 certainty around the low-hanging fruit; we can move on.

13 So where do we look? We have databases out
14 there both for the global food supply and the domestic
15 food supply. And you pull those databases out, and lo
16 and behold, you find all sorts of ingredients that are
17 used in dietary supplements. So instead of running
18 around chasing receipts for chondroitin sodium sulfate,
19 which is already a GRAS ingredient, why don't we just
20 pop it on the list as an ingredient in the food supply,
21 as an article used for food? If no one chemically
22 alter it, done, no need to look for that receipt. Move

Page 87

1 on to the next ingredient.

2 Bacillus coagulants, another common dietary
3 ingredient, firms won't know. They -- I'm selling
4 bacillus coagulants. How do I find the evidence?
5 Where do I go? And instead, the Agency and the panel
6 and whatever we come up with can vet these things, get

7 them on the list, and we can move on.

8 Here's another database the FDA has --
9 everything added to food. I sort of cherry-picked
10 through this database to let -- you know, acai berry
11 extract. I don't remember that before 1993. I really
12 wasn't using a lot of supplements back then. But you
13 know, again, no one has to find that receipt. Whey
14 protein concentrate -- all of these different forms of
15 zinc are in the food supply as articles used for food.
16 Take them off the lists, no reason to talk about it.

17 So a reasonable path forward is developing a
18 comprehensive list that includes all three of these
19 buckets. Now, we had a pre-conference call. A couple
20 things came up, and I think it's very fair to say there
21 are two important considerations to make any of this
22 work. One of them is that an ingredient in the food

Page 88

1 supply is distinctly different and separate than any
2 isolated bioactive ingredient or a constituent found
3 within the same food. We'll talk a little bit more
4 about that.

5 A second -- and I've already said it, and I'm
6 going to say it again because it's so important --

7 manufacturers always have an obligation to evaluate the
8 safety of all finished dietary supplements to ensure
9 they meet the dietary supplement safety standard, even
10 when the manufacturer is not required to file
11 notification with the Agency.

12 So what do we mean by ingredients not equal in
13 constituents? So important. Why so important?
14 Because I think it's fair to say this is the issue that
15 has dragged this thing out of the closet and caused so
16 much tension. We have ingredients out there that are
17 isolated constituents a botanical, and people are
18 trying to say they don't have to file an NDI. It's
19 just not true. It doesn't make any public health
20 sense. We know that's the -- basically how drugs are
21 made. You find cool compounds in plants, and you
22 isolate them and you make drugs.

Page 89

1 The dietary supplement regulatory paradigm
2 would say that is new. Please submit to us that you
3 need evidence that establishes safety. A great example
4 is pineapple. Pineapple is an ingredient in the food
5 supply. Long history of safe use -- we've probably all

6 eaten pineapple. So therefore, common sense would
7 dictate any dried, ground -- and also the draft
8 guidance would dictate a water or alcohol extract of
9 that pineapple would not chemically alter it. And
10 therefore, no notification is required. That's what we
11 get for pineapple.

12 However, bromelain is a chemical constituent
13 found within that pineapple, also has health benefits
14 that might be worth supplementing with. But it has to
15 be looked at as a completely separate ingredient that
16 requires its own regulatory path to market, whether
17 it's GRAS, NDI notification, whatever it is. It cannot
18 piggyback just because it exists in pineapple.

19 Now, exposure data related to people eating
20 pineapples can be used as part of its history of safe
21 use to develop this argument; however, you need its own
22 independent pathway. And in fact, in this example, I

Page 90

1 looked up bromelain, and someone did a GRAS affirmation
2 back in the day. So bromelain itself went to market
3 independently as its own ingredient. It's now in the
4 food supply as an article used for food. And if you
5 choose to use bromelain in your supplement, no

6 notification required. Let's move on.

7 Safety. We've talked about this -- Section
8 342, an umbrella safety clause. Every time someone
9 today tries to say no notification is required, this is
10 FDA's only opportunity to evaluate safety, this is how
11 DSHEA was enacted. These are food ingredients
12 balancing consumer access. It was chosen at the time
13 that people would be able to evaluate their formula and
14 determine if it met the standard. That's what we have.

15 So in conclusion, a reasonable path forward --
16 I said it three times. That means everyone is going to
17 remember this. Put a list together that has the old
18 ingredients, the NDIs that have not been objected to,
19 as well as a long list of ingredients found in the food
20 supply.

21 With that, thank you, audience. Thank you
22 guys.

Page 91

1 (Applause.)

2 MR. DURKIN: Our final panelist to speak today
3 for this first session is Pieter Cohen, Associate
4 Professor of Medicine, Harvard Medical School.

5 DR. COHEN: Thanks for having me.
6 A few quick introductory notes how I got
7 interested in this. My -- I'm a general internist at -
8 - right outside of Boston, Somerville. And when my
9 patients started becoming ill -- this was about 15
10 years ago -- the investigation eventually led me into
11 this research that I now do into the safety of dietary
12 supplements.

13 So prior to that, I had no specific knowledge
14 or interest about supplements, per se. I assumed that
15 they were all safe, and I hadn't thought this was an
16 issue. But the last 15 years and the research we've
17 done over the last decade has really changed my mind
18 about that.

19 But at the same time, supplements are
20 something that's -- that are absolutely essential and
21 that I recommend every single day in clinic to my
22 patients. So there's not a day that goes by where I'm

Page 92

1 not talking to multiple patients about making sure
2 they're taking their supplements on a regular basis or
3 starting a new supplement.

4 So with that said, I also just want to mention

5 that I -- the only -- I don't have any conflicts of
6 interest. But Consumers Union has read research of
7 mine in the past.

8 I'm going to focus today on three issues that
9 I think are germane to today's conversation -- the
10 context under which we're making this important
11 decision -- the FDA is making this important decision;
12 then talk specifically about what an ingredient means
13 to us from the research perspective; and then very
14 briefly mention a comment or two on marketing.

15 So in terms of the context, this decision,
16 this discussion, is so important, as we know, because
17 the only opportunity for the FDA to be involved with
18 ingredients prior to them reaching consumers is through
19 these hard decisions. Is it a pre-DSHEA ingredient?
20 Is it something that requires an NDI or GRAS?

21 So this is of the utmost importance, and we
22 need to take this decision very seriously in the

Page 93

1 current context. So we don't have time to go into
2 detail about all these different aspects of the current
3 context, but I'll just mention the things that aren't -

4 - that seem to be relevant to help us decide how

5 lenient or not to be in terms of this decision.

6 Number one, there is no product list

7 available. So the FDA has no idea what products are

8 out there, and there's no -- therefore no way to track

9 anything about the products out there. And of course,

10 firms can change products at any time without informing

11 the FDA as long as they're not involving an NDI.

12 There's no requirement -- and this is from my patients'

13 perspective -- there's no requirement that the label

14 lists known adverse effects of the ingredients, nor is

15 there any requirement that the label requires

16 information regarding drug supplement interactions.

17 Secondly, since the FDA doesn't know what

18 products are out there, it's not too surprising that

19 there's not an effective way to detect dangerous

20 supplements. We know that there are hazardous

21 supplements out there. But the different -- they're

22 detected in different -- by different people, and those

Page 94

1 groups do not talk to one another.

2 So we know from investigations that the CDC

3 has done -- epidemiologists at CDC that doctors report

4 over 20,000 people seeking emergency care due to harm
5 from supplements. We also know from other research
6 from the poison control centers that, additionally and
7 separately, maybe people who don't seek care are
8 calling the emergency poison control centers to seek
9 help with harm from supplements. And then separately,
10 we have the FDA's MedWatch system. None of these
11 systems talk to each other or are accurately
12 categorized and collected. Therefore, we don't have an
13 effective system to detect the occasional harmful
14 products that are out on the market.

15 With that said, I appreciate that the great
16 majority of markets are entirely safe. But it's of our
17 utmost importance to be able to in a timely fashion
18 identify those few products that might be causing the
19 most harm.

20 And then the third part of the context is that
21 we don't have ability. The FDA has been unable to
22 officially remove the products that are found to be

1 harmful when they do become harmful. So there's a long
2 delay in terms of identifying those products, and then

3 the FDA has been unable in a timely fashion to remove
4 the harmful products from store shelves. Ephedra's a
5 great example because of course, as Steve Tave has
6 already mentioned, it took a long -- prolonged 10-year
7 process that went all the way up to the Supreme Court
8 before ephedra alkaloids could be removed from the
9 market.

10 In terms of -- and that's, of course, through
11 the legislative process. But the more common process
12 is either warning letters or recalls. And our research
13 has found that neither of those are effective either.
14 In the case of recall of individual supplement
15 ingredients, we have found that the identical product
16 has been sold years later with the same hazardous
17 adulterants in it after FDA recalls.

18 And in terms of letters, the classic example
19 currently would be DMAA. The FDA has, under Dan's --
20 Fabricant's excellent work, has been adamant and
21 aggressive in trying to remove DMAA from the
22 marketplace. But unfortunately, there are still dozens

Page 96

1 of supplements opening selling DMAA today. And again,
2 it's involved in a long of legislative process. So the

3 FDA has no way to remove in an efficient manner how --
4 these infrequent, rare, but ones that can cause serious
5 consequences, such as dozens of cases of hepatitis from
6 an individual product over just a few-month period.

7 So now turning to my thoughts in terms of the
8 ingredient -- what ingredient means, I just want to
9 second what Duffy has said. I completely agree with
10 him, that we just -- one major step forward from a
11 safety perspective would be to recognize that just
12 because something has been found in trace amounts,
13 meaning parts per million, in a food or a botanical
14 somewhere in the scientific literature, that that
15 doesn't mean that it should -- would be permitted to be
16 introduced into dietary supplements as if it were a
17 pre-DSHEA ingredient. So that's something that I'm
18 delighted to see that we're completely on the same page
19 about, as we are on so many things. In addition, I --
20 such as access, which I think we should have
21 transparency and safety.

22 Now, there's another part of the ingredient

1 that I think we need to think about for a few minutes.

2 And that gets to a very challenging problem here, which
3 is the -- how the ingredient's prepared. So what we've
4 heard is that there are lots of lists, and some might
5 be more definitive than others. But my concern is not
6 -- is more in the details because what we have found --
7 and this is with colleagues of mine at University of
8 Mississippi Ikhlas Khan's Lab. I'm going to talk to
9 you about two of our recent studies. And what we have
10 found is that how the supplement is prepared is the
11 bottom line in terms of safety and that the consumers
12 would have no way of telling the difference based on
13 the -- because of the framework and the requirements
14 for the labels at present.

15 So in the case of yohimbe, which Joe Betz has
16 already mentioned, it's an African tree, and the bark
17 is used -- the extract from the bark is used as
18 traditional aphrodisiac. There would be no question
19 that was traditionally used prior to 1994. The problem
20 comes with when we take a closer look at the products.

21 Inside that bark is a very -- and the
22 traditional bark would include less than 1 percent --

2 most potent chemical of yohimbine -- it's confusing
3 because it's just I-N-E at the end, but it's the name
4 of the chemical -- that's most potent in yohimbe bark
5 extract. And that's so potent that it's been marketed
6 as a pharmaceutical drug -- prescription drug at
7 dosages of 5 to 10 milligrams per pill when prescribed
8 by doctors. But that's much greater than the small
9 amount that would be found in the bark extract.

10 When we analyzed supplements that were sold in
11 the mainstream stores -- brick and mortar stores, not -
12 - this is not fly by night or marginal firms -- what we
13 found was that the amount of the active compound, the
14 pharmaceutical ranged from none to greater than
15 prescription dosages. So if we don't know how the
16 yohimbe bark extract is being processed to get into the
17 supplement, we would have absolutely no idea of how
18 it's being -- what its pharmacological effects are and
19 its safety effects. Therefore, what we need to know is
20 both when yohimbe bark extract was sold prior to 1994,
21 what was the manufacturing specifications in which it
22 was used and then replicate those. I also appreciate,

1 as has been said, that's going to -- a lot of that data
2 has probably been lost.

3 So a potential compromise here would be to use
4 USP monographs to help us with combining what's on
5 traditional lists using USP monograph manufacturing
6 standards to then ensure that we're dealing with a
7 product that's pre-DSHEA and not a new drug.

8 Another example of the same process is red
9 yeast rice. It's different because here we have rice
10 fermented with a yeast. And when red yeast rice is
11 fermented in a traditional manner, there contains a
12 small amount of drug in red yeast rice is -- that
13 identical to a prescription statin that lower
14 cholesterol. And this is, of course, one of the most
15 current reasons why red yeast rice is used -- to lower
16 cholesterol and for heart health, which makes a lot of
17 sense.

18 But the problem is that, depending on the
19 fermentation specifications -- whether or not what
20 yeast is used, how much is fermented -- the amount of
21 the statin, the drug, can vary greatly. In another
22 study with Ikhlas Khan's Lab, my analytical chemistry

1 colleagues found that there was a 60-fold difference in
2 the dose of the statin in red yeast rice products that
3 we bought from mainstream retailers. The equivalent in
4 medicine would be saying well, I might when I buy --
5 use Lipitor, I might be taking 20 milligrams, or I
6 might be taking 1,200 milligrams of Lipitor.

7 I just want to briefly mention marketing. To
8 me, a non-lawyer, it seems to me that it would be
9 important to demonstrate that it had actually been
10 bought and sold and consumed because often -- obviously
11 the presumption of safety comes from consumption of the
12 product. So a simple advertisement in a magazine
13 doesn't seem to me sufficient information that it was
14 actually bought or sold or marketed, but I'll leave
15 that to the pros to sort out.

16 So I just want to conclude in saying that,
17 while I am completely sympathetic and agree with
18 Duffy's point about safety and that this -- the safety
19 overrides everything, in an ideal world, that would
20 make perfect sense. The problem is that, today, we
21 have no way of detecting the unsafe products and then
22 removing them from store shelves. Until those issues

1 can be sorted out, we can't move to this other place,
2 which I think would be much better for all of us to be
3 in.

4 So I think we should focus on how -- on
5 distinguishing a constituent of an ingredient from
6 something that's been found. We should focus on having
7 solid manufacturing specifications, and we need to make
8 sure that it was consumed prior to 1994.

9 Thank you very much.

10 (Applause.)

11 MR. DURKIN: Thank you to all of our panelists
12 for your excellent presentations.

13 This is the time now where the other
14 stakeholders in the room and joining us online have the
15 opportunity to ask some questions of the panelists.

16 Folks in the room, if you notice, there are
17 microphones on either side. Please feel free to avail
18 yourselves to those for questions.

19 And folks online, you can submit your
20 questions, and they'll be relayed to us up here at the
21 panel.

22 MS. MACCLEERY: Hi, there. Laura MacCleery

1 with Center for Science in the Public Interest.

2 I really appreciated the panel overall. I
3 wanted to follow up with Duffy on your idea about two
4 things. First, are you suggesting that there would be
5 a process that would sit atop of the current proposal
6 to look particularly at safety? Because it -- on
7 several points in your remarks, you focus on the fact
8 that the obligation to safety attaches to any product
9 sold as a dietary supplement.

10 And I wondered. What would -- what, in your
11 mind, is the mechanism by which FDA and the industry
12 and consumer organizations might sit together and look
13 at this question of safety with regard to the
14 development of a list?

15 DR. MACKAY: Appreciate that comment. Hard to
16 answer because -- is the other microphone -- the
17 mechanism -- the discussion today is not about
18 establishing a pre-market review for all dietary
19 ingredients, especially for the ones already in the
20 food supply.

21 My recommendation was, the way it sits today,
22 there's three buckets of ingredients that if you as a

1 manufacturer choose to use, you do not have to submit a
2 notification. Notifications are expensive and time-
3 intensive.

4 So if chondroitin sodium sulfate has already
5 got a GRAS affirmation, it's in an FDA database, it's
6 already being consumed by humans every day, a firm
7 should not have the question do I have to file
8 notification for this ingredient. They can put it in a
9 formula.

10 Then what I was referring to in the umbrella
11 of safety is, once they've formulated that product and
12 once they have determined the intended user -- kids,
13 adults, pregnancy, whatever it is -- they do a safety
14 evaluation as per Section 342 of the Food, Drug, and
15 Cosmetic Act that all food companies should be paying
16 attention to and all dietary supplements should be
17 paying attention to and is the law of the land with
18 regard to adulteration currently.

19 So all's I'm suggesting is that an efficient
20 process be put in place so that we don't waste time and
21 energy and resources on ingredients that are readily
22 available in the food supply already today. And then

1 FDA can peel back its energy and say which ingredients
2 are we worried about and let's get busy on those.

3 MS. MACCLEERY: Okay. Thank you.

4 Two follow-ups, if you will. How would you
5 think about the safety of novel combinations of
6 ingredients that haven't been used in that form in
7 combination before?

8 DR. MACKAY: Well, we are talking about food,
9 so we are not talking about drugs. And so therefore,
10 the current regulatory paradigm is based on these being
11 articles of food. We are regulated as food. These
12 guys sit in the jurisdiction of food.

13 And so we do not -- just like a GRAS
14 ingredient, once you've established a GRAS ingredient
15 is GRAS, if I put together a protein bar, I do not have
16 to do a safety toxicological submission to FDA to say
17 my protein bar where I've added a probiotic and some
18 vitamin C to needs to be submitted to FDA. I know
19 these are two safe ingredients already in the food
20 supply consumed by thousands and millions of consumers.

21 But I do have Section 342. So I will go to my
22 chief science officer and say please look at this.

1 Now, if that chief science officer says I used caffeine
2 and some herb that contains a stimulant, then he's
3 going to say I need to assess the additive effects of
4 these stimulants to make sure that it's safe for the
5 intended user.

6 These are all rules that are in place today
7 that keep our category of ingredients incredibly and
8 why our numbers of adverse events are so low and why
9 millions of consumers enjoy our products daily.

10 MS. MACCLEERY: And so that example is really
11 interesting because I think that's at the heart of some
12 of what we've seen with the energy drinks where you do
13 have multiple stimulants stacked in the same
14 ingredient. And it's not really clear that the
15 combination has been evaluated for safety at the end
16 product because companies are using GRAS self-
17 affirmations.

18 And so the -- my third question would be, you
19 know, your examples all had to do with public
20 notifications and use of the FDA GRAS affirmation
21 process. What about GRAS self-affirmations by
22 companies that are really made in a back room and held

1 private and where the public and FDA has no visibility?

2 DR. MACKAY: Well, the whole GRAS process is
3 laid out very explicitly, including how you put the
4 panels together and how you do the toxicology. And the
5 wisdom of the people who put these policies together,
6 we know that FDA does not have the resources to look at
7 every single GRAS affirmation for dehydrated bananas or
8 powdered chlorophyll. These are food ingredients, and
9 so these decisions were made a long time ago. And at
10 one point, they did require notification to FDA. FDA
11 could not keep up. And therefore, the self-GRAS
12 affirmation was put in place.

13 And we're not here today to argue the merits
14 of the self-GRAS affirmation. I'm here today to say
15 these ingredients are in the food supply as articles
16 used for food and, if they are not chemically altered,
17 can be used in a dietary supplement with no
18 notification.

19 And we should help the industry and the Agency
20 to decide which those ingredients are. Let's be
21 transparent about it. We're not here to discuss
22 changing the GRAS process. We're not here to discuss

1 introducing safety panels into this process. Those
2 would require changing the law.

3 MR. DURKIN: I'll invite anyone else on the
4 panel to opine on the questions if they'd like. No
5 pressure.

6 MR. MCGUFFIN: Just a comment that I think
7 reiterates the point that Duffy made is the general
8 premise in making a food is that if I combine safe food
9 ingredients, I have a safe food. I tried to give Cara,
10 but I ran out. I made some fig jam, but I did this
11 crazy thing. I put fennel seeds in it. It had never
12 been done before as far as I know. And as any of you
13 know that study botany, the APACA, those seeds, they're
14 filled with all kinds of chemicals. I had no idea.
15 But it was really delicious. I used safe fennel seeds
16 with safe figs and safe vinegar. I'm not going to tell
17 you the whole recipe, but it was amazing and no one was
18 harmed.

19 And so we have the same theory here. And I
20 think that the example that Duffy used that was really
21 good. We also had this obligation to ensure that we
22 comply with 342, that we're only selling safe foods.

1 And so if you do take two ingredients that you know
2 might work together, two stimulants being the most
3 common issue that we address, then you are going to
4 have to, as a company, take responsibility for ensuring
5 that the product that you put in the marketplace is
6 reasonably expected to be safe.

7 And then to something that Dr. Cohen said, we
8 do have an obligation to provide material information
9 on that label. So if it's supposed to say not for use
10 by children under the age of 18, then it should say
11 that. And we read the law as requiring that. In fact,
12 one of the advances of DSHEA is that, prior to its
13 passage, we were all afraid to put any warning on a
14 product. We were all afraid to completely inform the
15 consumers of what we know about safety because only
16 drugs do that. And DSHEA specifically allows
17 cautionary statements on product labels.

18 And you know, we owe it to the consumers to
19 make sure that we are adhering to that provision of
20 material information that's relevant to any combined
21 food.

22 MR. DURKIN: Okay. Thank you.

1 As you ask your question, could you please
2 state your name and your affiliation?

3 MR. FRANKOS: Yeah, I'm Bill Frankos with
4 Herbalife.

5 Duffy, I would like to also add to the list of
6 ingredients any direct food additives that's proved in
7 21 CFR. There's also an extensive list of flavoring
8 ingredients, spices, herbs, and they are listed. And
9 they don't specifically say for the herbs, for some of
10 them, what part is extracted. It's just listed as an
11 herb.

12 And I would also suggest that FDA be clear
13 that if an herb is listed either as a GRAS ingredient
14 or as a direct food additive that FDA would
15 specifically indicate that that can be used and process
16 in a way that doesn't alter the identity. And that
17 would include, based on Congressional history, water
18 and alcohol extracts.

19 So I think -- globally, I think this list
20 should be very clear about that ingredient on this list
21 can be extracted with water or alcohol and not have to
22 submit an NDI notification.

1 So that -- I would add that to this list.

2 MR. DURKIN: Duffy, that was in response to
3 something you said. Do you have any --

4 DR. MACKAY: The answer is yes.

5 MR. FRANKOS: Yes.

6 (Laughter.)

7 DR. MACKAY: And Bill, that's exactly -- when
8 I talk about traditional extracts of old botanical
9 ingredients, we certainly mean water extracts,
10 ethanolic extracts, probably also vinegars, oils, but
11 fairly simple almost processes that you could do in
12 your kitchen, but certainly traditional food processes
13 that were established by 1994.

14 MR. FRANKOS: Thank you.

15 MR. DURKIN: Doctor?

16 DR. COHEN: That also allows me an opportunity
17 to explain the distinction I have because what we also
18 know is that you can take an extract -- an aqueous
19 extract, for example -- and through chemical
20 processing, greatly increase one component.

21 So when we're talking about these active
22 compounds, it's the nature of the extract that I'm

1 concerned about, although I certainly appreciate the
2 point about the general acquiesce in other, you know,
3 general methodologies.

4 MR. FRANKOS: I agree. But the way you
5 extract, whether it's water, alcohol, or tincture (ph)
6 -- and it's the processing as you're doing the
7 extraction that you have to look at -- that evaluation
8 is done in the safety review of that specific product
9 that's extracted. So putting it on the list is the
10 first step. But then you have to do the safety review,
11 as many of you suggested.

12 DR. COHEN: Just from my perspective as a
13 physician consumer, it's -- if you're looking at what
14 was marketed, you're looking at what active ingredients
15 are being consumed into the human body so that those
16 details are absolute essence, you know, and not have to
17 do with a separate safety eval. I'm not asking for a
18 safety evaluation of all those products, and I
19 completely agree.

20 UNIDENTIFIED MALE SPEAKER: Compositional.

21 DR. COHEN: Exactly. It's composition.

1 specific details about what was in the final product
2 and you could, you know, retrograde, figure out how to
3 manufacture that, I would also be completely
4 comfortable with that. The question is, what was being
5 consumed by humans prior to 1994 as a supplement or
6 food, is that what's in the supplement?

7 MR. FRANKOS: Thank you.

8 MR. HENNINGFIELD: Good morning. I'm Jack
9 Henningfield.

10 Outstanding panel session this morning. Every
11 one of you touched on issues that our company and
12 clients are working with.

13 I'm a pharmacologist, professor of behavioral
14 biology at Johns Hopkins, and I'm a consultant at
15 PinneyAssociates. And we work mainly in drugs and
16 tobacco and dietary.

17 And two issues came up, and one is the
18 substances that are basically under the conventional
19 radar screen -- you know, before internet, before other
20 things -- and that came in with immigrants. And one
21 that I'm working on is kratom, a leaf from a tree in

1 Now, documenting, marketing, and sales and use
2 through conventional means, just I don't think it's
3 going to happen. I'm from Minnesota where a long of
4 Hmong immigrated to, and I very quickly found through
5 my Hmong friends and colleagues that kratom has been
6 used and brought over and was used pretty commonly. I
7 thought, well, maybe you -- we can get affidavits. I
8 found out that might not be acceptable.

9 There is also a history of foreign use and
10 actually a lot of science in Southeast Asia. And what
11 I'm wondering is what might be the standards that might
12 be in a guidance document for the use of affidavits.
13 So it seems to me it shouldn't be a black-and-white
14 issue -- you can or you can't use affidavits. But what
15 are the conditions? What would satisfy? What would be
16 reasonable evidence?

17 As a scientist, I always look for convergence.
18 So me running to Minnesota and getting affidavit from a
19 friend probably isn't enough. But there's got to be
20 some way you can use that information.

21 The same applies for ex-U.S. data. Some ex-
22 U.S. data is garbage; some U.S. data is garbage -- are

Page 114

1 garbage. But some ex-U.S. data are great.

2 What do you think about coming up with
3 standards that would allow us -- somebody like me
4 working with clients to say okay, here's what we've got
5 to do to get affidavits that would satisfy FDA and be
6 reasonable evidence, and here's what kind of
7 convergence we need from ex-U.S. information that would
8 be reasonable, instead of bringing it in and then,
9 well, that's not good enough?

10 I'd love your comments on that.

11 MR. MCGUFFIN: Let me start with I'm not going
12 to be able to answer the question about what
13 information in an affidavit would satisfy the Food and
14 Drug Administration. But I do think, Jack, you're
15 pointing out these ethnic botanicals that have come in.
16 We know that has happened for a long time. The first
17 death notes were British, and they certainly brought
18 their -- or Dutch, probably -- and they brought their
19 herbal medicines with them. Absolutely. And those
20 were marketed in the United States before there was a

21 United States in herb shops in Manhattan.

22 Certainly in -- the Chinese immigrants that

Page 115

1 came in in the 19th century, there are at least two
2 Chinese herb shops that I know that are maintained --
3 one of them is on the National Registry of Historic
4 Places -- that was an herb shop. Every single herb
5 that was sold in that herb shop was marketed in the
6 United States prior to the date.

7 Now, some might argue yes, but those were
8 marketed as drugs. My view, AHPA's view, is that any
9 oral use establishes use in the United States prior to
10 the date. We know that immigrants from Vietnam in the
11 mid-'70s, they brought their botanicals with them. The
12 immigrants from South America and Central America
13 brought all of those botanicals into their botanicas
14 (ph). Those were all in the United States prior to
15 1994.

16 And I do think we need to figure out a way to
17 recognize that and add to Duffy's lists pretty much all
18 of these ethnic -- they were medicines, but they were
19 also being consumed in a manner that was a home

20 medicine, a traditional therapeutic agent. We think
21 all of those are old dietary ingredients.

22 I can't really help you with your specific

Page 116

1 question about an affidavit, though. Maybe Steve can.

2 I don't know. No, not today.

3 MR. DURKIN: Does anyone else on the panel
4 have anything to offer on the topic?

5 DR. MACKAY: You know, I would. We have a few
6 botanicals out there currently that raise a lot of
7 questions from a lot of sides of the aisle. And I just
8 don't think we should let today's conversation be
9 guided by those extreme points of view.

10 You know, we have cannabis coming back. We
11 have kratom (ph), and we have all this stuff happening.
12 But what we're talking about today is not that. What
13 we're talking about is the common ingredients that
14 people consume as dietary supplements and getting
15 clarity and certainty around those.

16 Your kratom story is going to go on for a
17 very, very long time, and this is not the time to solve
18 that. This is the time to get the current dietary
19 supplement industry that has been around since '94 the

20 clarity and transparency it needs to market health-
21 promoting products. There are botanicals out there
22 that clearly fall in the category of medicine, drugs,

Page 117

1 and there's a route-to-market currently for that.

2 Or you need to get together with your friends
3 and create a new market for these products and a new
4 regulatory category. But you know, right now, we're
5 talking about dietary ingredients that were used in
6 dietary supplements.

7 MR. DURKIN: Question from this side of the
8 room maybe? Your name and affiliation for the
9 question.

10 (Laughter.)

11 MR. TAVE: I'm happy to wait my turn if there
12 are others, but since there was a lull, I thought I'd
13 take a chance.

14 Let me make a quick point on affidavits since
15 there -- it was brought up. I mean, if you look in the
16 revised draft guidance, we did not rule out the
17 absolute use of affidavits. What we said was an
18 affidavit unsupported by contemporaneous documentation

19 is not likely to be persuasive.

20 So affidavits, I think, can be a part of the

21 puzzle. But at the same time, I think an affidavit

22 saying I swear I consumed this 30 years ago is maybe

Page 118

1 not as likely to sway us.

2 MR. DURKIN: Let's throw that to the panel for

3 any comments.

4 MR. TAVE: Only since I was put on the spot.

5 MR. DURKIN: Yeah.

6 MR. TAVE: That wasn't --

7 MR. DURKIN: Yeah.

8 MR. TAVE: I'm happy to take responses if you

9 ...

10 MR. ISRAELSEN: Yes, the question which --

11 that's good news to hear. The challenge will be that

12 the important date is 23 years old. And if no

13 affidavits were taken contemporaneously at that time,

14 how do you fill the gap to have someone who says yes,

15 this happened pre-DSHEA; I'm prepared to state that?

16 How do we get around this problem?

17 MR. TAVE: Yeah. And maybe I misspoke, and I

18 don't want to go too far down the rabbit hold. I

19 didn't mean to suggest we're looking for an affidavit
20 from October 14th, 1994. It could be an affidavit from
21 2017 that points to different pieces of supporting
22 evidence that tend to lend reliability to the

Page 119

1 affidavit. But you know, my point in bringing that up
2 was just to say it's not a black-and-white issue.

3 MR. ISRAELSEN: Okay.

4 MR. TAVE: But the reason I stood up -- and
5 number one, I want to thank all of you for your
6 presentations. I think, you know, as panelists,
7 collectively, you give us a really good overview of the
8 spectrum of issues we're facing.

9 And one of the things that I mentioned -- and
10 Loren I think reiterated it a bit -- was we have to
11 address these questions about chemical alteration and
12 identity. And Duffy and Dr. Cohen mentioned it. It's
13 an issue that's there, and it's very easy, I think, as
14 we start to look at sort of the absolute perspectives
15 of here's something that, you know, from an industry
16 perspective we think should be sufficient to establish
17 pre-DSHEA status. From the other side, we could say,

18 you know, on its own, a certain document might not be
19 adequate to establish pre-DSHEA status.

20 But as we are here together trying to forge a
21 common path forward to create a list that will, you
22 know, have utility for industry, for other

Page 120

1 stakeholders, how -- and this question is not
2 necessarily for Loren. But you know, feel free to
3 start it off if you want.

4 Does anyone have suggestions or thoughts about
5 how we can define identity? And maybe the other way to
6 look at it would be how can we talk about processes
7 that might actually change the identity or the
8 pertinent characteristics of an ingredient? Because I
9 think in the comments I saw an acknowledgement that
10 there are often changes, or there can be changes, that
11 do change these relevant characteristics. And when
12 that's the case, an NDI might be required.

13 So I'm looking for some suggestions or some
14 examples or some ways to think about how we can define
15 those so that, you know, if we have a list of
16 ingredients that were clearly marketed pre-'94 and a
17 list of ingredients that clearly weren't, we, you know,

18 potentially adopt Duffy's suggestion of looking at
19 other sources. There's still a very big middle ground.
20 And how do we help stakeholders navigate that middle
21 ground or looking to the right sources to figure out
22 what they want to do?

Page 121

1 DR. BETZ: Yeah. Joe Betz, NIH Office of
2 Dietary Supplements.

3 Documenting the existence of certain plants
4 and even sometimes plant parts in a marketplace prior
5 to 1994 is relatively easy. I mean, there's a sliding
6 scale of easiness, you know. So I know St. John's
7 Wort, for instance, was -- nobody will dispute that St.
8 John's Wort was in the marketplace before 1994. Some
9 of the more exotic herbs that we've only started to
10 hear about more recently, kratom, you know, maybe not
11 so much.

12 One of the first things you need to lay out
13 are some definitions, perhaps a lexicon. The United
14 States Pharmacopoeia has started wrestling with this
15 issue about the definition of raw material versus an
16 ingredient. A lexicon of those terms would be useful.

17 So for instance, a raw material might be the
18 aboveground parts of St. John's Wort, Hypericum
19 perforatum. The ingredient that ends up as the named
20 ingredient in your master manufacturing file might be
21 something other than leaf material. It may be a hexane
22 extract spray-dried onto maltodextrin. That would be

Page 122

1 the dietary ingredient that goes into your master
2 manufacturing record, and that is very different than
3 what we have documented as being in the marketplace
4 that -- prior to 1994.

5 And so I think it would be useful to use --
6 not reinvent a lexicon or a dictionary, but to use some
7 of the authoritative sources who are creating these
8 lexicons. That would help you with the nomenclature of
9 the ingredients and the names so that you can make some
10 easy decisions and kind of defer on the hard stuff.
11 And I think that would be a good first start -- a good
12 place to start before trying to move forward into any
13 kind of discussion of what's not -- what is and what is
14 not pre-DSHEA.

15 DR. MACKAY: I'll offer up that up that Dr.
16 Cohen had a decent idea with regard to the assumption

17 if the plant -- we have proof, we would know that a
18 water or alcohol extract is available. Whether it's
19 USP or other, we do a compositional analysis what
20 chemicals are in our water or alcohol extract.

21 And in our comments, we sort of introduced the
22 idea of an abbreviated notification. So if we know

Page 123

1 something is old and you're looking for an NDI from me
2 because I've changed manufacturing, I'm starting from
3 scratch with Phase I, Phase II toxicology trying to
4 figure all of that out. Or I could just give you a
5 compositional analysis of my product made by
6 supercritical CO2 extraction and demonstrate that it
7 has the exact same chemicals available at or below the
8 same levels. And therefore, I'm consuming the same
9 thing people were exposed to pre-'94 -- so some sort of
10 an abbreviated way a manufacturer could just
11 demonstrate through composition that you're selling the
12 same ingredient without going through the whole NDI
13 process of being reasonable expectation, safety. The
14 reasonable expectation is just I look a lot like that
15 or exactly like that.

16 DR. COHEN: I just want to say that I would
17 completely agree with Duffy. If we could solve the
18 issue of your being able to track an ingredient, detect
19 quickly if there's a manufacturing problem or other
20 problem, and then withdraw it promptly. So in -- on
21 the -- this start, I would -- then I would be in 100
22 percent agreement with Duffy's position.

Page 124

1 MR. ISRAELSEN: Stephen, while you're up and
2 while the mic is here, just to comment on a number of
3 points that had been raised this morning is that the
4 synonyms for what was a -- what we call a dietary
5 supplement pre-DSHEA really seems a significant issue.
6 We're not sure what the scope of that intended use
7 includes.

8 But we do know is that there was a tremendous
9 amount of usage that is -- that was both common and in
10 the United States and overseas. It's relevant to the
11 question of safety. And all of that we think is
12 relevant to the question of whether it should enjoy ODI
13 status. But in what way?

14 There was a great deal of hesitation, I think,
15 trying to think through would it include something that

16 would be a traditional medicine by that working
17 definition culturally or as a food and a food
18 preparation and so on. That will help us a great deal
19 to be as specific as possible going forward. So that
20 helps us know where to go look for the evidence itself.
21 So we would hope that that could be an early next step.

22 MS. MULDOON JACOBS: Hi. Good afternoon. My

Page 125

1 name is Kristi Jacobs at USP. And I'm a toxicologist,
2 and I've been doing risk and safety assessment of food
3 additives and food ingredients for nearly a decade.

4 And I noted this morning in Steve's opening
5 comments he said this ODI list would not represent a
6 list of safe ingredients. But as we've listened this
7 morning, we see that it's really difficult to keep the
8 issue of safety separate from the issue of any
9 ingredient that would ultimately belong in ODI list,
10 whether it's an FDA or it's an industry list or it's,
11 you know, my neighbor's list.

12 The -- keeping safety out of it is very
13 difficult and especially as this morning has evolved
14 into this consideration of GRAS substances. GRAS

15 substances are -- generally, GRAS substance is for use
16 in food. And how we would consider or we would
17 recommend that we would consider, that information
18 could be incorporated into a list of things that don't
19 require an NDI notification.

20 I can't help but wonder how you would take
21 that process forward, especially when we know, if you
22 look under the hood for a lot of these GRAS notices

Page 126

1 that have been submitted to FDA and for which all the
2 information is available, we know that those
3 ingredients are GRAS for a very specific use. And part
4 of that risk assessment involves a consideration of the
5 dose and the expected exposure based on that use. And
6 a margin of exposure is calculated. And they say as
7 long as the use in food doesn't exceed this and the
8 margin of exposure is still 100, then that ingredient
9 is safe for that specific use. And we know in dietary
10 supplements the -- that dose calculation might not be
11 relevant and -- for the use of that same ingredient as
12 a dietary ingredient in a dietary supplement.

13 And so I wonder, since it's impossible not to
14 think about this, that you guys have considered how

15 would you do that portion when we know that the
16 ingredients that we've seen on these lists don't have
17 any information on dosing concentration. The method of
18 manufacture really influences not just the amount of
19 the ingredients itself, but especially a lot of these
20 constituents which we know maybe toxicologically
21 different and distinct from the final ingredient
22 itself. Would we want -- would we go all the way to

Page 127

1 say, if the margin of exposure isn't 100, therefore it
2 is not safe? I would imagine that I would hear
3 resounding no's from this room, and I don't think we
4 should be saying that.

5 But I'm curious on your thoughts, how you
6 would consider using this safety information and this
7 approach to risk assessment as it applies to GRAS
8 ingredients in the paradigm for dietary supplements.

9 DR. MACKAY: Well, that's how it is today. If
10 you have an ingredient in the food supply and you don't
11 chemically alter it, no notification is required. So
12 what happens is you look -- you take your obligation at
13 Section 342, and you look at that GRAS notification.

14 You evaluate target population intended use. And if
15 you're within that, you do nothing. If not, if you
16 want to double the dose, you have an obligation to
17 determine that doubling the dose is still going to be
18 safe for the intended use. That's how it happens right
19 now.

20 So there's no discussion of process, change,
21 anything. GRAS ingredients are in the food supply as
22 articles used for food. They can be used in a dietary

Page 128

1 supplement, but you have to pay attention to the
2 evidence in that GRAS notice to determine how you plan
3 to use it.

4 The same thing applies to the food additive
5 idea. If a food additive is used in micro-dose
6 amounts, you still have an obligation if you want to
7 put milligram amounts to determine that it's going to
8 be safe for the population you put on the label that
9 it's for.

10 That's it.

11 MR. DURKIN: Any other questions from the
12 room? We have none online.

13 We'll adjourn now. We'll reconvene back at

14 10:15. 11:15. 11:15. Sorry.

15 (Break.)

16 DR. WELCH: All right, everyone. We're going
17 to get started here with the public comments session in
18 one minute.

19 (Pause.)

20 DR. WELCH: All right. Good morning. Now is
21 the time in our day where we're going to have our
22 public comments session following Panel 1.

Page 129

1 As a reminder for our audience, they -- the
2 people are registered to give oral comments. They are
3 listed in -- on a sheet in the folder that you were
4 given this morning at registration. I will go through
5 the morning session in order, just to note that Michael
6 Tims will not be giving public comment today.

7 And then a reminder that this afternoon we
8 will have extra time. So if you are interested in
9 giving public comments this afternoon, please see
10 Juanita Yates at the registration desk, and you can
11 sign up for that.

12 With that, I will start the public comments.

13 We have five minutes per commenter. I will try to give
14 a warning at about a minute left, and then I will
15 interrupt at five minutes, so heads up on that.

16 With that, let's get started. Harry Rice from
17 GOED.

18 And the commenters, again, please, when you
19 start, start with your name and affiliation. And that
20 really goes for anyone who's speaking into the mic --
21 name and affiliation so that our transcription and our
22 webcast attendees have an idea of who's speaking.

Page 130

1 Thank you.

2 Harry. Yeah, make sure it's on if you can.

3 MR. RICE: Is it on?

4 DR. WELCH: I think so.

5 MR. RICE: Yep.

6 UNIDENTIFIED MALE SPEAKER: No.

7 UNIDENTIFIED FEMALE SPEAKER: No.

8 MR. RICE: No.

9 DR. WELCH: No.

10 (Side conversation.)

11 MR. RICE: Okay.

12 DR. WELCH: Thank you.

13 MR. RICE: Okay. Thank you, Cara.
14 My name is Harry Rice, and I'm with the Global
15 Organization for EPA and DHA Omega-3s, an association
16 of processors, refiners, manufacturers, distributors,
17 marketers, retailers, and supporters of products
18 containing the omega-3 fatty acids, eicosapentaenoic
19 acid, EPA, docosahexaenoic acid, DHA.

20 GOED is extremely interested in assuring that
21 consumers continue to have safe access to high quality
22 EPA- and DHA-rich ingredients.

Page 131

1 Thus said, GOED thanks the Agency for the
2 opportunity to provide public comments concerning
3 considerations specific to certain classes or types of
4 ingredients that should be taken into account as the
5 Agency develops a list of pre-DSHEA dietary
6 ingredients.

7 Though it is very much in favor of the
8 creation of a list of pre-DSHEA dietary ingredients,
9 which would provide a safe harbor from the NDI
10 notification requirements, with a long history of safe
11 use since long before October 15th, 1994, EPA- and DHA-

12 rich ingredients for fish oil fit well -- I should have
13 had these hard-copied. Before October 15th, 1994, EPA-
14 and DHA-rich ingredients for fish oil fit well within
15 such a category.

16 While the market for EPA- and DHA-rich dietary
17 supplements has exploded since the passage of DSHEA of
18 1994, the first fish oil was launched back in 1760 in
19 the United Kingdom. In 1790, the cod liver oil known
20 as Scott's Emulsion was launched in the United States.
21 Over 200 years later, Scott's Emulsion continues to be
22 marketed, thus representing what GOED believes to be

Page 132

1 the oldest continuously marketed dietary supplement in
2 the U.S.

3 In addition to cod liver oil, prior to October
4 15th, 1994, multiple forms of fish oil were launched,
5 including fish body oil, concentrates, both ethyl
6 esters, and re-esterified triglycerides, and salmon
7 oil. In common to all past and present EPA- and DHA-
8 rich omega-3 ingredients is that their primary
9 composition is EPA, DHA, and a mixture of minor fatty
10 acid.

11 GOED believes the major sources of EPA- and

12 DHA-rich ingredients, including concentrates, are being
13 lawfully sold since they were marketed as dietary
14 ingredients prior to October 15th, 1994. To support
15 this position, GOED has considerable amounts of
16 documentation, including but not limited to patents,
17 popular press articles, advertisements, labels, peer-
18 reviewed scientific articles, and information from the
19 NIH's biomedical test materials program from the '80s
20 and early '90s.

21 Despite a wealth of information, such
22 documentation does not necessarily exist for each

Page 133

1 unique ingredient currently being sold. However, given
2 the widespread demonstrated safe use of EPA- and DHA-
3 rich ingredients, the absence of documentation for each
4 unique product from fish oil currently on the market
5 should not yield an NDI, requiring an NDI notification.

6 For years, EPA- and DHA-rich ingredients have
7 been sourced for multiple organisms and species. Since
8 the FDA issued its final rule on June 5th, 1997,
9 affirming menhaden oil is generally recognized as safe
10 with limitations on the maximum use levels in specific

11 food categories in order to ensure that daily intake of
12 EPA plus EHA did not exceed three grams per day, EPA
13 and DHA have been considered the valuable components to
14 which these oils are standardized. And the products
15 are principally comprised of EPA, DHA, and a mixture of
16 minor fatty acids.

17 Subsequent to the final rule, more than 10
18 companies wishing to market their fish oils for
19 addition to food have received letters of no objection
20 from the FDA. Despite minor differences among the oils
21 and fatty acid composition, FDA has raised no potential
22 safety issues, given that all companies indicated that

Page 134

1 intake of EPA plus DHA would not exceed three grams per
2 day. From a whole food perspective, consider that a
3 single serving of salmon contains more EPA, DHA, and a
4 range of other minor fatty acids than the majority of
5 fish oil supplements on the market.

6 Manufacturing changes used to make the same
7 product in the market -- that is, no change to the
8 identity of the dietary ingredient either before 1994
9 or even after submission of an initial NDI notification
10 -- should not yield an NDI. These manufacturing

11 changes should be addressed by the final rule for
12 current good manufacturing practice in manufacturing,
13 packaging, labeling, or holding operations for dietary
14 supplements.

15 GOED believes the focus should be on whether
16 or not a change to the manufacturing process alters the
17 safety profile or identity of the ingredient and not be
18 specific to the manufacturing change itself. After
19 all, the principle ingredients produced is always an
20 omega-3-rich oil with the predominant fatty acids being
21 EPA, DHA, along with a mixture of minor fatty acids.

22 To conclude, thank you for considering GOED's

Page 135

1 comments as you work on a strategy to create a list of
2 pre-DSHEA dietary ingredients. GOED is ready to assist
3 in any capacity with the creation of such a list.

4 Thank you.

5 DR. WELCH: Thank you, Harry.

6 We just found out that we have some audio
7 problems. So I'm going to wait just a minute or two
8 until we get those fixed so not everyone has to repeat.

9 (Pause.)

10 DR. WELCH: Though I would mention -- and
11 we'll mention it again when the webcast participants
12 are back on -- the oral comments are entered into the
13 transcription. So we will make sure that they can get
14 those handed to them in written form.

15 We have a number of people up in the booth
16 figuring it out. So we'll give them just a -- all
17 right. There we go.

18 All right. I think we have our webcast
19 participants back on. And just a note for those who
20 are listening online, the oral comments, you've only
21 missed one set from Harry Rice at GOED. He -- they
22 will be transcribed and included in the transcription.

Page 136

1 So you will have access to them when that gets posted
2 online.

3 Next we're going to hear from George
4 Paraskevakos from IPA.

5 George, start with your name and affiliation,
6 please. Thank you.

7 MR. PARASKEVAKOS: Here you go. Sorry about
8 that.

9 So good morning, everyone. My name is George

10 Paraskevakos. I am the executive director of the

11 International Probiotics Association.

12 We want to thank the FDA for holding this
13 meeting, for going -- for giving us the opportunity to
14 present, and its willingness to meet with the IPA,
15 participate in different IPA workshops, and consider
16 our comments and citizen petitions over the years.

17 The International Probiotics Association, for
18 those of you who don't know us, is an international
19 nonprofit organization with a mission to promote the
20 safe and efficacious use of probiotics globally. IPA
21 holds an NGO status at the CODEX, and it's -- and is
22 the global voice of probiotics with 100 members coming

Page 137

1 from 26 countries, including the majority of the
2 world's probiotic producers.

3 The WHO defines probiotics as live
4 microorganisms, which when administered in adequate
5 amount confer a health benefit on the host. Probiotics
6 have been safely consumed by people for thousands of
7 years in different forms such as yogurt, sour milks,
8 fermented foods, food supplementations not only in my

9 forefathers' country in Greece, but across the globe
10 internationally.

11 The health benefits of probiotics are well
12 recognized. Indeed, as I speak, we know that
13 probiotics in our bodies are helping us digest our food
14 and support our immune system. And it seems that our
15 researchers are discovering other roles that they play
16 in supporting our health every day. The combination of
17 well-established safety and health benefits has led
18 probiotics to be one of the largest categories of the
19 dietary supplement ingredients.

20 In many respects, probiotics are like any
21 other category of dietary ingredients and should be
22 treated the same way. They are subject to the same

Page 138

1 manufacturing requirements, safety standards, and are
2 included in dietary supplements to increase total
3 dietary intake. Like other categories, probiotic
4 manufacturers often have trouble identifying pre-1994
5 sales information that meet the criteria FDA has set
6 out in the draft NDI guidance document. On the other
7 hand, as living organisms, probiotics are a very unique
8 category of dietary ingredients, and FDA has recognized

9 that in several section of the draft guidance.
10 We hope that FDA will extend its willingness
11 to recognize the unique nature of probiotics when
12 creating a list of dietary ingredients that do not need
13 to be the subject of a notification to FDA. For one,
14 the law has not kept up with the scientific advances.
15 And we heard this a few times this morning about
16 technology being where it was. Modern science and
17 technology not allow probiotics to be readily
18 identified by genus species and strains. However,
19 science was not as advanced before '94, and, therefore,
20 probiotics were generally only identified by their
21 genus and species.
22 Importantly, FDA's labeling regulations then

Page 139

1 and now do not require that product labels declare the
2 strain of the probiotics in the product. Those two
3 realities make identifying exactly what specific strain
4 of probiotics were sold in the United States prior to
5 '94 uniquely challenging.

6 Now that we can readily identify probiotics to
7 the strain level, we agree with FDA that each strain

8 should generally be treated as a unique dietary
9 ingredient and, in fact, recommend to our members
10 declare probiotics to the strain level on the
11 supplement labels. However, we also believe that when
12 developing a list of dietary ingredients that do not
13 need to be the subject of an NDI notification,
14 probiotic ingredients should not be penalized by
15 advances in science and ambiguities in some of the FDA
16 labeling regulations.

17 Therefore, such lengths -- such lists should
18 include all probiotic species that were marketed prior
19 to 1994, and all strains of such species should be
20 considered to be covered by the inclusion of the
21 species on the list. Of course, if it is -- it is also
22 incumbent on each manufacturer to ensure that the

Page 140

1 inclusion of any probiotics in a dietary supplement
2 meets established standards of identity and safety. In
3 the event that the FDA determines that it must review
4 information on a strain in order for it to be included
5 on such a list, then such lists shall include all
6 strains of any species marketed pre-1994 that meet
7 specified identity and safety parameters.

8 In our comments to the draft guidance in 2016,
9 IPA provided a complete list of species as well as the
10 parameters for which every strain must be screened,
11 whether the information is to be submitted or not to
12 the FDA.

13 On behalf of the IPA, we'd like to thank you
14 for your consideration and look forward to continuing
15 collaboration with the FDA on these lists of
16 probiotics.

17 DR. WELCH: Thank you, George.

18 McClain Haddow, Upstream Consulting.

19 MR. HADDOW: My name is Charles McClain
20 Haddow, and I'm speaking here today on behalf of the
21 largest kratom consumer advocacy organization in the
22 United States, the American Kratom Association, often

Page 141

1 referred to as the AKA.

2 You will be hearing probably my remarks from
3 Dr. Henningfield, who will document -- or give you some
4 of his research that documents the long history and
5 safe use of kratom in the United States today by
6 millions of Americans.

7 I thoroughly enjoyed the panel discussion this
8 morning and found it illuminating for the FDA about
9 some of the alternatives that exist to address the
10 issue how you document the substances and dietary
11 supplements that were in use prior to the magic date of
12 DSHEA.

13 I did disagree, however, with Mr. Duffy -- or
14 Duffy's remarks when he said that kratom probably isn't
15 going to resolved here today because it's too
16 controversial. In fact, prior to the passage of DSHEA,
17 every dietary supplement in food was controversial in
18 the United States, and that's why we had to have DSHEA.
19 It was the purpose for which the act was established.
20 And in fact, I would argue that it's the purpose for
21 which the FDA now has to find an appropriate standard
22 by which the drugs -- to judge substances like kratom.

Page 142

1 When President Clinton signed into law the
2 DSHEA Act on October 25th of '94, he stated, "It is
3 appropriate that we have finally reformed the way the
4 government treats consumers and these supplements in a
5 way that encourages good health." And here we are
6 today still arguing about the standards by which we

7 allow DSHEA actually to protect those consumers.

8 It was well developed this morning that, in
9 fact, 413A-1 provides a standard that if a food was in
10 the global food supply that it should be covered as a
11 pre-DSHEA-protected substance. Now, I know that in
12 413C we get into a conflict because a separate pathway
13 was established by the Congress in order to allow for
14 those substances that are chemically altered in terms
15 of their extraction methods that they change the actual
16 way in which it interacts with a consumer. They should
17 not be conflated together. In fact, they should be
18 separate. And if there is a substance that uses an
19 inappropriate extraction method that's not covered by
20 the current methodology that's approved by the FDA,
21 then certainly it should be covered under 413C.

22 But we have the protection of safety that

Page 143

1 overlays all of this that's found in the statute that
2 Congress wisely enacted. According to the FDA
3 requirements, this documentation of the actual sale or
4 marketing is an interesting one.

5 I grew up in Pittsburg, Pennsylvania. One of

6 my best friends was an Italian who frequently invited
7 me to dinner at his home. Ms. Biachi (ph) prepared
8 authentic Italian dishes. She brought over all of the
9 ingredients from her home country of Italy. And it
10 wasn't until later when they developed these small
11 grocery stores that imported these ingredients was she
12 more comfortable with being able to serve it. She
13 never would have allowed us to go for an authentic
14 Italian meal to the Olive Garden. She wanted it to be
15 authentic ingredients that were used at the time.

16 That's the case with kratom. We saw a
17 dramatic increase in the utilization of kratom as we --
18 after the Vietnam War as we saw our soldiers returned
19 and as the Southeast Asian immigrants came to the
20 United States and that same kind of culturally ethnic
21 food practice was followed. They had their relatives
22 ship-crate them to them. Then as demand grew, you saw

Page 144

1 small mom-and-pop convenience stores start to sell it.

2 Can anyone document that? Not today because,
3 as we know, there was no documentation that was widely
4 done or records retentions protocols in place that
5 follows that. So to use the strict standard of saying

6 you have to be able to produce a piece of paper to
7 document what Congress said just had to be documented
8 in the food supply seems to be contradictory to and out
9 of compliance with what the Congress intended for that
10 to be done. The clear intent was for -- that Congress
11 had was to allow kratom products and similar products
12 present in the food supply prior to the enactment of
13 DSHEA to be classified as old ingredients.

14 The more restrictive evidentiary documentation
15 for the marketing of kratom products should apply only
16 to those products that have been chemically altered to
17 determine if they were in commerce in the United States
18 prior to the cutoff date. The separation of these
19 classes of products is essential to maintaining the
20 consumer access to products to fulfill the mission of
21 DSHEA as President Clinton articulated, and that is
22 that we reform the way the government treats consumers

Page 145

1 and these supplements in a way that encourages good
2 health.

3 The American Kratom Association favors
4 appropriate regulatory schemes to govern that class of

5 products that have been chemically altered. But it is
6 unfair to take the broad stroke and brush and say all
7 kratom products are therefore classified that way when
8 you have this body of evidence that is clearly
9 available that demonstrates its safe use by millions of
10 Americans today. We recommend the FDA do that -- apply
11 that standard in going forward.

12 Thank you very much for this opportunity.

13 DR. WELCH: Thank you.

14 Jack Henningfield.

15 MR. HENNINGFIELD: Thank you. Is this one
16 working? I'm Jack Henningfield, Vice President of
17 Research and Health Policy at PinneyAssociates and
18 Professor of Behavioral Biology at Johns Hopkins
19 Medical School. PinneyAssociates provides guidance in
20 prescription drugs, over-the-counter, and dietary.

21 As you've heard, kratom illustrates a promise
22 in the peril of regulation. And how the regulation is

Page 146

1 developed, written, and then interpreted can well help
2 products realize their promise or remove them --
3 inappropriately in some cases. And your approach will
4 also determine the range of the diversity in the

5 marketplace. And like a lot of marketplaces, this
6 marketplace is not satisfied by any one product or one
7 type of product. It's millions of people using lots of
8 different types of products. Perhaps some of them
9 should not be out there, but you need reasonable
10 standards that help sort them out and not eliminate the
11 small players that are often the innovators providing a
12 product that small markets like.

13 PinneyAssociates has been working with the
14 American Kratom Association for more than a year on
15 these issues. These opinions are my own and my
16 colleagues at PinneyAssociates.

17 You probably already heard kratom is a tree in
18 the coffee family that produces some effects like
19 caffeine. But it also produces some effects that
20 substitute for opioids. And so in Southeast Asia, it's
21 been used for decades for a century or more to treat
22 minor aches, pains, and so forth, help people get

Page 147

1 through the workday. And that's what we're seeing in
2 the United States in, at this point, at least 3 or 4
3 million people, probably more.

4 And the three major surveys -- one by
5 colleagues at Johns Hopkins, two other that are
6 published -- show that this includes some people that
7 have gotten off of prescription drugs and are now
8 satisfied with what they're getting from kratom
9 products. I work on prescription drugs. They're
10 really important and necessary for some people -- and
11 over-the-counter. But it's clear that there are a lot
12 of people that are perfectly happy with alternatives
13 that are natural and have strong safety records.

14 As you've heard, it's been used in Southeast
15 Asia for decades and was probably introduced to the
16 U.S. probably in the '70s and '80s with waves of Asian
17 immigrants. How do we document this?

18 I mentioned earlier I could go to Minnesota
19 where I grew up, don't you know, and get affidavits.
20 But what would -- can constitute acceptable and
21 reasonable evidence? I don't think that should be
22 excluded, but there has to be rules. Guidances can

Page 148

1 help there. Same thing with foreign data. There's a
2 wealth of Southeast Asian data that are useful. What
3 constitutes acceptable foreign safety data? We in

4 PinneyAssociates have helped document that kratom is
5 used by millions of people to health benefit with a
6 very good safety profile.

7 The other thing that came up earlier today is
8 what's the level of the ingredients. Now, most
9 commonly, kratom is used as a tea made into tea-like
10 products. So that's an extract. Making tea or coffee
11 is an extract. It doesn't take everything out of it.
12 Most of the products we're aware of provide amounts of
13 the desirable ingredients in amounts that are
14 comparable to what have been used in Southeast Asia and
15 what are used if you chop up tealeaves and put them in
16 water. Some products use extracts that are probably
17 higher. We need a way of sorting them out that is
18 reasonable and doesn't kill the small innovators.

19 Finally, let me go back to opioid issue. We
20 are facing an opioid crisis. And what has been
21 documented now is that there are a lot of people that
22 have gone from conventional opioid pain medicines and

Page 149

1 said, you know, I couldn't tolerate ibuprofen. It
2 upsets my stomach. I can tolerate kratom tea even

3 though it tastes terrible. It does the job.

4 As a professional in this area, I don't want

5 to see those people going back to opioids. So we need

6 to provide the diversity of products that meets their

7 needs with reasonable standards. And we need guidance

8 as to what constitutes reasonable evidence.

9 We need balanced regulations, and that

10 includes packaging, labeling, and claims. You know,

11 coffee -- you don't know if Starbucks if you're getting

12 300 milligrams of caffeine or maybe 100 milligrams of

13 caffeine from your cup at Dunkin' Donuts. But if you

14 get Coca Cola or Pepsi, you now know how many

15 milligrams of caffeine are in it.

16 So we've faced these issues in other product

17 categories, and I think we need to face them here in a

18 way that helps this area innovate and thrive and serve

19 the millions of consumers that have come to rely on

20 these products.

21 Thank you.

22 DR. WELCH: Thank you.

Page 150

1 Scott Polisky.

2 MR. POLISKY: Thank you. Good morning.

3 I'm Scott Polisky, an attorney in the FDA law
4 field for over three decades. I'm speaking on behalf
5 of Jarrow Formulas, Inc., a 40-year-old and well-known
6 dietary supplement company, and Jarrow Industries as
7 well. Along with other counsel, I've represented
8 Jarrow on regulatory, legislative, and intellectual
9 property matters since 1991. Jarrow Rogovin and
10 everyone at the firm is most appreciative of the
11 continuing dialogue with FDA.

12 I'll be brief with a few points. Number one,
13 although it's our position that Section 8 of DSHEA does
14 not specify whose burden of proof it is to demonstrate
15 that an ingredient is grandfathered in, we realize that
16 compilation of this list is a fait accompli, and we
17 hope to cooperate and provide helpful input.

18 Number two, many of our points are contained
19 in our December comment on the NDI revised guidance and
20 the 2nd May comments specifically devoted to issues
21 concerning probiotics. Jarrow is one of the founding
22 members of IPA and agrees with their positions.

1 Number three, we're pleased that FDA agrees

2 that the pre-DSHEA ODI grandfathered, grandmothers
3 list should not be considered exhaustive and exclusive,
4 where to be exclusive such a list could become similar
5 to the EU's commission on -- with a list of 121
6 ingredients for nutritional supplements are reminiscent
7 of the 1958 GRAS list that of course did not contain
8 all substances generally recognized as safe and, thus,
9 was a source of confusion.

10 Number four, we agree with IPA that new
11 strains belonging to well-established species should
12 not be considered new dietary ingredients.

13 Number five, Jarrow concurs with the list of
14 over 40 well-established species that IPA provided to
15 FDA and is common, a list of grandfathered species
16 known to have a long, safe history of use in foods.
17 After being screened for toxins and antibiotic
18 resistance, a strain belonging to such species would be
19 considered, or should be considered, safe. Again,
20 contrary to FDA's position, any strain of a
21 grandfathered species should be considered safe, as
22 well with no need for an NDIN. Thus, Jarrow is of the

2 outlined in the guidance are necessary. Those two
3 would be antibiotic plasmids test, ABP, to test for
4 antibiotic resistance; and number two, a test for any
5 contamination.

6 Thank you.

7 DR. WELCH: Thank you.

8 Susan Brienza.

9 MS. BRIENZA: And here, a little height
10 challenged.

11 So good morning, everyone. Susan Brienza of
12 the law firm Riley Carlock and also representing Jarrow
13 Formulas and Jarrow Industries.

14 Jarrow Rogovin, the founder of both of those
15 companies, would be here himself, except that he's in
16 London the past couple of days at a probiotics
17 conference, probiotics for babies and children.

18 There is a third person here today also
19 representing these companies, and I'd like to introduce
20 John O'Connor.

21 John, if you could stand.

22 John has been with Jarrow Formulas for 19 --

1 over 19 years in R&D and regulatory.
2 So with that, I would like to make three
3 points today. If I don't get to the third one, I would
4 like to reserve the right to have a little time at the
5 end of the day. I think that's what they say in
6 Congress, right -- reserve part of my time.

7 Is this not coming through? Oh, okay. Well,
8 I can -- you know what? Okay.

9 So first, following up on some of the points
10 of George of IPA and my colleague, Scott Polisky, with
11 whom I've worked for about 17 years, in addition to
12 working on a pre-DSHEA ingredients list, we believe
13 that FDA and industry should also agree that neither a
14 new fermentation medium for a probiotic nor a new
15 solvent for an extract will transform an ODI into an
16 NDI.

17 So for example, for a pre-DSHEA strain of a
18 probiotic or a new strain belonging to a well-
19 established species, changing the medium does not
20 change the ingredient, we believe. As stated in the
21 Jarrow Formulas comment, we filed a follow-up comment
22 in May of this year on specifically probiotics,

1 "Changing the fermentation medium does not change the
2 genetics of the microorganism and, thus, does not
3 change its safety profile." So on this point, we agree
4 with both IPA and with DuPont Nutrition, made a similar
5 point in its comment in December.

6 In addition, Hank Schultz, a very good science
7 writer, science and regulatory writer in
8 NutraIngredients-USA, quoted in a December 2016 article
9 on this very point quoted an expert who had a very good
10 downhome example. And to use myself for that example,
11 if I as an Italian woman, eat, consume, feed on
12 Japanese food, that doesn't suddenly transform me into
13 a Japanese woman. So I very much like that analogy.

14 Continuing with a food metaphor, for my second
15 point, I'll also start with a personal example. And
16 this second point is about thinking outside of the box
17 and thinking about a possible third category beyond
18 just old ingredients and new ingredients.

19 Last night, I had a terrific white wine called
20 Complicated Chardonnay. I've never had that before,
21 and I recommend that to you all for your supper
22 tonight.

1 So I want to complicate the picture a little
2 bit and talk about a -- something in the middle. In
3 Philosophy 101 in college, if you ever took that
4 course, we talk about the excluded middle. So instead
5 of just old ingredients and new ingredients, perhaps we
6 should also think about a category of what we'll call
7 middle-aged ingredients.

8 So Jarrow Rogovin personally has a proposal, a
9 modest proposal, for a fast-track system or an
10 abbreviated notice only for middle-aged ingredients,
11 those on the market in the U.S. or internationally for
12 five, seven, eight years and no serious adverse events.

13 To get more precise -- and this proposal is in
14 our December 2016 comment on the revised guidance --
15 for post-DSHEA dietary ingredients with a history of
16 safe use in any country, we propose that the full
17 procedure of the notification 7 to 10 safety and
18 toxicology tests recommended in the guidance should not
19 be required. Instead, a much more streamlined
20 procedure, but one still providing the Section 8
21 statutory standard -- safety standard of "a reasonable
22 expectation of safety" for the new supplement should be

1 permitted by the FDA.

2 I want to just pause at this point and mention
3 that we agree with Duffy and CRN. I personally do.
4 And I want to note that the GRAS standard is a higher
5 standard of safety. General recognition of safety is
6 higher than the NDI Section 8 standard of reasonable
7 expectation --

8 DR. WELCH: Thank you, Susan.

9 MS. BRIENZA: -- of safety. So I'll have to
10 end there.

11 DR. WELCH: We will take your request.

12 MS. BRIENZA: We -- and we will file written
13 comments by December 4th as well.

14 DR. WELCH: Thank you for that.

15 MS. BRIENZA: Sure.

16 DR. WELCH: And finally, we end with Gabriel
17 Giancaspro.

18 MR. GIANCASPRO: Hello. My name is Gabriel
19 Giancaspro. I am the vice president of Dietary
20 Supplements and Herbal Medicines in the Science
21 Division at USP.

22 On behalf of USP, I would like to thank the

1 Agency for allocated time to offer -- for us to offer
2 out thoughts and the development of a pre-DSHEA list of
3 dietary ingredients.

4 USP's mission aligns closely with that of the
5 FDA Office of Dietary Supplements Programs, ensuring
6 the safe quality dietary ingredients that are
7 available, along with adequate information for informed
8 decision-making by manufacturers, suppliers, and the
9 general public.

10 We are an independent scientific nonprofit
11 public health organization devoted to improving health
12 through the development of public standards for
13 medicines, foods, and dietary supplements. We are
14 governed by the USP convention, comprising over 450
15 academic institutions, healthcare practitioner
16 organizations, industry groups, and government
17 representatives.

18 For nearly 200 years, USP has been building
19 foundations essential for assistant aimed at providing
20 quality products to consumers by ensuring that
21 manufacturers have access to the reliable standards of
22 quality that regulators and industry need to satisfy

1 consumer expectations. Our work includes the
2 development of the standards for identity, purity, and
3 strengths, limits of contaminants, and labeling of
4 individual components, unfinished products, as well as
5 the development of reference standards for analytical
6 testing.

7 USP develops public quality standards through
8 an open, transparent process with public participation
9 and input of the stakeholders, including
10 representatives from academia, industry, and
11 government.

12 Particularly relevant to the topic today, USP
13 has some longstanding program of developing identity
14 specifications for dietary ingredients used in dietary
15 supplements. Creating an authoritative list of pre-
16 DSHEA ingredients, as proposed by FDA, could provide
17 the positive contribution to industry and the
18 advancement of public health. Such a list, if sourced
19 appropriately, could serve the community by providing
20 information under regulatory status of many dietary
21 ingredients used in dietary supplements. The list
22 could increase transparency in the dietary supplement

1 marketplace, thus reducing the burden on FDA and the
2 regulated industry alike.

3 We acknowledge that development of such a list
4 may prove challenging. Ideally, the list should
5 contain the ingredient name along with the
6 specifications sufficient to define identity. For
7 example, many botanical ingredients from the same
8 source are available in several forms, such as powders,
9 dry extracts, tinctures, and aqueous extracts. These
10 ingredients vary in composition and quality.

11 It will prove helpful for an FDA list to
12 include clear parameters related to the form and
13 identity specifications that will enable industry to be
14 sure whether a specific ingredient is included on the
15 list.

16 Regarding the types of information that may
17 provide evidence of pre-DSHEA status, publically
18 available information, such as pharmacopoeia
19 monographs, public health (ph) in records, or
20 scientific literature may provide additional identity
21 information to help support the construction of a pre-
22 DSHEA list.

1 Along with materials clearly establishing
2 marketing, these sources can be valuable to FDA to
3 consider. A clear understanding of identity
4 specifications is fundamentally important for
5 manufacturers to ensure compliance with regulatory
6 requirements. Without adequate identity
7 specifications, such as those provided in the official
8 compendia, transparency will be impaired and compliance
9 would be more difficult.

10 USP has demonstrated expertise in developing
11 old (ph) quality specifications for dietary ingredients
12 and is willing to work with FDA and industry to develop
13 identity specifications for those pre-DSHEA ingredients
14 that are not currently in the compendia.

15 DR. WELCH: Hey, Gabe. Time's up.

16 MR. GIANCASPRO: Consistent with our share of
17 public health mission, USP stands ready to engage with
18 FDA and industry and seeks to do this in a way that
19 would have the greatest impact.

20 Thank you for the opportunity to comment. And
21 we look forward to exploring ways to expand our
22 partnership with ODSP and the industry and to serve as

1 a resource to FDA and the regulated community.

2 DR. WELCH: Thank you, Gabe.

3 MR. GIANCASPRO: Thank you.

4 DR. WELCH: With that, that closes our morning

5 public comments session. Again, if you want to give

6 comments in the afternoon, there are -- there will be

7 time available. Check in with the registration desk so

8 we can make sure to get your name and organization.

9 You now have a break for lunch. A reminder,

10 Ms. T's Cafe is out the front door. In the courtyard,

11 which you also need to go out the front door, is the

12 CFSAN fall food court. And we will welcome you back at

13 1:15 to begin again.

14 So thank you. Make sure you keep your badges.

15 (Lunch.)

16 DR. WELCH: All right, everyone. I think

17 we're about ready to get started for the afternoon

18 session.

19 Adrian, are we going on the WebEx? All right.

20 I think we're ready to go.

21 So thank you all. It's time to get started

22 with our afternoon session. I very much appreciate

1 this morning's discussion. I know the conversation
2 could continue on this topic, but it's important to
3 give time to the second topic as well where we're
4 talking about process.

5 Specifically, once we have the standard of
6 evidence questions all answered, which I'm sure will be
7 very easy, what process should we use to develop this
8 list of pre-DSHEA dietary ingredients? There are
9 probably any number of paths that FDA can go down in
10 developing an authoritative list. Hopefully, you'll
11 hear a number of these from our panelists.

12 For our situation, of course, the law isn't
13 requiring us to develop this list. And, as is quite
14 obvious, by the 23 years that have passed DSHEA, we
15 aren't exactly staring at a ticking clock. However, as
16 Steve was discussing, I think we're hoping that the
17 transparency will be valuable for both our industry
18 stakeholders, our consumer stakeholders, and FDA.

19 So Steve gave some insight into the comments
20 that were submitted last year in response to the draft
21 guidance specifically regarding how FDA should go about
22 developing this list. For example, we heard we should

1 form a joint industry consumer FDA panel to review. We
2 heard an advisory panel should be formed. We heard we
3 should develop a list by rulemaking and keeping it easy
4 for us. We heard that we should adopt the industry
5 lists that were provided upwards of 20 years ago.

6 However, now is the opportunity to hear from
7 our five panelists. Hopefully, what we'll hear are
8 some of the pros and cons of the different processes,
9 maybe what worked well for other commodities or what
10 has not worked well, important things to consider as we
11 develop this list, and what the end product should be
12 looking like.

13 Similarly to the first panel, I'm not going to
14 read the bios of our five presenters to you. You have
15 those in your folder. I encourage you to check them
16 out, though maybe not during the actual presentation.

17 And then we're going to let our presenters
18 give their remarks in succession without Q&A between
19 each panel, much like the first time. We will have a
20 dedicated question-and-answer time with all five
21 presenters following.

22 The order that we're going to go in will be --

1 we're going to start with Dr. Fabricant, move on to Dr.
2 Scarmo, Laura MacCleery, Dr. Sirois, and Chuck Bell.
3 I'm not going to be popping up to the podium each time,
4 so I'm going to welcome Dan Fabricant to the podium.
5 And then he will then present the next presenter and so
6 on.

7 So without further ado, let's get started.

8 Can we bring up Dr. Fabricant's slides?

9 DR. FABRICANT: Thank you, Dr. Welch.

10 My name is Dr. Emmett Brown, and I made a time
11 machine out of DeLorean. You know, I -- a lot of talk
12 about timing and things like that and process, too,
13 which I think is interesting here because I think we've
14 heard a lot of discussion about people's thoughts on
15 the matter, but we haven't seen a lot of evidence. We
16 haven't seen a lot of facts. We just heard a lot of
17 talk. And that's fine, but I think there's a few
18 binding things here that actually do have effect of law
19 and can be implemented rather quickly.

20 So let's dive right in. And Daniel Fabricant,
21 Natural Products Association, CEO and President. We
22 have 11,000 members from retailers and suppliers all

1 the way through the supply chain, and that becomes
2 important as we go on because I heard some comments
3 about access. So as the oldest and largest trade
4 association, I think that this is something that is
5 unique to our membership in terms of getting access out
6 there.

7 So in terms of "NDI issues" that came up after
8 the guidance -- and you know, it's Washington, D.C., or
9 the greater D.C. area, so a day without issues is like,
10 you know, a meal without wine, I guess, or a day
11 without bread, so to speak. But specifically, one of
12 the things that came out of this last draft was that
13 the Agency is very interested in developing an
14 authoritative list. As you've heard prior,
15 authoritative lists -- or the trade associations' lists
16 are not deemed authoritative, and that's been -- and in
17 fact, I see Bill, so it pre-dated my time at the
18 Agency. I'm going to blame it all on Bill just because
19 he's got big shoulders. He's that kind of guy. But
20 we'll leave that there.

21 So what does the law say? And the law says,

22 because we don't have a statute here and -- with

Page 166

1 Congress. And I think what we've seen in Congress not
2 just this cycle -- people want to talk about this cycle
3 because it's very interesting because you have a
4 reality TV host as president -- you know, people are
5 going well, the government's inefficient.

6 FDA really didn't get a whole lot of new
7 statutory authority. You know, if you look at the food
8 side, while there are a lot of new issues that keep
9 coming up, not a lot are done through statute. And
10 even the ones that are done through statute have kind
11 have been slow to implement, and there are some that
12 have been ripped entirely. So the concept of that and
13 working together and getting something that was
14 appealing on that I don't think is likely.

15 Furthermore, as no statute exists for FDA to
16 do this by regulation, there's nothing in the statute
17 that says FDA shall promulgate an authoritative list
18 within X number of days. Probably not -- that dog may
19 not hunt either. So how does it get done?

20 And I think that this is really where you look
21 towards the other centers and not just the other

22 centers. How many of you have businesses you have to

Page 167

1 be Part 11 compliant? If you have to be Part 11
2 compliant, you probably rely on a third party. And
3 that third party -- there's no clear process for those
4 third parties. There's verification -- electronic
5 verification, things like that, but they're constantly
6 presenting that data to the Agency and folks at the
7 Agency and making sure that they have access to things.
8 There's obviously computer IQ/PQ/OQ checks and things
9 like that that are relatively standard.

10 But in effect, it's somewhat on the fly, and
11 it goes through a Regulatory Flexibility Act, which,
12 really, given that this is still a small business
13 industry, flexibility is the law of the land here. And
14 it's really about the data. It's not about the
15 process, or it's less about the process. If people
16 bring things to the Agency showing that things were in
17 commerce pre-'94, the Agency really doesn't have the
18 grounds to stand on and say no because it doesn't meet
19 the process because there is no process.

20 So starting from there, I think we start with

21 what can be a dietary ingredient, and this is germane
22 to this discussion. And one of the big issues is still

Page 168

1 on 201(ff)(1)(e). We know the Agency's opinion is that
2 that -- and you've heard it today -- largely just
3 relates to GRAS and food additive petition compounds,
4 which we think that's a good place to start. However,
5 there is case law in this that's a bit more expansive.
6 If you go to Ted Cartons (ph), that limits the route of
7 administration, which I think is clear here, too.

8 So when we're looking at what would
9 substantiate -- and Steve made a very good point.
10 There was no dietary supplement in the marketplace pre-
11 '94, obviously. But -- and I hate to use this example.
12 But in some ways, it's like corn (ph). You know it
13 when you see it. If it's in a tablet, capsule, et
14 cetera -- it's a multivitamin -- you understand that.
15 If it's something you rub on your skin, that's not a
16 dietary supplement. If it's in an herbal catalog for
17 ornamentals, that, too -- and someone may have gotten
18 really drunk and started chewing on your tree outside
19 your house, that doesn't make it a dietary supplement
20 either.

21 So it's important to understand that intent is
22 still really where a lot of this is driven and the

Page 169

1 types of evidence will be driven -- so consumed through
2 ingestion, something that's clear there. But also, you
3 know, the case law is clear that the botanical that's
4 ingested in traditional Chinese medicine as a drug, a
5 synthetic copy of that botanical could qualify as a
6 dietary ingredient. That's not to say it's
7 grandfathered, but that is saying that it could qualify
8 as an ingredient, which is important as people, I
9 think, go back into their records and see what actually
10 was on the market as a supplement in the U.S. pre-'94,
11 so something to be looked at there.

12 And again, the Circuit Court ruling on
13 substance upheld FDA's view to mean food or drug. So
14 it's not a limited definition or as limited as some of
15 the Agency might think.

16 And so again, the 2016 guidance reiterated
17 further points by FDA. And this goes back a ways in
18 terms of independent verifiable. And you'll see
19 similar language in Part 11 compliance. You'll see

20 some similar language in medical device compliance.

21 But independent is important, and verifiable is

22 important. Affidavits are nice, but at the end of the

Page 170

1 day, things get measured.

2 The Agency works on data. It's a data-driven

3 agency, and so they have to have access to that data.

4 And they were clear about by affidavit alone, any sort

5 of objectives verifiable with documentation, time, and

6 marketing. That could work. But really, it's been

7 more towards catalogs, bills of lading, magazine ads.

8 And so -- oh, this is fast.

9 And I -- this is the other point I think

10 that's key here and to reiterate in terms of the

11 process. And I know some folks go we can't have this

12 process at all because FDA's effectively weighing in on

13 the safety of these things pre-market. And it's like,

14 well, FDA didn't do that. Congress had a technical

15 adulteration standard here. And by showing you were in

16 the market pre-1994, that's one way of alleviating that

17 standard, the other being you filed the NDI.

18 And so this is important to consider, that FDA

19 has -- and I've heard some people talk about safety

20 signals. MedWatch is law. What the CDC does with
21 mathematics, that's great. My kids like math, too.
22 But FDA has law from MedWatch. And if there's a

Page 171

1 problem with a product, FDA is compelled to act.

2 And so I think the authority is there that if
3 there aren't problems with old or new dietary
4 ingredients, FDA has ample authority to take action --
5 it -- should it be rendered adulterated by the
6 scientists at FDA. So with that -- and just because
7 something isn't on a list doesn't mean someone else
8 doesn't have independent verifiable evidence to
9 conclude it's an old dietary ingredient. And that,
10 too, has to be reiterated.

11 So for our purposes -- and this is one of the
12 benefits of having the retail component -- is we do
13 have old magazine ads that encompass about 2,100
14 ingredients. And our members have access to it. So
15 everyone going this data can't be found, it's
16 impossible, we found it.

17 So it's not that huge a deal. And again, I
18 think we'll cover some of the finer points here. But

19 there are already -- there is data out there. And we
20 got lucky somewhat because it is 23 years after the
21 fact. But you can certainly verify that an ingredient
22 was marketed pre-'94. And I hear a lot of talk about,

Page 172

1 well, is your zinc the same zinc that was in the
2 market. Well, if it's a salt, it's the active moiety.
3 And so there's case law that upholds that as well, too.
4 And so we'll drive that point further.

5 There's the standard of -- a state of
6 agreement from the legislative history. And so as
7 someone pointed out earlier, it would cover solutions -
8 - and aqueous ethanol tincture would mean that -- as
9 well as things that were filtered, solutions in water,
10 dehydration, these sorts of things, would be covered if
11 you saw a name of a product you can anticipate that any
12 of these processes would still probably be included in
13 a grandfathered list even if it was just the general
14 common name. But we also have in a lot of our
15 botanicals that we found from the old magazine ads they
16 do have plant part, which I do think is significant and
17 is tied directly to the labeling.

18 So here is the beta of our label database, and

19 we look forward to sharing this with the Agency
20 relatively soon. And we'll bring the ads in for good
21 measure, but this is -- our members will have access.
22 And we're actually planning probably once we get a

Page 173

1 board vote to publish a book that has this in there.
2 So that information is out there both for members and
3 nonmembers alike. But for nonmembers, there will
4 certainly be a reasonable upcharge -- or an
5 unreasonable upcharge, depending on who you ask.

6 So with all that said, it's important to note
7 this, too, that the active moiety is the dietary
8 supplement. And again, you do have case law that
9 substantiates what the active moiety is. So if you
10 have an ester group, a salt group, what actually people
11 consume from a public health perspective is the same
12 compound. So that's important, too.

13 If it's something that is -- isn't behaving as
14 a salt, a clathrate or something that has a time
15 release, that may be some -- an entirely different
16 situation. But if it's salt, if it's something that
17 associates to the active moiety, realistically, you can

18 expect that it is going to be the same as the article
19 of the diet.

20 We've heard a lot about probiotics from a lot
21 of different folks. And I think their comments are
22 very good. Of course, start looking at the list from

Page 174

1 the food side, the GRAS side.

2 But again, I think that there is more to it
3 than that. There is a lot of new strain names that, in
4 essence, have homology with some of the previous
5 strains that were on the market. And so I think
6 there's got to be some understanding and investigation
7 into that. If you have homology that's 99.9 percent
8 the same for different strains of probiotic and one was
9 a grandfathered strain, what are the possibilities of
10 using that as your substantiation it could be used
11 safe?

12 I'm not talking about adding a new promoter
13 region or anything like that. But again, looking at a
14 sequenced -- not of the mRNA either, but of the DNA --
15 and it's 99.9 percent the same, really, where is the
16 public health situation where you wouldn't have that
17 product? You know, and again, it could be an

18 abbreviated NDI filing, but I do think it's significant
19 and the sort of data that the Agency is looking for to
20 make their job easier.

21 So -- and here is some of the decision matrix
22 on this -- you know, did you sequence it? Was it free

Page 175

1 of genetic elements and covariance (ph) factors,
2 transfer (ph) antibiotic resistance, antimicrobial
3 substance? You heard some of that prior. And there's
4 the strain-induced undesirable physiological effects.
5 This is critical, too.

6 If, again, there are no new promoter regions
7 added or there isn't claims or there aren't claims that
8 are advertising hey, this has a new promoter region,
9 it's going to do something to, for example, bone
10 strength that wasn't previously tied to that strain,
11 well, that may be something that someone may need
12 additional data on an NDI. However, we think that if
13 they're the same -- you know, effectively the same by
14 homology, there should be consideration by the Agency.

15 So in closing, we got lucky on this one. But
16 NNFA, then NPA, was the first one to have a list back

17 in 1994. I think we're the only ones to have a list
18 within the -- in what we think is independent and
19 verifiable data. And I'd love to get the Agency to
20 weigh in, though I don't expect an official endorsement
21 or anything like that. But I think this is the sort of
22 data that people have spoken about -- magazine ads,

Page 176

1 catalogs, things that show the intended use.
2 I think if we go back to the one with the ad,
3 you know, that was a mistake. That wasn't a
4 conventional food. That was clearly a supplement diet
5 -- same for that. So I think we're -- there is
6 evidence there that suggest these things have been in
7 the diet a long time. And per Congress's intent, there
8 should be some sort of stability to the market to where
9 people know that this was clearly in the marketplace
10 and they can use that ingredient without having to fear
11 of getting a letter saying hey, you should have
12 submitted an NDI.

13 But I think this is the start of discussion on
14 time of use. We've seen from other product centers
15 time and extent application, and I think that this ties
16 in nicely with that decision -- those decisions where

17 AERs as well as the time in the marketplace, number of
18 units sold, distribution over time, correlated with AR
19 certainly makes a difference in establishing safety of
20 a product. So this is something we look for to further
21 discussions with the Agency on. And again, I think
22 that with regulatory flex here by not having a statute,

Page 177

1 by not having a reg underneath this, that's really the
2 only way we can move ahead. So we look forward to
3 sharing data, and I think that's where the discussion
4 starts.

5 We want to meet with the Agency. We want to
6 share data with them and show them what's out there on
7 the market so that we can go back to our membership and
8 make it clear that where the box exists and where it
9 doesn't exist, not that it's, as someone said, fiat or
10 a closed discussion, I think we'll keep finding things
11 as we go down this process. But we've got to start
12 somewhere, and it starts with the data, not the
13 process.

14 So with that, I will gladly shut the hell up
15 and turn it over to Stephanie. So thank you.

16 (Applause.)
17 DR. SCARMO: All right. Thank you.
18 Hi, everyone. I want to thank the members of
19 the Office of Dietary Supplement Programs for holding
20 this meeting and for the opportunity to present remarks
21 today. My name is Stephanie Scarmo, and I'm a research
22 officer at the Pew Charitable Trust. Pew is a

Page 178

1 nonprofit, nonpartisan research and advocacy
2 organization with a longstanding focus on the quality
3 and safety of drugs, medical devices, and foods. We've
4 recently launched an initiative to improve the quality
5 of dietary supplements.

6 So creating a list of pre-DSHEA ingredients
7 can be a worthwhile process as long as FDA has the
8 resources needed to accomplish this task. It can
9 reduce the risk that industry spends resources on
10 unnecessary NDI notifications, and it can prioritize
11 FDA's limited resources to review submissions of those
12 ingredients that are truly new and may pose safety
13 concerns.

14 So today, I'll present the approaches that FDA
15 can consider and also present five principles that Pew

16 believes are necessary in the process.

17 So as we heard earlier today, DSHEA amended
18 the Food, Drug, and Cosmetic Act by adding, among other
19 provisions, the requirements for new dietary
20 ingredients. Based on the findings in the bill, we can
21 imply that Congress's intent for this exemption was not
22 to impose barriers in products currently on the market.

Page 179

1 And thereby, Congress legislated a presumption of
2 safety. It's important to recognize that these
3 ingredients have never been proven for safety.

4 And the marketplace has exploded since DSHEA
5 was passed. At that time, there were about 4,000
6 products on the market, and today there are about
7 80,000 products on the market. More than half of U.S.
8 adults take at least one dietary supplement each day.
9 So the implications of using pre-DSHEA ingredients in
10 products are quite significant.

11 Therefore, we think that the approach FDA
12 should take in building this list should be a
13 conservative one, meaning if the ingredient isn't the
14 same in all relevant ways as its pre-DSHEA status, then

15 industry should have to establish a reasonable
16 expectation of safety through the NDI process.

17 And so there are different approaches that FDA
18 could consider in building the list. I'll present the
19 advantages and disadvantages of different models now.
20 But ultimately, the right framework will depend on the
21 evidence that FDA sets to prove that an ingredient is
22 pre-DSHEA.

Page 180

1 So first, FDA could act alone by issuing
2 guidance or through a formal rulemaking process.
3 Obviously, the advantages of the regulatory process are
4 that there's a notice and comment period for the public
5 and (inaudible). But we do know that that process can
6 be lengthy.

7 FDA could also act with or without an advisory
8 committee. The advantages of the advisory committee is
9 that stakeholders with a broad range of viewpoints
10 would have the ability to weigh in. But finding the
11 right non-conflicted experts to serve on the advisory
12 committee may be a challenge.

13 But regardless of which approach FDA chooses,
14 we do believe that the public should be able to weigh

15 in at several stages in the process and that FDA should
16 have the authority to be the final decision-maker for
17 what goes on the list of pre-DSHEA ingredients.

18 That said, there are certain principles that
19 Pew would like to see guide FDA's decision in building
20 the list. The first is transparency. The public
21 should know what's being considered and the timeline
22 for consideration and should have ample opportunities

Page 181

1 to weigh in. This could be, as I said, through a
2 public and notice comment period and/or through public
3 meetings. Consumers also need to know that the
4 ingredients on this list have not been proven for
5 safety. Just because they're on the list, they are not
6 safe. It just means that they're pre-DSHEA.

7 The right expertise will also be required so
8 that the manufacturing processes can be evaluated to
9 determine if an ingredient meets the identity standard
10 for being pre-DSHEA. As I mentioned, if FDA does not
11 have this expertise in-house, they could consider using
12 an advisory committee, or they would have to hire
13 special government employees to complete the task. And

14 both industry and FDA will need clear certainty on what
15 can and cannot be marketed without an NDI notification.

16 An importantly, this process should be
17 accomplished in a reasonable and fixed time frame and
18 on FDA's budget. We do not want another situation like
19 the over-the-counter drug monograph process, which was
20 established by FDA in the 1970s to review the
21 ingredients on the market at that time. In order to
22 create or to update an existing OTC monograph, it

Page 182

1 involves a lengthy multi-step rulemaking process that
2 often involves review by outside agencies. And some of
3 those monographs have been under review for decades.
4 There's no timeline by which they need to be finalized,
5 and we do not want another situation like that here.

6 A separate point about feasibility is that we
7 would hope that FDA would prioritize nominations based
8 on potential for public health risks. That means that
9 any ingredients with a questionable background of
10 safety would be reviewed first.

11 And industry must be able to prove that an
12 ingredient meets the criteria for marketing and
13 identity to be a pre-DSHEA ingredient. This would help

14 prioritize FDA's limited resources to review
15 ingredients that are truly new and may pose safety
16 concerns.

17 And so a final list could have not only
18 ingredient names, but also conditions for use -- so
19 details on sourcing and how it could be manufactured to
20 give industry clear parameters for the ingredients that
21 are considered pre-DSHEA. One way that FDA could
22 consider doing this process is that they could open a

Page 183

1 public document, and stakeholders could submit
2 ingredient nominations along with supporting
3 documentation to prove not only that it's pre-DSHEA,
4 been marketed pre-DSHEA, but that its manufacturing
5 process has not changed its identity.

6 FDA could compile the list of nominations, and
7 they would have the discretion to remove ingredients
8 from the nomination list based on whether there is
9 adequate supporting documentation. We have seen this
10 in other processes, including the drugs base.

11 And a final really important point is that
12 industry -- Pew believes that industry should ensure

13 that all the ingredients in their supplements are high
14 quality whether or not they are on the list of pre-
15 DSHEA ingredients. If they are on the list and FDA
16 finds a safety concern later, industry should not be
17 protected from enforcement action.

18 So in summary, creating this list of pre-DSHEA
19 ingredients maybe a worthwhile exercise for FDA and
20 industry, but it will take compromise on the part of
21 all stakeholders. If this can't be achieved and the
22 process diverts resources away from other important

Page 184

1 public health activity, such as FDA's ability to go
2 after tainted supplements, then FDA should revisit
3 whether this exercise is worthwhile.

4 Thanks.

5 (Applause.)

6 DR. FABRICANT: Now we'll call up Laura.

7 MS. MACCLEERY: While they're getting up my
8 slides, I'll -- here we are.

9 So I'm Laura MacCleery from Center for Science
10 in the Public Interest. I want to thank Stephen Tave
11 and Cara and the whole staff at FDA for holding this
12 event. I think it's a really worthwhile conversation.

13 I'll speak a little personally. I got into
14 consumer advocacy through auto safety. And for me, the
15 idea of a grandfather clause is quite strange. It's as
16 though in 1968 we said oh, gee, we can't do any better
17 than the cars that are on the road today; we might as
18 well just live with the steering wheels that impale
19 people.

20 So, you know, my -- I also backed into the
21 dietary supplement work. In 2014, I was reading the
22 news, and I saw that there was a report that someone --

Page 185

1 in this case, Logan Steiner (ph) -- had died from
2 ingesting powdered caffeine. He was valedictorian. He
3 was a week away from graduation of high school. And I
4 reached out to his parents and met with them and the
5 parents of another young man, Wade Swatt (ph), who was
6 24 and an engineer and who died only a month later from
7 ingesting the same substance.

8 And I worked with those families to bring them
9 to D.C. and to talk to members of Congress and
10 eventually a citizen petition to try to FDA to get
11 highly concentrated forms of caffeine -- not just

12 powdered caffeine, but also liquid form that looks like
13 water and is deadly at a cup of ingestion off the
14 market. We filed that petition, and we haven't heard a
15 response.

16 And the reason why this is relevant today is I
17 think what you heard in the conversation this morning
18 from Pieter and others is that even though the notion
19 of safety is not specifically part of a grandfather
20 clause. It is the elephant in the room. It is always
21 the consideration from the consumer advocacy
22 perspective. And if the system wasn't broken, if we

Page 186

1 had a system in which rapid response to dangerous
2 substances either under DSHEA's provision for removal
3 of imminent hazards to public health or under the
4 reasonable safety standard in the form sold, then we
5 wouldn't be as worried about a system that provides a
6 so-called safe harbor or a grandfather list of pre-
7 DSHEA ingredients. So there's definitely a
8 relationship from a consumer and public health
9 perspective between what you're doing with -- what you
10 might do with this list and what the risk to the public
11 is of supplements in general.

12 In addition, the case study of caffeine points
13 to an interesting problem with a pre-'94 grandfather
14 clause. Certainly, caffeine was consumed, has been
15 consumed from time in memorial (ph). Who knows? And
16 yet potency and dose and concentration and the
17 disparate nature of the industry all create novel
18 risks.

19 So what we see in the case of powdered
20 caffeine or highly concentrated liquid caffeine is that
21 when we ordered it online six months after FDA sent its
22 five warning letters to the particular producers that

Page 187

1 identified a powder caffeine was that we were still
2 able to obtain highly concentrated caffeine in both
3 powder form and liquid form.

4 And so the warning letters operate as a sort
5 of sporadic incentive for the major players in the
6 industry but certainly do not stop the public from
7 being able to access this dangerous substance. In this
8 case, we got powdered caffeine with little serving
9 spoons, even though it was still in bulk powder. And
10 what you're seeing is that bulk ingredients are being

11 sold directly to the public. That really bypasses a
12 safety standard that we've heard a lot about today
13 because you don't have the ability to pursue liability
14 if somebody's putting this together in their garage or
15 they're making a DIY smoothie from a bulk supplier
16 overseas.

17 So the question for us is really fundamental.
18 Is this a so-called safe harbor, which I would dispute,
19 or is it a rabbit hole? There would be a huge benefit
20 to the industry as a whole, no doubt, from making the
21 list because not every company would have to maintain
22 their own proof of safety. But how does this fix

Page 188

1 things from a public-facing perspective?

2 If FDA's resources are limited -- and already
3 we see that there are substances that are left on the
4 shelves into -- until consumers are hurt or killed, and
5 we have another death from a dietary supplement two
6 days ago that was just making the news -- perhaps all
7 of FDA's resources should instead be directed toward
8 examining the data and getting the most dangerous or
9 adulterated substances off the market. That's -- I
10 just think that's a proposition that we should consider

11 as an alternative.

12 The risk here that -- is that FDA would spend
13 time and energy on a list that used mainly for
14 marketing purposes by the industry and incorrectly
15 labeled a safe harbor, which we've already seen on the
16 slides. Yet the Agency will not have made, in fact,
17 any determination about safety, just prior use, and
18 consumers will be even more deeply confused than they
19 are already about whether FDA examines the safety of
20 supplements.

21 So how do we -- oh, can we get the -- it's not
22 flipping. Oh, there.

Page 189

1 So how do we make sure that the effort is
2 worth the payoff both from an industry perspective in
3 terms of patrolling the most dangerous substances that
4 are in food and that give the dietary supplement
5 industry a distraction, a liability, a black eye,
6 however you want to call it, and from an Agency
7 perspective in terms of resources and also from a
8 public-facing perspective in terms of safety?

9 So here are some propositions that making the

10 list would be worthwhile if and only if industry is
11 barred from using the existence of the list in labeling
12 or marketing claims. And particularly, I mean with
13 regards to some assertion of safety. And I think the
14 term "safe harbor" is particularly perilous because
15 non-lawyers won't understand that doesn't mean it's
16 safe. That's a legal distinction, not a public-facing
17 or communication distinction that's understood.

18 Secondly -- and here there's a lot of tension
19 in the room, right -- it requires bona fide, not self-
20 serving or industry-generated evidence of both identity
21 and prior use. I have to say I'm a little puzzled by
22 the fact that companies are saying there isn't this

Page 190

1 evidence because if I was a company, if I was a -- I am
2 a lawyer -- if I was advising on compliance and I was
3 saying to the company you have to file an NDI for these
4 things and these things are okay to use because they're
5 pre-'94, I would certainly want to retain some records
6 of that. So I find it very shocking that companies are
7 saying that they haven't retained these records.

8 How -- that as it may be, if you don't have
9 the evidence, it -- you can't show that it meets the

10 standard in the law, and that's how it is. So, you
11 know, before '94, you'll have to establish that both
12 the identity and the prior use were according to the
13 law.

14 Concurrent with developing such a list, FDA
15 should flag pre-'94 ingredients that are known to have
16 safety risks at this time based on the type of safety
17 evaluation outlined in the NDI guidance. And
18 obviously, there's a history of safe use provision as
19 well as a set of more exact requirements for
20 toxicological testing of novel ingredients. I think
21 both of those things you could apply to the current
22 list and look at the evidence and see -- and say -- and

Page 191

1 I think this -- the relationship of this safety
2 evaluation isn't that it's necessarily compelled by
3 statute -- I hear you, Stephen -- but that, you know,
4 the definition of the grandfather clause doesn't
5 necessarily include a provision for safety.

6 But I do think as a matter of expending public
7 resources on this exercise and in order to ensure that
8 public safety is actually the end result, having a

9 parallel process that looks at how do we take the most
10 controversial ingredients off the list or include a
11 designation that flags them. And I'm hearing this from
12 industry as well that this is something that they're
13 open to considering, that -- some kind of special
14 designation so that it is not implied that it's a safe
15 list is important.

16 And then I think the list should uphold many
17 of the key distinctions that were flagged by FDA in the
18 draft NDI guidance, including some that are admittedly
19 controversial. It is sensitive to intake level and
20 population exposures.

21 And here I want to say reliance on self-
22 affirmed GRAS is particularly problematic for the

Page 192

1 reason that the commentator from USP flagged. A GRAS
2 self-affirmation or notification, even, for FDA or even
3 a GRAS-listed substance is based on a risk assessment
4 on food consumption. It doesn't include exposures to
5 dietary supplement in the population.

6 And so you would need to not only be within
7 the four corners of the GRAS self-affirmation or
8 notification and know that, it's very hard to know that

9 if something's been self-affirmed GRAS because there's
10 no public record. So this is another way in which the
11 GRAS system being broken actually parts the ability of
12 dietary supplement manufacturers to move forward with
13 regulatory certainty.

14 It excludes changes to the identity of the
15 source material or meaningful alteration from
16 manufacturing process changes. And here I agree with
17 the panel discussion this morning, the exchange between
18 Duffy and Pieter. If you can show that there's been no
19 meaningful change in the actual consumption by the
20 individual at the endgame, if the manufacturing process
21 has changed, okay, big deal. But you would have to
22 show that, right? That's a -- that -- you have to show

Page 193

1 the salience of the manufacturing process changes to
2 what is consumed at the endpoint.

3 And it excludes excipients and processing
4 aides as well as indirect additives and the other
5 categories that were identified by the FDA in the draft
6 guidance. And here's where I think there's actually a
7 really knotty (ph) problem around combinations, which

8 is why I was asking Duffy about this this morning.
9 You know, we have these two standards. We
10 have, first of all, UMPA saying, on average,
11 supplements contain nine ingredients. For an NDI, you
12 have to submit a new proof of safety for that
13 combination of ingredients. And you can argue with the
14 details, and this can get very complicated very
15 quickly. But really, there is a flag that a new
16 combination can exhibit new chemical properties. It's
17 what was misleading about that NutraSweet ad, right?
18 If you've had what's in bananas and milk, you've had
19 NutraSweet? Not really. From a chemistry perspective,
20 we know this is true.

21 So -- and then with regard to the pre-'94
22 ingredients, because NDI noticing requirements are not

Page 194

1 triggered, you are allowed to market them in any
2 quantity at any potency and in any combination. That
3 creates a problem for public safety that isn't
4 necessarily covered by the NDI guidance because you're
5 not going to -- the Agency is not going to have
6 noticed. It's not going to be the subject of an NDI.

7 But you're taking older dietary ingredients,

8 potentially isolating those constituents and putting
9 them in food and putting them in dietary supplements
10 without proof that that combination -- and I think the
11 energy drinks example is actually a great one because,
12 even though it's not a dietary supplement anymore,
13 thanks to FDA's guidance. But in theory, you can have
14 combinations of stimulants in those ingredients that
15 have never been tested in combination. And all you
16 have is the company's assertion that they're safe.

17 So this is -- I don't know what the plan is
18 here, but we need to -- it's a problem that we would
19 need to grapple with because it seems to me both of the
20 structures that we have from DSHEA don't really deal
21 with how FDA could address that.

22 Here's my modest proposal, making the drawing

Page 195

1 (ph) resources match the game. FDA should first
2 convene a process to examine pre-'94 ingredients and
3 combinations that pose a risk to public health. This
4 is just useful in general, and if you wanted to expand
5 it to any ingredient pre-'94 and post-'94 and just make
6 it a safety evaluation and try to audit essentially

7 dietary supplements, what I'm told is that yohimbe and
8 yohimbine pose unique risks that dwarf all other kinds
9 of incidents related to dietary supplements.

10 There may be one or two or three other
11 examples of really high opportunity activities that the
12 Agency could do that would take away a lot of the
13 things that are popping up in the emergency room
14 results and in other sort of concentrate poison control
15 and other sort of data monitoring that we have. And if
16 you focused on those and took action on those, I think
17 the whole political stakes for what is going on with
18 the list activity gets lowered.

19 Once the status of these ingredients is clear,
20 FDA could then proceed to compile a list based on
21 industry submissions of adequate evidence of prior use.
22 Information of manufacturing process and other aspects

Page 196

1 of the products relevant for identity or safety would
2 be made public. This is a key point. If -- we can't
3 really have a list that's produced if the details and
4 manufacturing processes are held proprietary. And this
5 is a flaw in the proposal for a master file that would
6 need to be evaluated and -- vis-a-vis this list.

7 The list should be -- eventually be made --
8 eventually, eventually -- be made an exclusive
9 repository for pre-'94 status. It should have some
10 sort of authoritative reassurance to it, or what's the
11 point of the exercise?

12 So, you know, and new applications could be
13 admitted if there is additional evidence that comes to
14 light down the road. You could leave it open for new
15 processes, but you have to get it on the list as a
16 matter of conferring the status. And it should not
17 perpetuate the GRAS loophole. I've talked about this a
18 little bit. But really, because of self-affirmed GRAS
19 happening in the dark, you can't really do the kind of
20 risk assessment that you should be able to do, and you
21 can't look at population exposure or potency or intake
22 or any of those kinds of assumptions. The consequence

Page 197

1 of exclusion from the pre-'94 list merely means the
2 companies have to file an NDI, and there could be a
3 reasonable time for doing so.

4 So, you know, I think we've got buckets that
5 we need -- that need to be unbroken, essentially. And

6 I appreciate FDA's ambition in taking this on. I want
7 to assure that the eye doesn't get off the ball, and
8 the ball really is public safety and a vibrant
9 marketplace -- both. And so finding ways to reconcile
10 those with this process I think is the imperative.

11 Thank you.

12 And I'll call up Jay, who's coming to speak
13 next.

14 (Applause.)

15 DR. SIROIS: Good afternoon, everyone. My
16 name is Jay Sirois. I'm a senior director of
17 Regulatory and Scientific Affairs at the Consumer
18 Healthcare Products Association -- excuse me for that -
19 - the 136-year-old trade association representing
20 manufacturers of OTC medicines and dietary supplements.

21 I'd like to thank the FDA for allowing CHPA to
22 present at today's meeting and look forward to an

Page 198

1 informed discussion regarding the creation of a list of
2 pre-DSHEA dietary ingredients, specifically in regards
3 to the process used to nominate and evaluate dietary
4 ingredients for possible inclusion onto such a list.

5 A few brief remarks about CHPA. This slide

6 depicts our overall mission and vision statements both
7 for the association as well as our educational
8 foundation, which promotes safe, responsible use of OTC
9 medicines and dietary supplements. We are here today
10 on behalf of the approximately 30 CHPA members in the
11 dietary supplement space.

12 In the Federal Register Notice announcing this
13 meeting, FDA noted the discussion during the second
14 panel for the meeting would include topics such as how
15 dietary ingredients should be nominated and reviewed,
16 whether or not an outside panel should be convened and
17 how it should be composed, how confidential information
18 should be handled, and what the ultimate list should
19 look like. I will touch briefly on each of these
20 topics today.

21 The Agency also felt it would be helpful for
22 CHPA to present in today's session on any lessons

Page 199

1 learned from the OTC drug review, a process initiated
2 in 1972 to evaluate the safety and efficacy of over-
3 the-counter ingredients. I'll provide a history of
4 that review briefly discussing the format FDA employed

5 as well as some of the reasons why they did it the way
6 they did. I will also discuss the formation and
7 composition of the expert panels who carried out the
8 work of the OTC drug review evaluating evidence and
9 providing recommendations to FDA.

10 In our December 2016 comments to the FDA on a
11 new dietary ingredient draft guidance, CHPA provided a
12 brief outline for a pre-DSHEA ingredient review
13 process. Today in the second part of my talk, I will
14 cover several key aspects to consider as we begin to
15 discuss how to accomplish this type of a review.

16 So before I cover the OTC drug review and how
17 that process could potentially inform a review of pre-
18 DSHEA dietary ingredients, a little history lesson is
19 necessary for context. In 1938, Congress passed the
20 Food, Drug, and Cosmetic Act requiring drugs to be
21 evaluated by the Agency for safety only.

22 In 1962, Kefauver-Harris amendments to the act

Page 200

1 required FDA to evaluate the effectiveness of new drugs
2 prior to their marketing. In addition, they required
3 the Agency to go back and review all the drugs approved
4 on the basis of safety alone during the 1938-to-1962

5 period for effectiveness. This ultimately became known
6 as the DESI review, short for drug efficacy study
7 implementation.

8 FDA contracted with the National Academy of
9 Sciences to perform this review of the approximately
10 7,000 drugs approved between 1938 and 1962. Most of
11 these were prescription drugs, and in total, about 300
12 chemical ingredients were involved.

13 In general, there were a couple of lessons
14 that were learned by FDA during the DESI review which
15 informed the OTC drug review. The first was to make
16 the process more open. For their deliberations, the
17 NAS committees met behind closed doors, and there was
18 no consumer or industry representation or even FDA
19 representation, for that matter.

20 Another was to issue a more comprehensive
21 report of the decision-making process. NAS reports
22 were typically about a page long, and this later became

Page 201

1 an issue under certain circumstances.

2 And lastly, FDA learned of the drug-by-drug
3 evaluation, as was done during the DESI review, was not

4 feasible due to limited resources in the vast number of
5 OTC drugs on the market. This led FDA to analyze
6 therapeutic classes of ingredients during the OTC drug
7 review.

8 The OTC -- excuse me -- the OTC -- yes, that's
9 the one. The OTC drug review was begun in 1972. It's
10 an ongoing process by which the safety and efficacy of
11 OTC ingredients is assessed. Data relating to claims
12 and active ingredients for different therapeutic
13 classes was reviewed by an expert advisory panel. The
14 FDA eventually convened 17 expert panels to review over
15 60 classes of ingredients. Expert panels were composed
16 of physicians, pharmacists, toxicologists, and industry
17 and consumer representatives.

18 For each reviewed therapeutic class of OTC
19 drugs -- for example, antacids or analgesics -- a total
20 of seven expert panel members recommended by
21 organizations representing professional, consumer, and
22 industry interest and who had experience with OTC drugs

Page 202

1 in some way were chosen by the FDA commissioner. FDA
2 did their best to ensure that individuals did not have
3 any conflicts of interest.

4 Consumers and industry had a designated
5 liaison member, both of which were nonvoting. Expert
6 panel members reviewed submitted evidence and provided
7 a report to FDA. Panel recommendations became mandated
8 in an official OTC monograph through a three-step
9 public rulemaking process.

10 Expert panel reports were published in the
11 Federal Register as an advanced notice of published --
12 proposed rulemaking, which provided preliminary
13 assignments of ingredients in regards to their safety
14 and efficacy. As many of you know, Category 1 was
15 generally recognized as safe and effective for their
16 intended use; Category 2, not generally recognized as
17 safe and effective; Category 3, more data was needed in
18 order to classify the ingredient.

19 Following FDA review and public comments, a
20 tentative final monograph would be published, proposing
21 approved ingredients, uses, doses, appropriate claims,
22 and required warnings. Following review of an

Page 203

1 additional set of comments, FDA would publish a final
2 monograph ultimately codifying allowable claims

3 labeling inactive ingredients.

4 There were a number of benefits to the way
5 this process unfolded. By performing the review
6 according to therapeutic classes, it allowed a more
7 effective analysis of the large number of OTC products
8 on the market. Key stakeholders with knowledge of
9 specific therapeutic classes were involved, allowing a
10 very thorough review of the evidence. And importantly,
11 the public was allowed to comment on the process at
12 multiple points.

13 As to drawbacks, it's been noted that the
14 process tended to be very lengthy, and to this day,
15 some monographs still exist in the tentative final
16 stage. In part because of this, for the past few
17 years, industry has been in negotiations with FDA to
18 add some much needed reforms to the monograph process
19 in order to make it run more efficiently. Under the
20 proposed plan, the Agency would replace notice and
21 comment rulemaking with an administrative order
22 process.

Page 204

1 I'd like to transition now to discussion of
2 several key points to consider when developing a

3 process to determine whether a dietary ingredient was
4 marketed pre-DSHEA. These focused solely on the
5 process and do not consider the types of evidence for
6 review, as discussed during the morning session.

7 We look forward to input from the Agency and
8 other interested stakeholders on these topics as well
9 as others which we may not have considered. Very
10 briefly, as I'll cover each of the main points in a
11 little more detail in the upcoming slides, the first
12 step in the process would involve the FDA convening an
13 expert panel, followed by a public call for evidence
14 regarding pre-DSHEA marketing of dietary ingredients.

15 The expert panel then working with FDA would
16 designate a list of dietary ingredients for review of
17 evidence for pre-DSHEA marketing. The expert panel
18 would then review the evidence and issue a
19 determination of the pre-DSHEA marketing status. FDA
20 would publish this determination in the Federal
21 Register and invite public comment. Lastly, FDA would
22 finalize the process by either declaring the ingredient

1 as pre-DSHEA or that there is insufficient evidence for

2 pre-DSHEA marketing.

3 The first step in the process would be the
4 formation of an expert panel. What we envision is a
5 seven-member voting panel made up of three FDA and
6 three industry members as well as an additional member
7 agreed upon by both FDA and industry. Nonvoting
8 members would include a consumer representative as well
9 as an industry representative. All participants would
10 be chosen by the FDA commissioner.

11 Prior to the commencement of the review
12 process, we feel that FDA should issue a notice of
13 enforcement discretion based on an updated version of
14 the ODI list previously submitted by the dietary --
15 several of the dietary supplement trade associations.

16 Subsequent to this, FDA would issue a call for
17 evidence in the Federal Register, asking interested
18 parties to submit proof of pre-DSHEA marketing.
19 Individuals submitting data should be allowed to claim
20 that their information is confidential.

21 The FDA, in conjunction with the expert panel,
22 would then designate a list of dietary ingredients for

2 of dietary ingredients identified in DSHEA -- for
3 example, vitamins or minerals, herbs or other
4 botanicals or amino acids.

5 The dietary ingredient expert panel would then
6 review the submitted evidence using agree-upon criteria
7 to determine if the dietary ingredient was marketed in
8 the supplement pre-DSHEA. The expert panel would issue
9 a report to FDA on the ingredient status if one of two
10 findings -- the ingredient under review would either be
11 confirmed as a pre-DSHEA ingredient, or the decision
12 would be that there is insufficient evidence for pre-
13 DSHEA marketing.

14 FDA would publish this decision in the Federal
15 Register and invite interested parties to comment. Or
16 if we move to the administrative order process, the
17 decision would be posted on the FDA website. FDA would
18 then issue a final decision on the status of the
19 dietary ingredient and maintain an active list of those
20 ingredients found to be marketed pre-DSHEA as well as
21 those for which there is insufficient evidence for
22 this.

1 A few things to consider. We suggested a
2 defined time frame be allowed for industry to address a
3 finding of insufficient evidence for pre-DSHEA
4 marketing. We would also suggest that a finding of
5 insufficient evidence should not necessarily preclude
6 an ingredient from being marketed. And lastly, we
7 recommend that there be some type of reasonable
8 arbitration process built in for cases in which there
9 is disagreement between the panel and industry on the
10 classification of a dietary ingredient.

11 My last slide here is just a side-by-side of
12 several of the key considerations I've discussed for
13 the pre-DSHEA ingredient review process alongside
14 similar aspects of the OTC drug review. FDA has
15 previously noted -- and we agree -- that the
16 development of an authoritative list of pre-DSHEA
17 ingredients would benefit both industry and the Agency
18 by enhancing clarity around the status of a dietary
19 ingredient, eliminating unnecessary notifications, and
20 allowing a greater focus of Agency enforcement efforts.

21 We look forward to continued discussion with
22 the Agency and other stakeholders surrounding this

1 process.

2 Thank you. And I'd like to --

3 (Applause.)

4 DR. SIROIS: Thank you. I'd like to now

5 invite Chuck Bell to the podium.

6 MR. BELL: Thank you, Jay.

7 So I'm Chuck Bell. I am the programs director

8 for Consumers Union. We are the policy and

9 mobilization arm of Consumer Reports.

10 I wanted to echo the point that Laura brought

11 up and about the safe harbor terminology. We're

12 concerned that statements in the trade press about this

13 issue, about the safe harbor, implies that the

14 ingredients themselves are presumably safe. And I

15 found evidence for this message being received by

16 industry in examining a couple websites of a law firm

17 and a trade association where it stated that these

18 grandfathered ingredients are considered safe for

19 continued consumer use.

20 So we think that this terminology potentially

21 sends the wrong message to manufacturers. You have a

22 very large and heterogeneous industry with varying

1 levels of staff and legal capacity. And for the pre-
2 1994 ingredients, there is no pre-market safety review.
3 The only real line of defense for consumers is the
4 voluntary safety review by manufacturers and then the
5 FDA adulteration standard that if the product poses a
6 significant and unreasonable risk under conditions of
7 use it can be removed. But that's been rarely used.

8 And the voluntary safety review by
9 manufacturers has been shown to be a weak and
10 ineffective control in many circumstances, although we
11 certainly appreciate the industry's efforts in this
12 regard. And in some instances, it has been very
13 important and effective.

14 So we at Consumer Reports have published lists
15 of dangerous supplements since DSHEA was passed in
16 1995, 2004, 2008, 2010, and 2016. And some of the
17 ingredients that we believe were unsafe and that we
18 urged consumers to avoid have remained on the list
19 during the entire 23-year period. So what we have
20 noticed is that unsafe supplements can remain on the
21 marketplace for quite a long time. And the inadequate
22 safety system we have that's largely based on post-

1 marketing surveillance with rarely used procedures to
2 remove products has led to long delays in removing
3 dangerous ingredients.

4 So these are some of the risky ingredients
5 that have been on the Consumer Reports list. They
6 include ingredients that have been linked to serious
7 adverse events, including some that cause organ damage,
8 strokes, and deaths.

9 This recent paper from the Journal of
10 Hepatology reports that herbal dietary supplements
11 induced liver injury now accounts for 20 percent of
12 cases in a subset that was collected by the authors
13 through the drug-induced liver injury network. And
14 these are cases of hepatotoxy (sic) in the United
15 States based on research data. And the major
16 implicated agents included anabolic steroids, green tea
17 extract, and multi-ingredient nutritional supplements.
18 And in the pie chart, you can see there's a range of
19 supplements that have been implicated in these reports.

20 The paper says we need improvements in
21 regulatory oversight. And the ultimate goal should be
22 to prohibit or more closely regulate potentially

1 injurious ingredients, then thus promote public safety.

2 So a consumer who goes to the liver tox page
3 at the National Library of Medicine sees this entry for
4 green tea extract. And it says, "Green tea extract and
5 concentrated infusions of green tea have been
6 implicated in many cases of clinically apparent acute
7 liver injury, including instances of acute liver
8 failure and death." This is not an outcome many
9 consumers would expect for a product marketed to
10 enhance health and wellbeing, and this deserves our
11 attention and investigation. We should not want to
12 have dangerous ingredients that pose unreasonable risks
13 to consumers on store shelves, regardless of the
14 legislative language that was written in 1994.

15 And so beyond the ingredients we have
16 identified, there are potentially many others where the
17 safety profiles of those ingredients are not that well
18 understood at pose -- could pose similar risks to
19 coronary or kidney health or liver health or have other
20 serious side effects.

21 And so, so far in terms of old dietary
22 ingredients, FDA has basically removed one unsafe old

1 dietary ingredient, which was ephedra. I realize that
2 the -- this is a slightly more nuanced and complex
3 situation because there has been action taken against a
4 variety of other substances and other specific products
5 removed, but it took 10 years for ephedra to be removed
6 after issuing a safety alert about ephedra beginning in
7 1994.

8 And under the law, it is quite difficult for
9 FDA to remove unsafe ingredients from the marketplace
10 because of the high standard of proof that is needed.

11 Also, as noted by some other speakers, FDA's
12 funding and staff resources have not kept pace with the
13 explosive growth in the marketplace, with 1,000 new
14 products added every year. And that also limits the
15 effectiveness of public oversight for removing unsafe
16 ingredients.

17 It is more common for FDA to issue warnings,
18 and so we have this webpage here with a number of
19 warnings about supplements. But warnings in a doctrine
20 of let the buyer be aware are inadequate to protect the
21 public. And many people will never see these warnings,
22 and they're not expecting a product advertised to

1 improve health would have such severe adverse effects.

2 And this picture is of a young man named Peter
3 Schlendorf, who died after taking the product Ultimate
4 Xphoria on spring break in 1996 that contained ephedra.
5 It took eight years after Peter's death to get ephedra
6 out of the marketplace. Peter's parents very much wish
7 that the Congress and the FDA had taken the time to
8 investigate the safety of ephedra and the other old
9 dietary ingredients before allowing it to go on sale
10 throughout the country.

11 In some market research we have done at
12 Consumer Reports in a poll that we published, we have
13 found that consumers generally assume that products
14 that are sold at retail and over the internet will be
15 safe and effective for their intended use. They tend
16 to believe that if the product wasn't safe, the
17 government would intervene to do something about it.
18 If they weren't safe, they think the CVS, Walgreens,
19 and the GNC wouldn't put it on the shelf.

20 Consumers do not expect the situation where
21 there's little or no safety vetting of individual
22 ingredients or that that safety vetting is done only at

1 the discretion of the manufacturer.

2 We have a safety system, as I mentioned,

3 that's largely based on post-marketing surveillance.

4 One key problem with using adverse events to track

5 signals with possible safety problems is that people

6 are on the other side of that adverse events. And if

7 the consequence is a severe liver or kidney injury or a

8 seizure or a stroke or a death, it's a really big deal.

9 And in a significant number of these cases, we see

10 people who are otherwise healthy who were made gravely

11 ill by a supplement that they purchased.

12 So if we have old dietary ingredients where

13 the safety has not been adequately substantiated -- and

14 I think a number of speakers today would stipulate that

15 could be the case at least for several ingredients, if

16 not dozens or hundreds -- we need a process to ensure

17 that they will be safe under expected conditions of use

18 before putting them on a validated list and inviting

19 manufacturers to use them. And I just don't think this

20 process will have credibility with consumers if we

21 can't address that glaring contradiction.

22 So our recommendation would be that we need a

1 process to delist unsafe pre-1994 supplement
2 ingredients either through FDA action, voluntary
3 agreement with industry, and/or changes in the law.
4 The current safety and oversight system has not
5 addressed this priority concern of consumers, and we
6 would be very concerned and alarmed if FDA specifically
7 were to accept specific ODIs that are toxic to the
8 liver and kidneys or that caused cardiovascular
9 problems.

10 And we had some hope in -- right after ephedra
11 was banned in late 2004 when the secretary of HHS Mark
12 McClellan indicated that he would be investigating
13 products like bitter orange and kava and a number of
14 others. But 13 years later, we haven't seen action on
15 that commitment.

16 We believe the U.S. needs to move to a system
17 where there's universal substantiation of safety for
18 all ingredients and dietary supplements, and we look
19 through -- at this process through that lens. We
20 believe there should be a more effective use of
21 regulatory resources and public funding. It would be
22 for the FDA to set a deadline for manufacturers to

1 submit NDI applications for products that are currently
2 in the market that have not been declared yet.

3 Now that the NDI guidance has been further
4 refined, the rules of the road should be clear. And we
5 would argue it's a better use of FDA's resources and
6 staff time to address the proliferation of NDIs that
7 were never declared because this will reduce the number
8 of unauthorized and inadequately reviewed ingredients
9 in the marketplace.

10 And in that light, I wanted to just
11 acknowledge the point that Duffy made this morning
12 about that if you have a constituent like the example
13 of the bromelain from the pineapple that that would
14 require an NDI or some different notification. We are
15 supportive of that concept.

16 All right. And finally, we think that
17 consumers and public health is well served by
18 presumption toward openness in supplement regulation
19 and that there should be wide sharing of information
20 about which ingredients are contained in the
21 supplements that consumers are buying and using. And
22 so if manufacturers were allowed to make extensive use

1 of confidentiality and proprietary claims in this
2 process if it moves forward, it would frustrate the
3 public's right to know what is in supplements and any
4 known shortcomings, side effects, and risks that they
5 may have. So we favor a presumption towards openness
6 in the process.

7 Thank you.

8 (Applause.)

9 DR. WELCH: All right. Thank you to our
10 panel.

11 Now is the time when we can entertain some
12 questions for our panelists. If there are any
13 questions, I would encourage you to come to one of the
14 two mics on either side of the room. Please speak your
15 question into the microphone and start with your name
16 and affiliation first. We'll also be monitoring
17 questions on webcast. If any come through, we'll ask
18 on their behalf.

19 Any questions for our panelists? Boy you guys
20 really set it off well.

21 I do have one question, Jay. When you were
22 getting into the process that -- the suggested process

1 that was laid out, you suggested -- and I apologize if
2 I noted it wrong -- but essentially convening a panel,
3 reviewing information that had been submitted, and
4 published the determination, I assume, in the docket.
5 I didn't note that, actually.

6 You were comparing it to the DESI review, if I
7 remember correctly. Were you envisioning for dietary
8 ingredients, not necessarily looking at efficacy, to
9 have one panel for dietary ingredients or
10 differentiating by any particular differentiation, a
11 therapeutic class, or otherwise?

12 DR. SIROIS: Well, I think -- this is Jay
13 Sirois with the Consumer Healthcare Products
14 Association.

15 I think, you know, I -- when we look at this,
16 we want to try to make it as simple as possible
17 because, on the one hand, we're looking at evidence of
18 marketing, which you don't have to be a PhD in
19 chemistry to understand that something was --

20 DR. WELCH: I hope not.

21 DR. SIROIS: Yeah. And I wasn't singling you
22 out, Cara.

1 So you don't need that understanding. But I
2 could envision the product -- you know, you -- there
3 are some -- you know, when you get into the
4 manufacturing changes and things like that and you're
5 talking about probiotics versus amino acids versus
6 vitamins and minerals, there may be some benefit to
7 having some of that expertise on a particular panel for
8 each of those classes.

9 So I think at the end of the day you want to
10 try to keep it as simple as possible, you know, because
11 obviously we learned some lessons from both the DESI
12 review and the OTC drug review that, you know, that can
13 go on for a very long time. But it's a different
14 standard here that we're talking about. We're not
15 reviewing for safety and efficacy. We're reviewing for
16 proof of marketing. So ...

17 DR. WELCH: I -- Dan, were you going to say
18 something?

19 DR. FABRICANT: Yeah. I'm always interested
20 when people talk about Agency resources, just given my
21 life expectancy, what have you.

Page 220

1 DR. FABRICANT: I think that, you know, people
2 are talking about setting up processes. And we can
3 just say look, there's not a reg; there's not a
4 statute. But not having a processes -- not having a
5 clear process and just presenting data to the Agency
6 that gets protected by CCI and FOIA rights that people
7 already have, that's pretty -- is non-process and non-
8 burdensome to the Agency as I get you think.

9 You know, but I mean, I'd love to hear the
10 Agency's perspective, not to put you guys on the spot.
11 But you know, that would seem to be the easiest.
12 People dump data on you guys that has references behind
13 it that would seem to be the no-muss-no-fuss way. But
14 I'm not going to claim to know anything about the FDA's
15 inner workings.

16 DR. WELCH: Just because I'm standing behind
17 the podium does not mean I'm going to answer that
18 question.

19 DR. FABRICANT: I did not expect you to, no.

20 DR. WELCH: And Stephanie, going back to your
21 presentation, at one point, you discussed making sure

22 you have the right expertise. Technically, I think you

Page 221

1 talking about an advisory committee or special
2 government employee. Playing off what Jay was just
3 talking about, about hopefully not needing a PhD in
4 chemistry to determine marketing, what is sort of the
5 expertise that you were envisioning when you commented
6 on that?

7 DR. SCARMO: So for that, we were thinking
8 about technical issues that would affect an
9 ingredient's identity, so manufacturing changes,
10 chemical alterations. Likely, FDA would have that
11 expertise in-house. But if they don't, and to
12 prioritize their limited resources, they could hire a
13 special government employee or could seek the help of
14 an advisory committee for some of those debated issues.

15 DR. WELCH: Thank you.

16 Steve?

17 MR. TAVE: Steve Tave, FDA. Is this on?

18 DR. WELCH: I'm not sure it's on.

19 MR. TAVE: No? Okay.

20 Steve Tave from FDA. And I think I'm just

21 enjoying the opportunity to ask questions because

22 usually I'm the one who's up there being asked.

Page 222

1 I'm -- first, I just want to thank this panel

2 like I thank the previous panel for coming here. I

3 think you all present --

4 DR. WELCH: Steve, we're not totally sure

5 you're on, actually.

6 MR. TAVE: Okay.

7 DR. WELCH: People behind you don't seem to be

8 hearing you.

9 MR. TAVE: Okay.

10 DR. WELCH: So if you could push the button or

11 just hold it.

12 MR. TAVE: Better?

13 DR. WELCH: Yes. Thank you.

14 MR. TAVE: All right. So for those virtual,

15 Steve Tave from FDA. And I'll repeat this because it's

16 important. I want to thank this group of panelists,

17 just like the first panelists, for coming today and

18 sharing their thoughts and views. Again, I think

19 you've all done a great job of just laying out what the

20 issues are that we have to grapple with and different

21 ways to look at them.

22 One thing I wanted to follow up on, we heard a

Page 223

1 lot about safety, and we heard a lot about process.

2 And I think those two intersect in obvious ways. One

3 suggestion that I think we heard from more than one

4 panelist here and possibly even from folks earlier in

5 the day was that FDA should prioritize looking at

6 ingredients where there is evidence that they're unsafe

7 or they may pose a safety problem.

8 And I'm curious for any thoughts on how we

9 might identify those first. Would there be a

10 nomination process for those? And the reason I'm

11 asking is, you know, there's utility to you industry

12 and stakeholders in having a list of pre-'94

13 ingredients. When industry and stakeholder are going

14 to benefit from that list, they're more incentivized to

15 nominate ingredients to be on it. But if the outcome

16 of nominating something is that your ingredient might

17 be identified as unsafe that's potentially contrary of

18 your business interest, how would you suggest we go

19 about that process?

20 DR. FABRICANT: I thought you were already
21 doing that via the AERs. I mean, that's -- you know,
22 you get safety signals. You investigate safety signals

Page 224

1 or other sources of data. That's being done. I mean,
2 everyone can sit around and move molecules around and
3 say this is dangerous, that's dangerous.

4 But I think that, you know, in a sentinel
5 environment, what you have to deal with at the Agency,
6 a post-market environment -- and it's not just this
7 product center; I mean, it's the same MedWatch system,
8 devices, drugs, what have you -- that's your -- you
9 know, that's your telltale if there's evidence on the
10 market.

11 I think the Agency has a pretty good handle,
12 you know, despite -- and I appreciate the CSPI folks
13 and then Consumers Union. You know, we know where you
14 guys are coming from. At the same time, you're not
15 walking into any health food store and buying an
16 aristolochic acid supplement. And yet that story has
17 been in 2004, 2008, 2012, 2014, 2016 in Consumer
18 Union's supplements you should avoid. And the Agency's
19 been pretty clear on that as well. They've made it

20 clear that that's an adulterated ingredient and
21 adulterates the product and stay the hell away from it.
22 So again, I think a lot of this is much ado

Page 225

1 about nothing. I share the point that, yeah, safe
2 harbor probably is the wrong language. But with
3 respect to old ingredients, one, you have to develop a
4 list. And then if anything on that list that are
5 current AERs that are indicating hey, there may be a
6 there there -- look vitamin D is going to be on the
7 list. Guess what? You get too much vitamin D; you got
8 a problem. Calcium -- I mean, we can stay away from
9 swimming pools, too. Water -- you know, there is water
10 toxicity, too.

11 So I think that these are all things that they
12 fairly well wrote. I don't there should be a
13 nomination process, if you will, because, again, all
14 due respect to everyone in the room, you guys are the
15 food safety authority. So I think that -- I mean, I
16 paid my taxes this year, so I've got faith in you guys.

17 DR. SCARMO: Unsurprisingly, I disagree.

18 (Laughter.)

19 DR. FABRICANT: (inaudible - off mic).
20 DR. SCARMO: You know, I think it's a great
21 question. One aspect that's a serious shortcoming of
22 the AER system that is -- that it's reasonably decent

Page 226

1 at raising an initial red flag but very poor at showing
2 causation. And we've seen this with energy drinks.
3 For example, when I looked at the AER reports, it's
4 over-inclusive and probably grossly under-inclusive in
5 terms of the reports that actually show up in the
6 system.

7 So I do think there is a need for a much more
8 textured and rich kind of system for developing a rapid
9 response muscle around threats. And I'll just point
10 to, you know, there is an imminent hazard provision in
11 DSHEA that has never been used, so even in the case of
12 ephedra. Certainly, you know, in our view, if there is
13 an opportunity -- if there is a situation that calls
14 for it, it would be powdered caffeine or maybe kratom.

15 So, you know, those are the kinds of places
16 where we think the system demonstrates its brokenness.
17 And what you could do about that is essentially try to
18 address, I think, to the industry's benefit as well as

19 the public health the most risky substances that are
20 currently showing up in dietary supplements, be they
21 pre-'94 or post-'94. And maybe they've gone through an
22 NDI process, or maybe they were put into dietary

Page 227

1 supplements through a GRAS -- through the GRAS -- what
2 I call the GRAS loophole other people might call the
3 GRAS system -- so that you clear the decks, as it were,
4 and made possible the development of a much less
5 controversial process and list and lower the stakes.

6 And I think that would beg the question, of
7 course, of how the Agency prioritizes its enforcement
8 resources and how you take action, but it's not
9 necessary that you have developed a full plan to just
10 begin to name the dietary ingredients that are most
11 problematic.

12 There is in-depth research by Dr. Cohen as
13 well as by others in the field that isn't going to show
14 up in an AER. There is the integration of poison
15 control center data with the AER findings. There's a
16 lot of data streams that need to be talked about --
17 that should be talked about publically that should

18 inform all of us so that we all have a shared list of
19 priorities for what are actually posing risks to public
20 health in the supplement area.

21 DR. FABRICANT: And I'm going to disagree with
22 you, given that I was one of the first people to use

Page 228

1 mandatory recall on human food, a dietary supplement.
2 We did that during the furlough when there was nobody
3 in this building four years ago. I don't think you're
4 well aware of all the legal authority the Agency has or
5 of that particular instance.

6 Mandatory recall is a pretty good stick. It
7 got a product off the shelves after less than 40 AERs
8 in Hawaii. I know Bill also got a complete recall --
9 and this was voluntary recall -- of Hydroxycut for
10 liver injury after 23 AERs. So again, I don't think
11 that your statement's accurate.

12 And also, other data points, all due respect
13 to people at poison control, that data is -- it's not
14 very informative. The MedWatch system -- I'm not going
15 to tell you it's perfect, but if you can design
16 something better, great. But again, the system does
17 alert the Agency of where the challenges are,

18 especially with a liver signal, cardiac signal. And I
19 do trust that they are -- that the folks here at FDA
20 are actively searching that.

21 DR. WELCH: Brian?

22 MR. FRISBY: Brian Frisby with KGK and other

Page 229

1 places.

2 I wanted to kind of reiterate but also to ask
3 a question, kind of go back to what Steve was just
4 saying, is I have watched every one of these
5 presentations now and the products that kept coming up
6 as far as issues with them. I think everybody in this
7 room knows what these products are. We're all aware of
8 them. They've been out there. In some cases, as Dan
9 said, we've had reports and reports and reports for,
10 literally, 20 years on these.

11 Now, the obvious question is how do we move
12 those, get those off the market or make them so that
13 they're safe. I'm not sure. The thing is, is I look
14 around at this room here, and the people that are here
15 are not making those products. Those products are not
16 made by people that are responsible. They're made by

17 people that want to just make a lot of money real quick
18 in the dietary supplement business and then get out.
19 And so I think the point of it is, is that
20 we're not here to make poison. We're not here to kill
21 people. We're here to make products that actually help
22 people. And that's why I've been in this business for

Page 230

1 over 30 years to do.
2 With that being said, I want to go back to
3 what Steve asked and what Dan and Laura were talking
4 about. Perhaps there is someplace where there's a
5 threshold, or whatever, that if you get enough reports
6 -- and obviously, the SAER is a system that we should
7 depend on, but I don't know how many people adhere to
8 that the way they should. And I can tell you that I
9 have never been audited in a facility where someone's
10 come in and said let me look at your customer
11 complaints and your AERs. I think it should be done,
12 but it hasn't been done. You know, again, we just
13 don't have the bandwidth on the Agency side, I mean.

14 But where I'm going with that is that, you
15 know, again, every one of those products we saw up here
16 today are things that have come up and up and up. How

17 many times do they come up before we finally move on
18 them? And a good -- you pointed out several
19 opportunities, or two opportunities, where we did move
20 things off of it. So I'll leave it to you for that in
21 the comments.

22 DR. FABRICANT: As far as I know on AERs, it's

Page 231

1 -- it used to be part of the turbo AER on the
2 inspection pack that you could look at 761 compliance
3 and things like that. I don't know if that currently
4 is, but I believe it is. So -- but I'll leave that to
5 you guys to discuss.

6 DR. SCARMO: Well, I would just say, you know,
7 that reflects a level of, I think, candor and shared
8 frustration that is a common ground, I would imagine,
9 for the more responsible aspects of the industry in
10 this room and the consumer community. We're all tired
11 of seeing the same ingredients be cited in public
12 health-related reporting. And we're all tired of an --
13 a system that doesn't adequately police the safety of
14 the public and put that first.

15 So I do think that there is the ability at

16 this point with the maturity in the industry and the
17 concentration of better actors among many of the
18 companies and producers that we could get together and
19 decide we're going to take action in a concerted
20 fashion against those things that pose the most serious
21 risk to public health and not only now, but set up a
22 system that is capable of taking action when the next

Page 232

1 threat comes down the pike and is a kind of rapid
2 response.

3 You know, those aren't the only concerns that
4 the consumer advocacy community has. We also have
5 concerns about efficacy, what's in the bottle is what's
6 on the box, all of that.

7 But really, the most pressing public health-
8 related concern that I hear from advocacy organizations
9 has to do with the risk and threat to public health.
10 And so I think there's an opportunity here. I'm not
11 sure making a list of grandfathered ingredients is a
12 mechanism by which to do it. In fact, the safe harbor
13 would be the only things that aren't evaluated for
14 safety.

15 And so for me, it's particularly inept

16 terminology, and maybe the task is something else.

17 Maybe the task is trying to get together to try to pull

18 together a list of -- that's an action list, frankly,

19 and shared by, you know, voluntary action from the

20 industry and enforcement action from the Agency.

21 DR. SIROIS: This is Jay Sirois with the

22 Consumer Healthcare Products Association. I just want

Page 233

1 to echo a couple points that have been made, one by the

2 commenter that the associations represented here are

3 not the folks that are manufacturing some of these

4 ingredients. I think that's an important point to

5 make. I think it's also important to point out that

6 what Dan mentioned, that the Agency has adequate

7 abilities to enforce under -- for safety issues.

8 And I also want to point out that all of the

9 trade associations here that are represented are part

10 of advocacy efforts to promote safe responsible use and

11 the production of high-quality dietary supplements. I

12 mean, you all know the efforts. There's the Dietary

13 Supplement Quality Collaborative. There's the SSCI.

14 There's the Botanical Adulterants Program. There's the

15 OWL database. There's the Good Agricultural Clinical
16 Practices.

17 So it's -- you know, we want to make sure that
18 the efforts here are focused on the right area, right?
19 I mean, we want -- we don't want unsafe products on the
20 market. We are all about informing consumers about
21 what is safe to use, don't believe outlandish claims,
22 and things like that. So we want to make sure the

Page 234

1 efforts are tightly focused, and we do think the FDA
2 has adequate safety resources.

3 DR. WELCH: Michael.

4 MR. MCGUFFIN: Michael McGuffin, American
5 Herbal Products Association.

6 And you know, I appreciate, Laura, the call
7 for we can all get along; we can work together. But to
8 do that, we have to get beyond the myth of -- that
9 there's only one ingredient that the Food and Drug
10 Administration has ever removed. It's simply not
11 accurate.

12 There's one ingredient that they removed
13 through that mechanism. As Dr. Fabricant pointed out,
14 I can't buy aristolochic acid, as the Food and Drug

15 Administration issued an import alert one afternoon,
16 not 10 years. One afternoon they issued an import
17 alert. You can't get aristolochic acid into this
18 country. Does that mean there's none? No. Is it
19 broad -- as Dan said it -- can I get it in Whole Foods?
20 No. Can I get aconite in Whole Foods? No. And that
21 is actually an industry self-control mechanism.

22 The Agency did act on kava. They didn't act

Page 235

1 to ban it because the evidence didn't support banning
2 it. They issued a consumer advisory that said you
3 should be informed about your use of kava. If you're
4 going to use it, you should be aware of the symptoms of
5 liver disease in case that comes up. The last time I
6 checked, that warning was on every kava product that I
7 can find in the marketplace.

8 And they -- the same thing happened with
9 chaparral when the Agency said we're concerned about
10 some safety issues. But they did not say therefore, it
11 should be banned. They said therefore, there should be
12 cautionary language on chaparral products. And as I
13 pointed out earlier, we have an affirmative obligation

14 to provide material information.
15 Citacortopholia (ph) on Consumer Reports list,
16 Chuck, that was a mistake that the Food and Drug
17 Administration made -- I apologize -- where they
18 identified that as a good source of ephedrine. In
19 fact, it's not. There might be a little bitty bit, and
20 we do know that some companies were spiking ingredients
21 identified as citacortopholia with ephedrine for a week
22 or two or a month or two. I don't know what. But it

Page 236

1 shouldn't still be on your list, Chuck.

2 And so if we're going to have the conversation
3 about how we can work together to resolve where there
4 are cases where there's true safety, then we need to be
5 very truthful in all of our communications about these
6 issues and not continue to tell the story that it takes
7 10 years. It doesn't take 10 years when FDA chooses to
8 use other mechanisms.

9 MR. BELL: Well, so I helped -- or
10 organization helped get ephedra off the market by
11 working with the Schlendorf family to ban it in Suffolk
12 County and then Westchester County and then New York
13 State and then California. So I've -- I think it's a

14 little hard to accept people telling us that FDA has
15 the authority to remove dangerous ingredients. There's
16 a lot more items on our list besides the handful that
17 you mentioned.

18 You know, to mention the aristolochic acid as
19 something that's already been dealt with, you know --
20 and we're often given this point that, you know, the
21 products you're talking about are not in the mainstream
22 of the marketplace. But whether it's a niche product

Page 237

1 or not, manufacturers around the country have the
2 freedom to reintroduce these ingredients if they're on
3 a list of old dietary ingredients and wait for FDA to
4 take action after the fact. And so we just -- we don't
5 -- we disagree that that is adequately protective of
6 the public.

7 And also, on the paper about the liver
8 injuries, it's mentioned that quite a number of those
9 cases involve multi-ingredients weight loss products.

10 So there's a lot of safety issues here. And
11 you can hide behind this idea that FDA has this
12 authority to address them. We haven't seen that

13 authority used that much. And so it's a disagreement
14 that we have and I think we're going to continue to
15 have.

16 DR. WELCH: While I always appreciate spirited
17 discussion, I do think we're straying a bit away from
18 the list that we were -- actually came here today to
19 talk about, which we be an ODI list, though I do
20 appreciate the points that were made in the
21 presentations.

22 To bring -- come back to that, that topic, I

Page 238

1 was wondering, Chuck, if I had a question for you. You
2 started off talking about terminology is very important
3 and communication and rolling out that list. You also,
4 of course, mentioned that one poll from Consumers Union
5 history. I'm not sure when it was taken. But consumer
6 education is always a real interest at FDA. It's
7 expensive, and we don't always have the money or the
8 resources to do that.

9 I'm just curious. You -- the question that
10 you discussed was -- or the answer that you discussed
11 was that consumers generally believe the marketed
12 products are safe and effective. I'm curious if --

13 starting off with your first point about the
14 terminology in safe harbor, the -- what sort of
15 communication or terminology do you think is important
16 when we theoretically put together the final product?
17 So what -- the end list, is there something important
18 that we need to make known in this end product to give
19 that message of what it truly is, a list of ingredients
20 that were marketed prior to October 15, 1994?

21 MR. BELL: I mean, I would say, for one thing,
22 like, let's not call it the safe harbor. You know,

Page 239

1 let's call it a list of dietary ingredients that the
2 Congress -- I mean, I don't know what the correct title
3 would be. But --

4 DR. WELCH: Not to put you on the spot.

5 MR. BELL: -- I have a concern that, no matter
6 what type of disclaimer that you put on it, a large
7 block of consumers is still going to believe that these
8 have been subject to pre-market safety review. People
9 do not expect that with the government we have and the
10 FDA that we have that such ingredients can be put into
11 the marketplace with only the word of the manufacturer

12 behind them. So I think you have an inherently
13 difficult thing to communicate.

14 That poll is from 2015. And we also found
15 that 50 percent of consumers thought that products have
16 been tested to be effective by their manufacturer prior
17 to marketing.

18 And so there's also a question about sort of
19 the risk-benefit arrangement. We have products that
20 are not shown to be effective or which there is scant
21 evidence that they're effective. They pose, you know,
22 a rare but significant risk of liver injury. Is it a

Page 240

1 good thing to have a product like that on the
2 marketplace where one of the consequences is just
3 economic fraud that consumers are essentially throwing
4 away their money on the product and then put -- being
5 put at risk of a rare event of liver injury? We would
6 say take something like that off the market.

7 MR. FRANKOS: Bill Frankos with Herbalife.

8 To get back to the question of prioritizing a
9 list, I think there is a simple list of priorities.
10 The first to me is let's take all of the 21 CFR direct
11 food additives, the GRAS ingredients that are listed

12 there, the flavor ingredients, the -- let's see. There
13 are also some other ingredients, processing aides, and
14 things like that that are listed. Nobody has to submit
15 those. Just take them and either through some kind of
16 a -- either put them all on a list or reference the CFR
17 so that everybody knows what they are.

18 There is a list of spices. The list of spices
19 also would be included in the industry's list. So
20 let's cross off the ones that are on the spice list for
21 GRAS and get them off the industry list so we've now
22 gotten all of those down.

Page 241

1 There's a list of GRAS ingredients. There's a
2 published list in 21 CFR. There's a self -- I mean,
3 there's a no-objection list of GRAS ingredients. Go
4 through that. Put it into the list.

5 That leaves you now the industry list minus
6 any of the ones that are crossing over. Now let's take
7 the industry list and, through a group, before any data
8 is submitted, get the crazy outliers off. I mean,
9 there is acetaminophen in there. There are -- there is
10 aspirin. There's stuff that just shouldn't be in

11 there. Let's get rid of that.

12 And now we're down to something where probably
13 50 percent of the industry list everybody in the room
14 will agree with very little data. I mean, I'm sure
15 that we can find very simple references in cookbooks,
16 magazines, whatever.

17 And then we have the more difficult ones. Now
18 industry is going to have to really work to get those
19 in.

20 But I do think we can start this process very
21 quickly. We all agree how to do it. So that's my
22 suggestion.

Page 242

1 DR. SCARMO: Can I comment on that?

2 DR. WELCH: Please do.

3 DR. SCARMO: So I don't think -- I think
4 there's three issues with using the GRAS listings.
5 They're not insurmountable, but they require some
6 thinking through. One is that, obviously, there's
7 three pots. There's the GRAS-listed ingredients that
8 FDA created in a list. There's GRAS notifications, and
9 then there's self-affirmations by companies. And those
10 self-affirmations are not public unless they were later

11 submitted to FDA and they're in the notifications

12 bucket.

13 So the -- so you don't have any public record

14 of the third category, so I would exclude those, a GRAS

15 self-affirmation, which shouldn't be usable for this

16 process. You don't know the assumptions on which the

17 risk assessment was made, essentially.

18 Second, GRAS -- even the GRAS listed and the

19 notifications include an embedded consideration of

20 conditions of use. Those may or may not apply to the

21 dietary supplement. And an example of that outside of

22 this context is that my understanding is that some of

Page 243

1 the companies in the e-cigarette and vaping world are

2 using GRAS notifications on flavors as the regulatory

3 authority for using those things when they're inhaled.

4 But the route of ingestion is very different when you

5 eat something versus when you're breathing it into your

6 lungs. And so you have this sort of problem where a

7 particular condition of use is not a blank check. You

8 know, it has to be sensitive to how you intend to use

9 the product.

10 And third, the point that was made this
11 morning is that the assumptions in the risk assessment
12 on the GRAS food side are exposures that are then the
13 uses in -- for that ingredient in food, not including
14 dietary supplement uses. So depending on how sensitive
15 the overall exposure analysis is to the risk, if
16 there's some problems there, then you would have to
17 make sure that you're within the four corners of the
18 exposure under current conditions of use for the food
19 ingredient as well.

20 The -- I think there's a one -- fourth
21 problem, which is that there's some dead letter in the
22 way that the Agency has evaluated the GRAS safety

Page 244

1 standard. There's some -- GRAS notifications and self-
2 affirmations under the regulation are supposed to
3 include consideration of chemically similar and
4 pharmacologically similar ingredients that are already
5 approved and then used in food. They usually exclude
6 that. That is being litigated right now in Federal
7 Court in our challenge to the final rulemaking on GRAS
8 as one of the problems with the way that the GRAS
9 loophole allows for a lack of attention to the

10 regulatory standard on safety.

11 So I think, you know, it sounds plausible as a
12 starting point, but you really have to understand the
13 flaws from a safety analysis perspective that are in
14 the GRAS program.

15 MR. FRANKOS: Yes. I want to make sure I
16 separate safety assessment from the regulatory listing.
17 There are two processes. I completely agree that you
18 have to consider all -- everything you've said from a
19 safety standpoint, but that burden is on the
20 manufacturer of that product. So they have to look at
21 the GRAS review, see what the acceptable daily intake
22 was in that document and then look at the margin of

Page 245

1 safety between how they're using the product versus
2 what was anticipated in the GRAS notification.

3 All of that, I think there has to be a whole
4 another meeting to discuss how to use the data to do
5 your own safety review. But the process of listing I
6 think can be started right now. We've got some very
7 easy things. And then we start down and get to the
8 more difficult ones over time.

9 DR. WELCH: Thank you.

10 We actually have a couple webcast questions.

11 So I'm going to turn it over to Sibyl Swift to read the
12 questions.

13 MS. SWIFT: The first one is, "Recognizing
14 that this would require funding, has what EPA done with
15 ToxCast prioritized chemicals for in vivo safety
16 testing by using in vitro screens and computational
17 models been considered at all, or could it be? This
18 approach looks for signals of toxicity as a starting
19 point. Perhaps the ingredients of most concern could
20 be screened and then tested."

21 DR. FABRICANT: Is there a wrong buzzer? This
22 is about a pre-DSHEA list and the Agency establishing

Page 246

1 that. I think these folks on the -- you know, I know
2 the safety talk has been -- it's certainly scandalous
3 and always delicious. But I think that it's getting
4 back to that's the point, is why are we here.

5 The Agency is trying to move further on NDI
6 enforcement, which I applaud, and they have tough jobs.
7 And so part of that is getting some closure here on
8 what a pre-DSHEA list is that will help, I think,

9 everyone prioritize. So they're benefitting, and the
10 Agency's benefitting by not establishing a safe harbor.
11 But let's call it a tranquil harbor. I think there's a
12 town in Maine that's called that, right? Tranquil
13 Harbor?

14 So that's really the point here. All this
15 computational EPA program nonsense is not relatable
16 here. There is a date in statute that says if it's on
17 the market. And pre-19- -- October 15th, pre-1994, it
18 gets around that adulteration clause. It's a technical
19 adulteration clause. And that's really where I think -
20 - and I'm not going to put words in any of your mouths
21 from the Agency, but I think that's the issue that's on
22 the table here, not setting up a brand new, you know,

Page 247

1 super regulatory fire truck while this is a fire that
2 could be put out with a garden hose.

3 DR. WELCH: Go ahead and go to the next one.

4 MS. SWIFT: "Has the FDA considered NHANES
5 data from 1988 to 1994 or the President's Commission on
6 Dietary Supplements Report in 1997 for establishing
7 pre-1994 dietary ingredients as 'authoritative?'"

8 DR. WELCH: So since that was apparently
9 directed at FDA, I will just say that I think there's a
10 lot of sources of evidence that are out there. Our
11 first panel certainly brought forward some more
12 creative ways to think about it. But I think it's
13 important as we move forward on this process to be
14 transparent with whatever we do consider being
15 appropriate standards of evidence moving forward.

16 So I think comments like these are important
17 to us because we can then note them down and, as we
18 move forward, make sure we're clear as to what we
19 consider authoritative all on its own or, you know, in
20 conjunction with other pieces of evidence. So thank
21 you to that.

22 But if anyone else on the panel actually would

Page 248

1 like to comment, you can certainly go ahead.

2 DR. FABRICANT: Is NHANES independent and
3 verifiable?

4 DR. WELCH: I --

5 DR. FABRICANT: You have -- the labels would
6 have to be into (ph) things like that. So that would
7 be -- it would be the same standard, right?

8 DR. WELCH: Duffy?

9 MR. MACKAY: I'm just curious. On the
10 consumer group side, absence of safety evaluation, the
11 idea that a magazine ad might not tell us the plant
12 part, how comfortable are you with experts, independent
13 experts, saying yeah, everyone uses chamomile flower;
14 we've know that for hundreds of years; it's not the
15 root; it's not the stem? Are you guys going to feel
16 well, wait, that's not good enough evidence, or is that
17 something you're willing to meet halfway on?

18 DR. SCARMO: I thought the response on that
19 this morning from FDA was quite sensible, that you look
20 at the whole body of evidence. I'm usually, as a
21 consumer advocate, a little bit wary of multi-factor
22 weighing tests because there is so much room for

Page 249

1 discretion.

2 On the other hand, I understand we're
3 constructing a record that may or may not be complete.
4 I think, you know, you -- if you were to -- if FDA
5 embarks on this path, they would need to develop a set
6 of criteria for what the evidence would be and what it

7 looks like in toto and when it's convincing or not
8 convincing and have a set of practices around that and
9 just kind of regularize what is the body of evidence.

10 I do think, however, that the consequence is
11 not as drastic of not having an ingredient
12 grandfathered. It -- though there may be some cost,
13 there is probably a safe history of use for NDI
14 purposes. And so it's not the wholly weighty
15 categorization that it is sometimes painted to be.

16 I also just -- I'll just say this. I -- the
17 reason why the safety conversation comes up is because
18 if the safe -- if safety is set aside, I agree with Pew
19 that then the criteria need to be more stringent and we
20 should just have a much more conservative
21 classification system for what gets grandfathered in
22 because those substances will lack any kind of safety

Page 250

1 review.

2 So that's why -- I mean, you know,
3 structurally, that's why I'm saying if you address the
4 issue of safety at the forefront and we can all get
5 together on that -- it's not a Kumbaya moment; it's in
6 everyone's best interests -- then it's much less

7 important what criteria are used to keep or -- you
8 know, on or exclude from an ingredient on the pre-'94
9 list.

10 MR. MACKAY: And that makes sense. But then
11 there's also the issue that if we are presenting a very
12 limited and rigorous sort of it's got to have a
13 description, it's got to talk about the manufacturing
14 method for it to be on this list, then industry might
15 just say we'll just hold all this evidence and we'll
16 just hold it. And we'll be exactly where we are today.
17 And that's one outcome of this meeting.

18 DR. SCARMO: Agreed. But without an assurance
19 that there is a public safety function that's being
20 developed that comes out of this kind of investment of
21 FDA resources -- and, you know, the kind of counsel
22 process, I noticed the consumer representatives were

Page 251

1 non-voting. Regardless, there's, like, four of us.
2 And the kind of time involved with participating from a
3 consumer perspective in this process involves a
4 significant resource investment on our side either, and
5 we don't sit where the industry sits and have a benefit

6 that's going to be industry-wide.

7 So agreed. But you know, we're in it for what
8 -- for public health reasons.

9 DR. WELCH: All right. Thank you all.

10 I didn't actually expect it to, but we went
11 ahead and filled up the extra time. So we're at our
12 typical break time. You have about 20 minutes for
13 break. We'll reconvene, and we'll start off with our
14 afternoon public comments session at 3:15.

15 Again, if you want to give public comments,
16 please check in with Juanita Yates at the registration
17 desk.

18 Thank you.

19 (Break.)

20 DR. WELCH: All right. We will get started on
21 our afternoon comments session.

22 Just a reminder for our commenters, you can

Page 252

1 step up to the microphone. Assuming it's the same as
2 before, you'll probably have to turn it on. So we'll -
3 - we will not count that against your five minutes.

4 Please speak your name and affiliation when
5 you start your comments, and you have five minutes.

6 I will start with Ashish Talati.

7 MR. TALATI: Good afternoon. Thank you so
8 much. I just want to thank the leadership at the FDA
9 for arranging this public comment. I think it's an
10 important step.

11 I support the development of an ODI list and
12 believe it is an important step for the industry, FDA,
13 and consumers. However, it is important to clearly
14 define the scope or understand the scope. FDA is
15 proposing to create a list of ingredients marketed
16 before October 15, 1994, and not proposing to conduct a
17 safety review of those ingredients. If an ingredient
18 is on the list, it does not automatically mean that it
19 is safe and no action can be taken by the Agency.

20 In 1994, DSHEA created the regulatory
21 framework for dietary supplements in the U.S. Its
22 purpose was to provide consumers access to dietary

Page 253

1 supplements and also give FDA the necessary tools to
2 take actions against supplements that are adulterated
3 or misbranded.

4 The framework -- the regulatory framework for

5 supplements is primarily a post-market program, as is
6 the case for foods in general. Should safety problems
7 arise after marketing, the adulterations provisions of
8 the statute come into play.

9 So under DSHEA, the dietary supplement is
10 adulterated if, among other things, it or any of its
11 ingredients presents a significant or unreasonable risk
12 of illness or injury when used as directed on the label
13 or under normal conditions of use if there are no
14 directions. FDA certainly bears the burden of proof to
15 show that a product or ingredient presents such a risk.

16 I believe we should proceed cautiously and
17 ensure that any process and list created reflects a
18 flexible standard that is not too bulky so as to be
19 prohibitive yet has enough substance so that it is
20 meaningful.

21 Earlier, we had -- we heard from Chuck, who
22 had a concern that consumers might interpret the list

Page 254

1 as a safety list, that the ingredients on that list are
2 considered safe. That is a valid concern. At the same
3 time, it is possible that regulators at various FDA
4 districts, state, county levels, and customs officials

5 may take the list as an all-inclusive list. I think a
6 strong disclaimer clarifying that the list is not a
7 safety list or an all-inclusive list can minimize the
8 impact.

9 A fundamental question, though, is whether or
10 not it's even feasible to develop a list 23 years after
11 DSHEA. In my opinion, the answer is yes if we start
12 with the list that is already in place and that has
13 been informally used for nearly 20 years. That is the
14 list of ingredients documented by the various trade
15 associations. That would be a great start, and the
16 list can certainly be further improved.

17 The ODI list and the procedures for creating
18 and adapting it should be created with an eye towards
19 the future and ensure that sufficient flexibility
20 allows for the continued success of an official ODI
21 list.

22 Thank you.

Page 255

1 DR. WELCH: Thank you.

2 Next we have Alissa Jijon from USP.

3 MS. JIJON: Yes, good afternoon. Alissa

4 Jijon, USP.

5 So on behalf of USP, I would like to thank the
6 Agency for giving us time to share our thoughts on the
7 process to develop an FDA pre-DSHEA list of
8 ingredients.

9 Earlier today, my colleague presented USP's
10 remarks on criteria that could be considered for
11 included ingredients. We underscore USP's belief that
12 an authoritative list could be useful for industry and
13 for the advancement of public health if sourced
14 appropriately.

15 USP is an independent scientific nonprofit
16 public health organization dedicated to improving
17 health through the development of public standards for
18 medicines, foods, and dietary supplements. We are
19 governed by the USP Convention, comprising over 450
20 academic institutions, healthcare practitioner
21 organizations, industry groups, and governmental
22 organizations.

Page 256

1 For nearly 200 years, USP has been building
2 foundations essential for a system aimed at providing
3 quality products to consumers and ensuring

4 manufacturers have access to reliable information that
5 ensures their products meet the standard of quality
6 that regulators and consumers expect and that industry
7 itself strives to provide.

8 USP develops public quality standards through
9 an open, transparent process with participation and
10 input from stakeholders, including academic, industry,
11 and government representatives. Particularly relevant
12 to the topic today, USP has a longstanding program of
13 developing identity standards and specifications for
14 dietary ingredients used in dietary supplements.

15 Because USP has significant experience with
16 administering a collaborative process to set public
17 quality standards, we believe that many of the same
18 principles and operational learnings could prove useful
19 in the creation of an authoritative list. To the
20 extent that FDA and industry would find it beneficial
21 to engage with USP to share learnings about the
22 process, and perhaps even to discuss ways in which

Page 257

1 information that USP may already have reviewed, could
2 be brought to bear in establishing such an

3 authoritative list. USP stands ready to facilitate
4 such dialogue.
5 USP is committed to its confidentiality policy
6 and would of course consult with industry stakeholders
7 regarding any proposal that involves information
8 sharing.

9 Consistent with our shared public health
10 mission, USP is ready to engage with FDA and industry
11 and seeks to do this in a way that will have the
12 greatest impact.

13 Thank you for the opportunity to comment. We
14 look forward to exploring ways to expand our
15 partnership with ODSP and to serve as a resource in new
16 ways as FDA undertakes the development of this
17 important resource.

18 DR. WELCH: Thank you.

19 George Paraskevakos.

20 MR. PARASKEVAKOS: Good afternoon. George
21 Paraskevakos, International Probiotics Association.

22 Again, we want to thank the FDA for the

1 opportunity to be present and comment at this very
2 important date both in the morning and the afternoon.

3 As I discussed this morning, probiotics are a
4 unique category of dietary ingredients with a very long
5 history of safe use both in dietary supplements and in
6 the food supply. Now, in its (ph) comments to the NDI
7 draft guidance, we provided a list of probiotic species
8 that we believe should form the basis for the
9 grandfathered probiotic ingredients.

10 Whatever process FDA decides to follow to
11 create the list of ingredients that do not need to be
12 subject of notification, FDA should carefully balance
13 the competing needs of openness and confidentiality.
14 The creation of the list should generally be an open
15 process to ensure public confidence in the safety of
16 dietary supplements transparency, which is very
17 important to the public.

18 However, there may be information that a
19 stakeholder may want to provide that is a proprietary
20 trade secret, manufacturing process, or otherwise
21 commercially confidential. We believe that the FDA
22 should adopt procedures to ensure such information,

1 when appropriate, is afforded protection from public

2 disclosure. As an example, the same approach of master
3 files that was presented in the draft guidance for
4 notification can be adopted for grandfathering as well.

5 This was additional. What about GRAS? We
6 heard a lot about stuff (ph) from GRAS today -- this
7 afternoon, specifically -- what -- about it not being
8 considered. But I would like to remind everyone that
9 GRAS notifications to FDA is a voluntary decision
10 linked to a specific intended use outside the common
11 use for probiotics. Let us not forget the law
12 recognizes self-affirmed GRAS at the same level as a
13 GRAS notification to FDA. In the law, DSHEA has placed
14 the industry as responsible to ensure safety at an
15 international level from an IPA perspective,
16 particularly in probiotics where they are known to be
17 safe at a wide range of doses used by healthy
18 populations.

19 Finally, while FDA should consider information
20 from all stakeholders, we believe, perhaps selfishly,
21 industry trade associations can have a very meaningful
22 role in the process, a notably IPA for probiotics, IP

2 stakeholders for the ability to efficiently gather
3 relevant and accurate information for FDA, which can
4 help expedite the creation of these vitally needed
5 lists. Indeed, it is IPA's mission to promote the safe
6 and efficacious use of probiotics globally, and this
7 can be a steppingstone in ensuring probiotics are
8 accurately marketed in the U.S.

9 Again, on behalf of the International
10 Probiotics Association, I want to thank you very much
11 for the opportunity to present these comments today.
12 And we look forward to continuing to work with FDA and
13 the rest of the dietary supplement industry as the
14 process moves forward.

15 Thank you.

16 DR. WELCH: Thank you.

17 And finally Susan Brienza.

18 MS. BRIENZA: I had to lower it.

19 Okay. Cara, thank you and FDA for allowing me
20 to have another five minutes.

21 This is a rather quirky point that I will
22 make, a point and some questions having to do with

1 synthetic ingredients in both dietary supplements and
2 in foods.

3 So again, it's a bit broader, but I think it's
4 all relevant, considering that I agree with about, oh,
5 one-half to two-thirds of this -- of all the speakers
6 today who mentioned that the ODI list, the pre-DSHEA
7 list, does have a presumption of safety. I disagree
8 with the safe harbor phrase -- but presumption of
9 safety. So safety and the list are definitely
10 interrelated.

11 My information is that there were certainly
12 before 1994, maybe decades before 1994, synthetic
13 vitamins and minerals on the market. And in -- some
14 examples might be some forms of calcium, vitamin C, and
15 taurine as an amino acid -- all synthetic.

16 What strikes me as strange then, or curious,
17 is that in the 2011 draft guidance, the FDA made the
18 comment that synthetic in dietary ingredients would --
19 well, synthetic ingredients would be considered not
20 dietary ingredients. And a contrast or -- I'm not sure
21 whether it's a contrast or an analogy or a comparison
22 to think about -- is a novel meat that maybe many of

1 you have heard about -- it's been in the news quite a
2 bit lately -- which is a synthetic -- well, it's a
3 genetically engineered yeast added to hamburger, well -
4 - or rather, something called the Impossible Burger.
5 It was -- it's an innovative product from a company
6 called Impossible Foods. And this genetically
7 engineered yeast from soy, which has the acronym SLH,
8 has been added such that this veggie burger bleeds and
9 tastes and smells, even fries up like a regular
10 hamburger.

11 It most recently has been in the news
12 September 20th, internet article in the Wired Magazine.
13 And the problem is that it is a completely novel food.
14 It did not get FDA's blessing. The company filed a
15 GRAS notification either 2014 or 2015, which is very,
16 very odd, and it raises many of the issues we've been
17 talking about today, including food additives.

18 So the GRAS notice actually, at one point,
19 calls this new ingredient a flavor and, in other
20 points, calls it a component. It seems to me if it's a
21 flavor, then it should have gone through the food
22 additive process, which is more rigorous.

1 So the -- needless to say, the company did not
2 get a no-objection letter or no-question letter from
3 its GRAS filing. Instead, it got a letter that was
4 filled with objections and filled with questions.

5 So meanwhile, the New York Times filed a
6 Freedom of Information Act request -- and the New York
7 Times loves filing FOIA requests usually in the more
8 political realm, but everything is political -- and
9 asking for internal Agency documents. And that yielded
10 an internal memo that Agency officials wrote to the
11 company Impossible Foods before a phone call. And I
12 quote, "FDA believes the arguments presented
13 individually and collectively do not establish the
14 safety of soy" -- big long word -- "SLH for
15 consumption, nor do they point to a general recognition
16 of safety."

17 So it just seems rather curious that we've got
18 this synthetic meat on the market. Of course, the CEO
19 and the New York Times pointed out that there was no
20 requirement, there was no pre-market filing required.
21 But here is this veggie burger on the market, very
22 popular with shish (ph) restaurants from New York to

1 Los Angeles and with the -- either a synthetic
2 component or flavor and yet synthetic dietary
3 ingredients, apparently.

4 But maybe we should hear from this expert
5 Agency panel what the connection is going back to
6 dietary ingredients between old and new synthetic
7 dietary ingredients. Maybe you can comment on that.

8 And I'll just end there.

9 DR. WELCH: Thank you.

10 MR. TAVE: We appreciate the comment. And
11 personally, I -- once you started talking about
12 impossible meat, I thought you were going to go down a
13 path of saying that we had an impossible task ahead of
14 us. So thank you for not doing that.

15 (Laughter.)

16 MR. TAVE: Synthetics are a complicated
17 nuanced issue, and I don't know that we can do them
18 justice here. And that's, you know, candidly, not the
19 reason that we gathered here today. I mean, I think it
20 has relevance to the questions we've discussed in terms
21 of how to compile a pre-DSHEA lists. But I don't know
22 that we can really do them justice in this Q&A comment

1 period right now.

2 I know you promised earlier that you would
3 submit written comments on the docket, and I encourage
4 you to do so. And it sounds like there's a lot for all
5 of us to think about. But that's going to be a polite
6 non-answer.

7 DR. WELCH: And that actually concludes our
8 afternoon comment session. So at that point, I
9 actually turn the mic over to Steve for some final
10 comments.

11 MR. TAVE: Okay. Is my mic on?

12 DR. WELCH: It should be, yes.

13 MR. TAVE: Can people in the back hear me?

14 Can people on the -- no, not so much. Okay.

15 Can people in the back hear me now? All
16 right.

17 So we have the room until 5:00. So Cara has
18 informed me that I need to speak for an hour and 28
19 minutes.

20 (Laughter.)

21 MR. TAVE: So I'm going to apologize.

22 No, I don't know that I have anything really

1 useful to add to what's been said today. You know, I
2 think we've accomplished initially what we set out to
3 do, which was to get people together, again, both in
4 person and virtually. And I appreciate the fact that
5 folks on the webcast contributed questions because I
6 know it's hard to feel connected that way.

7 But we had people in a big room, in a virtual
8 room, talking about important issues and talking
9 openly. And I think it's fair to say, especially after
10 our afternoon panel, nobody held anything back. And
11 that's the way we have to do it if we're going to make
12 progress on this issue.

13 You know, nobody said these are easy
14 questions. They would have been answered a long time
15 ago if they were. But we're not going to avoid them
16 just because of that.

17 You know, I want to start quickly with -- just
18 with some thank you's. There were a lot of FDA staff
19 who none of you met or heard of or will ever see who
20 spent a lot of time and effort making sure that this
21 event went off flawlessly. And notwithstanding one or
22 two, you know, audio glitches, which are inevitable, I

1 think everything went really well. And it's a credit
2 to them. I'm not going to name names just because I
3 don't want to -- this isn't an Oscar speech, although
4 someday I will win one.

5 (Laughter.)

6 MR. TAVE: But you know, I just -- I think I
7 want to make a point of thanking all the people at FDA
8 who spent a lot of time working to make sure that this
9 happened. I want to thank Cara and Bob especially for
10 standing in the line of fire and helping keep things
11 going.

12 And I want to thank all of our panelists who
13 came from near and far and put a lot of thought and
14 time into being here and, you know, I think really
15 approached this with the right attitude, which is let's
16 talk about these issues, let's ask the right questions,
17 and let's try to find common ground so that we can all
18 move forward together in a way that benefits everybody.

19 And then finally, I want to thank all of our
20 participants for -- you know, for being here. This
21 needs to be a participatory process. We need to have
22 engagement. We've got that. I think this is a model

1 for how we can do things in the future. And to me,
2 that's just really gratifying.

3 You know, we said it before. I'll repeat it
4 again. If you didn't speak today, if you spoke today
5 and you have additional thoughts, if you know somebody
6 who has additional thoughts and couldn't be here, we
7 have a docket open on regulations.gov. We are
8 accepting comments through December 4th, 2017. There
9 is no five-minute limit on comments. Whatever you want
10 to tell us, you know, I promise you we read them.

11 And I would encourage you by the same token to
12 read the comments that other people submit, too,
13 because it's very easy to look at something, especially
14 something as complicate as this, from the perspective
15 in which you go about your business every day. Whether
16 it's, you know, as a manufacturer or a distributor or
17 trade association or consumer advocacy group or a
18 regulator, we all tend to approach the world through
19 our normal lens. And so it's a very good use of time,
20 I think, to step back and try to take an open mind into
21 reading the comments that others submit.

22 And I think especially when -- you know, I

1 know when I do that, that's when I start to notice the
2 kinds of things like common ground. I notice consumer
3 advocates and trade associations saying the same thing
4 in different words. And that's when I see that there's
5 opportunity for us to really work together and make
6 progress.

7 The meeting was transcribed. I tried to speak
8 slowly so that hopefully the transcription will capture
9 everything that I've said. I know others did a better
10 job than I did, but we'll do the best we can.

11 I don't have a date for you in terms of when
12 the transcription will be available, but when it is
13 available, it will be on our website on the Meeting
14 Notice page. And if you're not sure, you know, just
15 feel free to check there. If a lot of time has passed
16 and you haven't seen it, feel free to, you know, check
17 in with us and ask us what's going on.

18 You know, with that said, I think -- you know,
19 the work lies ahead of us. So we accomplished a lot
20 today. I'm really looking forward to seeing the
21 comments that we receive. I think there is an
22 opportunity to move forward here.

1 And again, you know, just as we have been
2 transparent leading up to today -- we had a transparent
3 process today -- the process will continue to be
4 transparent. So as we figure out what the next steps
5 are, it will be inclusive. I anticipate that we will
6 be reaching out to all of you. We will be taking
7 feedback from all of you. And we will let you know
8 what's going to happen next so there won't be
9 surprises. That's how we do business, and that's how
10 we'll continue to do business.

11 So I think on that note I will say thank you
12 and adjourn one final time. Thank you all very much.

13 (Applause.)

14

15

16

17

18

19

20

21

22

1 CERTIFICATE OF NOTARY PUBLIC

2 I, Natalia Thomas, the officer before whom the
3 foregoing proceeding was taken, do hereby certify that
4 the proceedings were recorded by me and thereafter
5 reduced to typewriting under my direction; that said
6 proceedings are a true and accurate record to the best
7 of my knowledge, skills, and ability; that I am neither
8 counsel for, related to, nor employed by any of the
9 parties to the action in which this was taken; and,
10 further, that I am not a relative or employee of any
11 counsel or attorney employed by the parties hereto, nor
12 financially or otherwise interested in the outcome of
13 this action.

14
15
16
17 Natalia Thomas

18 Notary Public in and for the

19 Maryland
20
21
22

1 CERTIFICATE OF TRANSCRIBER

2 I, Karynn Willman, do hereby certify that this
3 transcript was prepared from audio to the best of my
4 ability.

5
6 I am neither counsel for, related to, nor
7 employed by any of the parties to this action, nor
8 financially or otherwise interested in the outcome of
9 this action.

10
11
12 10/16/2017

13 DATE Karynn Willman

14
15
16
17
18
19
20
21
22

