

1 Development Considerations of Antimicrobial Drugs for
2 the Treatment of Gonorrhoea

3

4

5 Friday, April 23, 2021

6 9:00 a.m.

7

8

9 Remote Proceeding

10 Washington, D.C. 20001

11

12

13

14

15

16

17

18 Reported by: Carl Hellandsjo

19 JOB No.: 4500552

20

21

22

A P P E A R A N C E S

1 Lindley Barbee, University of Washington,
2 Radu Botgros, EMA,
3 Juan Bravo, Debiopharm International SA,
4 Sue Cammarata, Tunnell Government Services,
5 Ricardo Chaves, Debiopharm International SA,
6 Guennaelle Dieppois, Debiopharm International SA,
7 George Drusano, University of Florida,
8 Erin Duffy, CARB-X,
9 Scott Evans, George Washington University,
10 Helen Fifer, Public Health England,
11 Steve Gelone, Nabriva Therapeutics,
12 Khalil Ghanem, Johns Hopkins University,
13 Matthew Golden, University of Washington,
14 Edward Hook, University of Alabama-Birmingham,
15 Ann Jerse, Uniformed Services University,
16 Jeff Klausner, USC,
17 Jeanne Marrazzo, University of Alabama-Birmingham,
18 Candice McNeil, Wake Forest University Health
19 Sciences,
20 Seamus O'Brien, GARDP,
21 Caroline Perry, GlaxoSmithKline,

22 A P P E A R A N C E S (Cont'd)

1 Hilary Reno, Washington University,

2 Nicole Scangarella-Oman, GlaxoSmithKline,

3 Olusegun Soge, University of Washington,

4 Magnus Unemo, Orebro University,

5 Brian VanScoy, Institute for Clinical

6 Pharmacodynamics,

7 Teodora Elvira Wi, WHO,

8 Kimberly Workowski, Emory University,

9 Jonathan Zenilman, Johns Hopkins University

10 Laura Bachman, CDC,

11 Kyle Bernstein, CDC,

12 Carolyn Deal, NIAID,

13 Ann Eakin, NIAID,

14 John Farley, FDA,

15 Thomas Hiltke, NIAID,

16 Hiwot Hiruy, FDA,

17 Seong Jang, FDA,

18 Peter Kim, FDA,

19 Sumathi Nambiar, FDA,

20 Lori Newman, NIAID,

21 Kerian Grande Roche, FDA,

22 A P P E A R A N C E S (Cont'd)

1 Raul Romaguera, CDC,
2 Dan Rubin, FDA,
3 Yuliya Yasinskaya, FDA

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

C O N T E N T S

	PAGE
1	
2	Jeanne Marrasso 11
3	Teodora Wi 24
4	Laura Bachmann 6
5	Magnus Unemo 49
6	George Drusano 61
7	Ann Jerse 69
8	Thomas Hiltke 83
9	Erin Duffy 96
10	Hilary Reno 107
11	Candice McNeil 116
12	Sarah Wang 124
13	Hiwot Hiruy 130
14	Sumathi Nambiar 142
15	Radu Botgros 146
16	Sue Cammarata 159
17	Ricardo Chaves 170
18	Caroline Perry 177
19	Seamus O'Brien 191
20	Steve Gelone 206
21	Edward Hook 216

22

P R O C E E D I N G S

1 MR. FARLEY: We are joined by industry,
2 thought leaders and fellow regulators from the
3 European Union and Japan, we've come together today
4 for discussions focused on facilitating and
5 accelerating development of therapies.

6 Since FDA published guidance for
7 industry entitled Uncomplicated Gonorrhea, Developing
8 Drugs for Treatment in August of 2015, the need for
9 new treatments remains. Drug resistance continues as
10 a challenge and care standards have evolved to keep
11 pace, leading to the update to CDC's treatment
12 guidelines for gonococcal infection published in
13 December 2020.

14 We need to consider our approaches to
15 drug development to be sure that we are keeping pace.
16 We have a workshop program for today that will
17 facilitate a rich discussion of data and ideas to
18 consider as we think about the best way forward in
19 clinical trial design and conduct.

20 At this time -- Laura Bachmann from CDC
21 to the microphone.

22 MS. BACHMANN: Thank you, John. Hi, my

1 name's Laura Bachmann. I am the chief medical officer
2 and the acting deputy division director for the
3 Division of STD Prevention at CDC. And on behalf of
4 the acting director for CDC's Division of STD
5 Prevention, Dr. Raul Ramagera [ph] and the rest of my
6 division colleagues, I'm privileged to welcome you to
7 this jointly sponsored workshop today.

8 While gonorrhea's an ancient infection,
9 it's been curable for many decades. However, we
10 remain challenged to control this infection
11 domestically and internationally. The 2019 CDC STD
12 surveillance data released last week reported
13 gonorrhea case increases for the sixth consecutive
14 year in the U.S. Despite STD clinic closures, reduced
15 staff capacity and molecular diagnostic test kit
16 shortages resulting in certain undertesting for
17 infections -- all as a result of the COVID-19 pandemic
18 -- there are ominous indicators that gonorrhea case
19 numbers will be even higher in the 2020 data.

20 Yet there is hope. For the first time,
21 the nation has an STI national strategic plan to
22 provide a roadmap for multiple stakeholders to

1 develop, enhance and expand STI prevention and care
2 programs at the local, state, tribal, and national
3 levels over the next five years.

4 In addition, the National Academy of
5 Sciences, Engineering & Medicine issued a report in
6 March that further draws national attention to the STI
7 epidemic -- outlines specific recommendations to the
8 federal government and key partners for preventing and
9 controlling STIs in the United States.

10 Both distinguished documents outline an
11 incredibly important role that science will play in
12 pushing these strategies forward, and clearly call out
13 the need for development and uptake of innovative STI
14 diagnostic technologies, therapeutic agents,
15 preventive products and strategies.

16 Today, you will hear from leaders in
17 the field of gonorrhea. From basic sciences to
18 epidemiologists, pharmacologists, clinicians, drug
19 developers and specialists in clinical trials. The
20 speakers and panelists all spend a lot of time
21 thinking about this bug. It is clear that the science
22 generated from this group has already contributed to

1 recent changes in gonorrhoea treatment guidance as will
2 be described later today.

3 I'm confident that sharing science and
4 the lessons learned from conducting the science will
5 lead us forward so that we can bend the gonorrhoea
6 epidemic curve down in the future. I look forward to
7 a productive session today.

8 Over to you, Carolyn.

9 MS. DEAL: Thank you, Laura. Good
10 morning, everybody. My name is Carolyn Deal. I'm the
11 branch chief of the Enteric and Sexually Transmitted
12 Infections Branch at the National Institute of Allergy
13 and Infectious Diseases at the NIH.

14 On behalf of the National Institute of
15 Allergy and Infectious Diseases, I want to thank you
16 for attending this workshop sponsored by our three
17 agencies. It has been a pleasure to work with my
18 colleagues at FDA and CDC to organize today's meeting.

19 As we are all aware, Neisseria
20 gonorrhoea presents a significant challenge to the
21 public health community because of the capability to
22 develop antimicrobial resistance quite rapidly. This

1 potentially limits our ability to have adequate
2 therapeutics in our treatment arsenal.

3 The development of new therapeutics is
4 one of NIAID's goals and we hope that this workshop
5 will advance the discussions on how to most
6 effectively evaluate potential new candidates.

7 We very much look forward to your
8 input, your insight and your feedback on how to
9 overcome the challenges in developing these new
10 therapeutics.

11 Thank you again for attending today and
12 we appreciate the effort of all the speakers and
13 participants, and look forward to a productive
14 meeting.

15 Now I'll hand it back to the
16 moderators. Thank you again.

17 MS. YASINSKAYA: Good morning. My name
18 is Yuliya Yasinskaya. I'm clinical team leader in the
19 Division of Anti-infectives in -- FDA in the Office of
20 Infectious Diseases, and it is my pleasure to share
21 section one today of development considerations of
22 antimicrobial drugs for the treatment of gonorrhoea

1 together with Dr. Kyle Bernstein from the CDC.

2 We will be introducing today's
3 speakers. The first speaker for session one is Dr.
4 Jeanne Marrasso. She is professor of medicine and
5 director of the Division of Infectious Diseases at the
6 University of Alabama, Birmingham.

7 Dr. Marrasso is internationally
8 recognized for her research and educational efforts in
9 the field of sexually transmitted infections.

10 Please welcome Jeanne Marrasso.

11 MS. MARRAZZO: Great. Can everybody
12 hear me? I just want to make sure. I've been having
13 some sound issues. I also don't seem to have my
14 webcam, but I'm going to go ahead and get started
15 anyway in the interest of time. I know we don't have
16 much time this morning.

17 Anyway, it's fantastic to be here. I'm
18 sorry we're not all in person and I am going to be
19 very brief in these remarks because a lot of the
20 discussion we're going to have is going to be going
21 into a lot more detail about some of these issues.
22 But I thought I would just start by noting that this

1 is the most recent update, which of course we're going
2 to be talking about a lot, to CDC's treatment
3 guidelines for gonococcal infection. And what we are
4 facing is, of course as everyone in this room knows,
5 the priority for a single dose of intramuscular
6 ceftriaxone. And that's, of course, superimposed on
7 the background of elevated antimicrobial resistance in
8 gonorrhoea which we have seen, of course, over the last
9 several decades. And I think most striking in this
10 slide, of course, is the resistance to azithromycin.
11 So just to make sure you're all on the same page.

12 I'm going to start out with just
13 putting some gaps and challenges in front of you and
14 then go into a little bit of detail.

15 First of all, remember that the
16 clinicals trials we're going to be talking about today
17 that are looking at new treatments for gonorrhoea
18 generally emphasize genital outcomes. In fact, those
19 are more or less the primary outcome of the trials
20 that we're talking about. But I think it's going to
21 be very interesting to consider the conundrum of
22 pharyngeal infection.

1 Pharyngeal infection, as we'll talk
2 about, of course is a major reservoir not only for
3 disease transmission, but also for mechanisms to
4 promote antimicrobial resistance. And of course, it's
5 complicated because the treatment trials that we're
6 going to talk about require a test of cure at the
7 pharynx, but the test of cure mechanism that we're
8 asked to use is culture, which is quite different than
9 what we use to get people into these trials. So lots
10 of discussion I think around these points.

11 I already showed you that there is
12 really no universal option for oral therapy given the
13 elevation of azithromycin resistance, and we do need
14 pharyngeal therapy at this time.

15 Moreover, we continue to emphasize
16 single-dose therapy for our populations, which I think
17 may be doing them a disservice. And I think we want
18 to talk about that today as well.

19 I'm going to show you some slides that
20 are from the Infectious Disease Society of America and
21 the IDSA, of which I am a board member, has focused
22 quite strongly on antimicrobial resistance.

1 Unfortunately, even with a lot of pressure, IDSA has
2 had limited success in working with other
3 organizations in moving this forward. And in fact, of
4 course, the FDA has not approved any new antibiotics,
5 period, since 2019. And I'm going to talk about the
6 PASTEUR Act which I think is a good first step, but
7 which is also I think a bit of a challenge.

8 Now this is a very busy slide, but I
9 just want to use it to sort of show you what the
10 priority organisms are. And it lists various
11 categories that are discussing these organisms on the
12 WHO to the Indian authorities, to the CDC, both in
13 2019 and 2013. And you can see that Neisseria
14 gonorrhoea, which I've marked with a yellow -- a yellow
15 arrow here, has been listed as an urgent threat since
16 2013. Despite that, we are still -- by the CDC.
17 Despite that, we are still facing the challenge that
18 we are in right now.

19 So let me go back here. So what is new
20 and what is relevant I think for this discussion, many
21 of you know that the Pew Foundation has been very
22 involved in the issues of antibiotic supply and

1 antimicrobial resistance. And their website, I think,
2 is a fantastic resource if you do want to go and sort
3 of see what's going on. And I don't want to go into
4 this in detail, but you can see that despite those
5 escape pathogens that I mentioned in the slide before,
6 despite the intense need for new antibiotics for very
7 serious infections, we still have a real pipeline
8 problem. And the Pew Foundation has really emphasized
9 that we should focus on systemically available
10 antibiotics in phase two or beyond given the
11 challenges getting state antibiotics through the
12 initial phases. And you can see here that only 15
13 antibiotics at this point are in phase one, which is a
14 very, very challenging situation.

15 So the PASTEUR Act, just to give you a
16 sense of what this is about -- and I think this
17 represents a little bit of a ray of light and this is
18 something that IDSA has been super involved in. It's
19 called the Pioneering Antimicrobial Subscriptions to
20 End Upsurging Resistance. I admit that's a bit of a
21 reach to fit the PASTEUR acronym. The end goal is to
22 support development of new antibiotics and to limit

1 the increasing spread of resistant infections by
2 emphasizing that it -- stewardship. You do not need
3 to read through this. You'll have these slides, but
4 you can basically see there are a number I think of
5 tangible elements to this act that could really
6 incentivize industry and authority to move these
7 efforts forward. And again, these are the type of
8 things that IDSA has been really championing and
9 hopefully will be able to move forward.

10 It's important to know that in this
11 highly politicized environment, the PASTEUR Act does
12 have bipartisan leadership as noted here. And it's
13 supported by a lot of organizations that will be
14 familiar to those of you who have been in this field
15 for a while. And it is supported also by some very,
16 very strong -- recommendations.

17 Now I'm going to shift gears very
18 quickly. Again, recognizing that I had only 15
19 minutes and probably will take even less than that.
20 Just to talk a little bit about things on the horizon
21 that we are not going to have time to get into today
22 that really will impact how we think about the field

1 of gonorrhoea and STI management going forward. And of
2 course I think almost everybody in the room is
3 probably aware that there is a very critical effort
4 right now, led by NIH largely, as well as
5 pharmaceutical partners to pursue the observation that
6 the genetics of gonorrhoea and Neisseria meningitidis,
7 its close cousin, are really very similar. And the
8 critical thing to know about these pathogens is that
9 they share two key antigens. And I think that there's
10 a fantastic story behind this of reverse facts
11 analogy, really how this was described -- mostly by
12 Rena Rampulo [ph] and his colleagues at Merck. But
13 the bottom line is that the outer membrane vesicle and
14 the NHBA antigens are present in both pathogens.
15 They're surface exposed. They are highly conserved
16 for the most part and really represent excellent
17 targets for the development of cross-protections to
18 these two pathogens.

19 And of course, this has been known for
20 some time, but the efforts to move this forward in a
21 practical sense got a major boost with this analysis -
22 - which hopefully everybody is familiar with from

1 Helen -- Harris and her colleagues in New Zealand.
2 When they published the study, looking at the effect
3 of a mass meningococcal B immunization campaign using
4 not bexsero, but a specific vaccine developed to
5 control an outbreak in New Zealand of meningococcal --
6 invasive meningococcal disease and it's called the M-
7 E-N-Z-B vaccine. It was given in 2004 to 2006. And
8 what these investigators did, very creatively, was to
9 go forward and look at a population of people who had
10 or who had not been given this vaccine as part of this
11 outbreak control attempt. They then looked, because
12 they've got fantastic records, at the incidents or
13 detection or report really of both gonorrhoea and
14 chlamydia in this group of people relative to
15 controls. And they compared that two to chlamydia
16 detection as well.

17 And the bottom line is, again, not
18 having a lot of time to go into this is that the
19 meningococcal group B vaccine showed a 31 percent
20 effectiveness against subsequent gonorrhoea in young
21 people, age 15 to 30 years old. So very exciting.

22 It did not show an effect against

1 chlamydia, so that was good because you had a bit of a
2 way to control for the behavioral aspects of these
3 populations.

4 And then there are some interesting
5 older data that are coming out as well that show --
6 that suggest that with perhaps less effectiveness and
7 less precision, that there may even be an effect
8 against gonorrhea associated hospitalization, which is
9 really quite remarkable considering that gonorrhea
10 associated hospitalization is a relatively rare
11 outcome infection.

12 So that just leads me to let people
13 know I'm going to go forward there that we are now
14 engaged in an incredibly exciting study, and this is
15 one of several studies that are going on. And New
16 Zealand, of course -- is leading another study in men
17 who have sex with men primarily. But this is an NIH
18 supported study being done a part of the STI clinical
19 trials group that is actually going to test the
20 hypothesis that bexsero, the currently approved
21 meningococcal group B vaccine, does protect against
22 gonococcal infection. And essentially, we're

1 randomizing 2,200 adults at risk for gonorrhoea to
2 either placebo or standard bexsero injection. And you
3 can see the design here, the study's being done at
4 four sites in the United States and two sites in
5 Thailand. And I'm happy to say that as of yesterday,
6 we had enrolled 30 people and are really looking
7 forward to these results probably early 2024, given
8 the -- given the COVID delays that we had, although
9 it's possibly we may -- we may be able to detect --
10 sooner.

11 So we'll be following people for up to
12 15 months and we'll also be, importantly, screening
13 them routinely for STIs. So we should get quite a lot
14 of really interesting data. We'll be looking at
15 antimicrobial resistance of the strains that do
16 emerge, and ultimately hoping to look at some of the
17 genomics associated with strains that specifically
18 breakthrough for people who've gotten -- it'll be
19 critical to look at this.

20 And I just want to remind you that
21 remember that meningococcal vaccination is actually
22 considered an immunization -- maybe an STI

1 immunization. I don't know that I would go that far.
2 We don't really know that this is a sexually
3 transmitted infection in men who have sex with men,
4 but we are already recommending the group ACWY vaccine
5 in HIV infected people, given that HIV infected people
6 have a higher risk of invasive meningococcal disease.

7 So it would be pretty fantastic if we
8 could substitute or augment that recommendation with a
9 vaccine that is also active against gonorrhoea, and
10 that's what we will be hoping to do.

11 Just on my last couple of slides --
12 asked me to comment on a couple of other issues.
13 Challenges in gonococcal diagnosis, you really can't
14 talk about doing treatment trials without wrestling
15 how you're going to detect not just people who are
16 eligible and the outcomes that you want, but also
17 reinfection and you want to, of course, be able to
18 look at antimicrobial resistance.

19 The challenge here, of course, is
20 everybody knows -- although not everybody knows this.
21 I've been surprised, particularly talking to many
22 people in industry and also some clinicians, that

1 many, if not most, gonococcal infections are
2 asymptomatic or may have atypical symptoms. So
3 routine screening is really important. The issue is
4 that it's not being done as it should be, and this is
5 especially true in HIV -- settings and it's especially
6 true at anatomic sites not diagnosed by urine.

7 So we are really continuing to struggle
8 with screening at the pharynx, screening at the rectum
9 -- in particular in people who are attending HIV
10 clinics and also in other primary care sites where
11 care for people who might be at risk for these
12 populations is being provided. So that is a major
13 issue.

14 Self-collection I think has made some
15 inroads into that, but you still have to have a
16 clinical setting that emphasizes and makes available
17 the tools to enable self-collection.

18 Of course we have limited availability
19 of culture and there are practical barriers to getting
20 culture. So if you have a patient who fails -- who
21 fails treatment and you are concerned about
22 antimicrobial resistance, you've got to arrange to

1 have that be done and that can be a barrier for some
2 people. We also know that the sensitivity of culture
3 is not as high as a nucleic acid amplification test.
4 So you may not even get the organism if you do
5 culture.

6 And the last thing I'll say is that
7 point of care testing has been very slow to be
8 developed. We've had some very encouraging
9 developments in the last year. You can see there that
10 the FDA very recently, last month, granted -- waiver
11 for chlamydia and gonorrhoea tests. This is about a
12 30-minute test -- really exciting -- but how quickly
13 this is going to be taken up and how widely it's going
14 to be available I think remains to be seen.

15 I'm going to stop there. I'm hearing
16 Carolyn's audio and I'll thank you very much.

17 MS. DEAL: Thank you so much, Dr.
18 Marrazzo. We are moving to the next speaker. Dr.
19 Bernstein, are you on the phone?

20 MR. BERNSTEIN: Yes, I'm sorry. I had
21 some technical difficulties, but I think I'm back in
22 action now.

1 Good morning, all. My name is Kyle
2 Bernstein. I am the branch chief of the Epidemiology
3 and Statistics Branch in the Division of STD
4 Prevention at CDC and it is my pleasure to introduce
5 Dr. Teodora Wi who is currently a medical officer in
6 the Sexually Transmitted Infections Department of
7 global HIV, hepatitis and STI programs at the World
8 Health Organization.

9 In WHO Headquarters, she is leading the
10 development of STI guidelines, addressing
11 antimicrobial resistance in STIs and facilitating the
12 development of new STI treatment and diagnostics.

13 Thank you so much and welcome, Dr. Wi.

14 MS. WI: Thank you so much, Kyle, and
15 good day, everyone. Thank you for the opportunity for
16 me to talk about the policy, consideration and
17 implication for drug development in relation to
18 antimicrobial resistance in gonorrhoea.

19 The AMR originating group in WHO
20 selected 20 priority bacterias for research and
21 development into new and effective drugs. And
22 gonorrhoea has been included as a high priority for

1 drug development based on the high community
2 prevalence, reported resistance for all drugs
3 recommended for -- treatment. And in addition I think
4 because it's also considered by the US CDC to be an
5 urgent -- of service -- and high priority -- from the
6 Public Health Agency of Canada.

7 Also, 2016 -- we are also trying to
8 revise our current estimate, but as of 2016, there's
9 an estimate of 376 million new cases of curable STIs
10 of which varies. And 87 million new cases of
11 gonorrhoea with the greatest burden in Africa and the
12 western pacific region.

13 The estimated gonorrhoea prevalence is
14 0.9 percent in women and 0.7 percent in men.

15 Gonorrhoea is a priority pathogen for
16 antimicrobial resistance surveillance. The WHO global
17 antimicrobial surveillance program has been monitoring
18 patterns of resistance to inform treatment
19 recommendations. About 70 countries are currently
20 reporting to the AMR -- data; however, there is also
21 still a lot to be done in some -- gonorrhoea AM
22 surveillance program.

1 As you can see in the current map, just
2 to just give you a little orientation where you look
3 at this, and the blue indicates zero -- resistance or
4 antibiotic. You have yellow which represents less
5 than five percent resistance. Orange, that's 30
6 percent resistance. And pink, it's less than 70
7 percent resistance. And if it's red, it's more than
8 70 percent resistance.

9 And as you will note in here, 31
10 percent of countries have already reported the
11 increased susceptibility or resistance to ceftriaxone.
12 With about 41.7 percent of countries reporting
13 "decreased" susceptibility to resistance.

14 Further down the line, you will also
15 note that there's increasing number of countries
16 reporting resistance to azithromycin with 83.6 percent
17 of countries reporting resistance. And increasing
18 proportion of resistance isolates -- that are being
19 reported.

20 One hundred percent of countries have
21 reported resistance to ciprofloxacin with majority of
22 countries reporting high proportion of isolate

1 resistance with majority to ciprofloxacin -- high
2 resistance -- I think it is not then practical to --
3 implement a guided treatment based on antibiotic
4 susceptibility testing for ciprofloxacin, especially
5 in these high burden countries.

6 One of the biggest challenge in the
7 gonorrhoea AMR surveillance system is the low number of
8 countries reporting gonorrhoea AMR data, especially in
9 Africa where burden is high. Only 10.6 percent of
10 countries are reporting gonorrhoea resistance, for
11 example in Africa.

12 One of the goals of the global health
13 sector's strategy on STI is to reduce the prevalence
14 of gonorrhoea. This has been a priority STI just as a
15 risk of resistance in a treatable gonorrhoea.

16 In order to address AMR in gonorrhoea,
17 priority strategies include strengthening the
18 gonorrhoea AMR surveillance system preventing and
19 adequately managing STIs. Again, developing new
20 gonorrhoea treatments and delaying emergence of
21 resistance through adequate antibiotic -- including
22 the development of -- point of care tests for

1 gonorrhea identification and antimicrobial resistance
2 infection.

3 And lastly, I think as the most
4 important thing is to also properly date gonorrhea
5 vaccine development. A research and development
6 roadmap was developed to address gonorrhea treatment
7 with the following priority study -- priority
8 interventions, but as you can just see, it's not just
9 developing the new chemical entity for a new gonorrhea
10 treatment, but probably also investigating and
11 evaluating potential of existing antibiotics in their
12 combination and also looking at exploring -- packaging
13 and development of -- those combinations. And more
14 importantly also is to support the development of
15 simplified treatment guidelines and foster
16 conservation of current and future drugs as part of
17 the antibiotics -- programs that we have.

18 As part of the new gonorrhea treatment
19 initiative, TPP -- target product profiles -- for
20 gonorrhea has been developed to guide research and
21 development. And in industry, we know that TPPs are
22 used for planning to guide development --

1 characteristic. In regulatory context, TPPs are
2 considered a source to frame development in relation
3 to the submission of product -- in the context of
4 public health, TPPs are usually -- to -- for funders
5 and also for developers.

6 But as you can see in this later TPPs,
7 the latest TPP that we developed, we've really
8 indicate that there's a minimal TPPs -- the treatment
9 for uncomplicated urogenital gonorrhoea, but for the
10 preferred TPP, we would rather also prefer that it is
11 also providing treatment coverage for extragenital
12 gonorrhoea.

13 Accessing -- access affordability, as
14 you will note in this TPP, has been really looking
15 into the commitment of access as well as the --
16 strategy that promotes an availability of fair price.
17 And when we say a fair price, it should be something
18 that is affordable for health systems and patients,
19 but at the same time they provide market incentives
20 for industry to invest in innovation and the
21 production of quality and essential health products.

22 I'm not going through all this TPP, but

1 just to give you some pointers on this that it should
2 also be that the drugs should -- invitro activity
3 against Neisseria gonorrhoea resistance to extended
4 spectrum cephalosporin and macrolides and that there's
5 no cross-reaction to any other known antibiotic drug.
6 And of course with clinical efficacy, if you should
7 have a non-inferiority to clinical trial versus the
8 current standard of care, and we depend on the US FDA
9 for guidance regarding this -- efficacy.

10 Those regimen is something that have
11 also been included in the TPPs. And this time,
12 although we know that single dose is preferred, we
13 would of course want to consider one to three doses in
14 up to three days of drug regimen.

15 One of the things that would happen is
16 that after a treatment is developed, it is critical
17 that recommendations are made as a treatment for
18 gonorrhoea -- at the global level and especially also
19 at the national level. WHO develops evidence-based
20 guidelines based on the great process and we try to
21 ensure that this is assimilated for a wider or --
22 especially for low- and middle-income countries. To

1 start with, it usually starts with a bigger question.
2 Reformulate this bigger question which includes
3 population -- intervention, this new drug, a
4 comparator which probably would be the standard of
5 care and of course identifying the outcomes like
6 microbiology -- cure, clinical cure, site effects and
7 all of that.

8 And based on the bigger question, we
9 then gather the evidence, do systematic reviews on
10 available clinical trials and then we assess the
11 quality of evidence for each of the outcomes that has
12 been identified as critical.

13 In making the decision and making the
14 recommendation, in addition to just looking at the
15 quality of evidence for all these different clinical
16 trial, other important considerations are also
17 important to look into and I'm going to be discussing
18 that as we move forward.

19 And we know for example that very
20 important is making sure that we have good quality,
21 randomized controlled trial so that we then develop
22 high quality recommendations for this and a strong

1 recommendation for -- for the treatment of gonorrhoea.

2 To start with, I think a clinical trial
3 design is very important for us because I think it
4 would be very helpful for us to develop our gonorrhoea
5 guideline and we also wanted to make sure that we have
6 a strong recommendation based on high quality of
7 evidence. And considering that I know all of you are
8 really aiming to have this randomized controlled trial
9 with all this very important factors that need to be
10 considered.

11 Another area is really looking at the
12 population because I think we need to include and be
13 more inclusive with men, with women -- key population
14 including MSM and female -- and also for HIV positive
15 individuals.

16 In addition to this, it will be also
17 looking at the intervention and the drug and dosage.
18 I think the biggest area of challenge we have is
19 really how do we make this "dosaging" in terms of the
20 different anatomic sites. And again, it should be
21 compared to the current standard of care.

22 In the area of recommendations and in

1 developing this recommendation, very critical is
2 really having data on the outcome. And we do have
3 very good available data on -- for example, clinical
4 cure and side effects. However, there has always been
5 an issue when we see other outcomes that are usually
6 recommended by our guideline development group
7 members, including for example issues related to
8 compliance, complication, transmission to partners and
9 the quality of life.

10 Overall, I think on all this trial, it
11 would really be very important to look into
12 antimicrobial resistance -- not only within this
13 trial, but also looking at this in the higher
14 community level.

15 In addition to the clinical trials in
16 the development of guidelines, more data is also
17 needed. Not only based on what the clinical efficacy
18 or the quality of the -- of the -- of the -- of the
19 drug, but looking into other areas or information that
20 relates, for example, to -- and preferences, making
21 sure that it is cost effective. Therefore, we also
22 need data related to resources. Whether this would be

1 acceptable and feasible to -- to the -- population and
2 also looking into the balance and benefits and harm of
3 doing and -- treatment.

4 So overall, I think it's not just the
5 clinical trial, but also looking into the use of the
6 drug as part of the recommendation.

7 One area of work that has also been
8 done is really modeling the issue of the five-person
9 threshold for resistance to change treatment
10 guidelines. Currently, WHO and I think also US CDC,
11 that was an agreement long time ago that we change
12 treatment recommendation based on a five percent
13 threshold. So we did a modeling related to this and
14 we would see that there's no evidence that changing
15 the threshold from treatment change from five percent
16 to ten percent for -- would affect the trajectory of
17 resistance spread. And that if you will also look in
18 here, in MSM -- also resort to current rapid rise in
19 resistance, even faster than already transitioning to
20 a new treatment recommendation. But it is much slower
21 and much more diffused in terms of heterosexual
22 transmission.

1 HIV care with experience in practicing academic and
2 public health settings.

3 Welcome, Dr. Bachmann.

4 MS. BACHMANN: Thank you. Today, I'm
5 going to cover the following topics and I'm going to -
6 - from the framework of some of the changes that were
7 made to the STD treatment guidelines around gonorrhoea,
8 I'm going to talk about some surveillance data, but
9 also talk about some of the other considerations that
10 went into those changes -- including antimicrobial
11 stewardship and some of the topics listed here.

12 I may skip through some of the -- for
13 the sake of time, some of the summary slides. You'll
14 have this slide deck after the meeting.

15 So starting with the surveillance data,
16 as I mentioned earlier, our report was released last
17 week. We have now had the sixth consecutive year of
18 increases with over 600,000 cases of gonorrhoea
19 reported in the United States. Increases in all
20 regions of the U.S. And when we look at the
21 distribution of GC across the United States, you'll
22 note there's a lot of heterogeneity, so increases were

1 seen across regions, but there's heterogeneity across
2 regions -- and even within states, by county. So
3 while 94 percent of counties had at least one case of
4 gonorrhea reported. Of 3,142 counties, 73 counties
5 accounted for around half the cases. And so this
6 heterogeneity of distribution does have implication
7 for the efficient conduct of studies of therapeutic
8 interventions.

9 Rates went up in men and women. And
10 since 2015, rates have increased about 56 percent in
11 men, around 40 percent -- 44 percent in women during
12 the same timeframe. And as before, the rates are
13 highest in adolescents and young adults. And we don't
14 have time to go through all of our wonderful epi-
15 slides, but you know, they're diagnosed in STD clinics
16 only, like, 6 to 10 percent of the time, depending on
17 whether you're talking about females or -- or males.

18 So I'm going to switch gears a bit and
19 cover some of the gonorrhea resistance project that we
20 have. And, unfortunately, don't have time to focus on
21 all of them, but I'm going to focus on just our
22 sentinel surveillance studies in yellow here. As you

1 can see -- in green and then surge in purple.

2 And we'll go through this timeline here
3 and you can see in 1986, just as our core national
4 surveillance system of antimicrobial resistant GC that
5 was established in 1986. In 25 to 30 clinical sites,
6 males were sampled only, urethral isolates only.

7 Over time with increasing concerns of
8 antimicrobial resistance, surge was rolled out. Surge
9 is not a surveillance study or -- or project rather,
10 it's more of a rapid detection and response to
11 antimicrobial GC and also expanded to females and
12 included both genital and extragenital isolates.

13 And during that time, we also expanded
14 capacity for gonorrhea lab testing through the
15 national laboratory infrastructure for antibiotic
16 resistant organisms -- or the ARLNs -- and this is
17 where all the samples are sent for susceptibility
18 testing through agar dilution. In 2017, surveillance
19 was expanded further through Aegis where Neisseria
20 meningitidis species were detected, female specimens
21 were detected and extragenital isolates also were
22 collected.

1 Now understandably as the capacity has
2 increased, the capacity to perform antimicrobial
3 susceptibility testing has increased. So prior to
4 2016, we performed about 5,700 tests a year, and then
5 post-2017, averaged 8,500 to up to 12,000 tests a
6 year.

7 Similarly for whole genome sequencing
8 prior to 2016, performed about 10 to 200 tests a year,
9 and post-2017, up to 5,500 tests a year. Now there
10 was a dip in 2020 with the pandemic.

11 Why am I telling you all this? Of
12 course whole genome sequencing is important in terms
13 of being able to track resistance and also have a
14 resource to develop diagnostic testing, but also is a
15 resource for others as well. And over 9,200 sequences
16 have now been submitted to the public archive as of
17 2020.

18 I'm going to switch gears a bit and
19 talk about some of the -- data and -- because this
20 directly informed some of the changes. And this is a
21 graph of the percent of isolates with elevated minimum
22 inhibitory concentrations to azithromycin cefixime and

1 ceftriaxone. And as you can see here, cefixime
2 encephthroaxin in green and yellow respectively, the
3 MIC's elevation to remain relatively low, thankfully.
4 Where azithromycin in pink, that's continued to
5 increase over time. And in 2019, 5.1 percent of
6 isolates had elevated MICs.

7 If we look at the regional distribution
8 of the prevalence of elevated MICs -- and this is once
9 again to azithromycin specifically -- you can see that
10 there are some regional differences with the
11 prevalence of isolates being higher in the west and
12 the northeast compared to the south. And -- which is
13 an interesting finding.

14 And then if we look at our --
15 surveillance data -- and note that these are 2018
16 data, not 2019 data -- and look at the percent of
17 isolates with elevated MICs to azithromycin. And this
18 is by anatomic site and by gender and gender sex
19 partners, there's a couple take-home points here. So
20 men who have sex with men, in red, had in general
21 higher prevalence of isolates with elevated MICs. But
22 women and men who have sex with women also had a

1 relatively high prevalence of elevated MICs to
2 azithromycin, and in general, higher pharyngeal
3 prevalence. Though note that the numbers are very
4 small, and so it really -- too small to draw
5 definitive conclusions here, but the point is that we
6 do have some extragenital site surveillance ongoing at
7 this time.

8 I'm going to move on now to a topic
9 that actually -- this concept really -- a lot of the
10 thinking around not just the gonorrhoea treatment
11 changes, but some of the other changes that will come
12 forth in the 2021 document. So -- and that is
13 antimicrobial stewardship and antibiotic resistance.
14 And this is the cover of the 2019 CDC threat report.
15 And as was mentioned earlier, gonorrhoea has been in
16 this threat report now several times. And the concept
17 of antimicrobial stewardship or, you know, not
18 exposing individuals to antibiotics unless the benefit
19 clearly outweighs the risks is a really important
20 concept that's gathered more attention since the last
21 treatment guidelines meeting.

22 Also, the attention to the fact that

1 antibiotics have collateral impact on cooccurring
2 pathogens is an important concept that we kept in
3 mind.

4 In addition to that concept, we also
5 were keeping in mind, you know, the rule of
6 extragenital sites. And I want to focus on the second
7 point here and that is that we understand that we
8 don't understand well the interaction between
9 organisms and the environment and these extragenital
10 sites. So, you know, gonorrhoea is more difficult to
11 eradicate in the pharynx. We do think the pharynx may
12 be a special place for the development of drug
13 resistance. And the asymptomatic nature of these
14 infections at these sites may select for resistance
15 due to under detection and undertreatment potentially.
16 Understanding the drug penetration at these orifices
17 is limited. And then separately, or maybe related to
18 the drug penetration issue perhaps, we've had concerns
19 about rectal chlamydia and treatment response. So
20 some of these concepts or principles also were
21 considerations in the deliberations.

22 So going back to the microbiome

1 concept, this is one that -- one study, but a large
2 study that looked at populations of children where
3 they randomized -- azithromycin distribution versus
4 placebo, and they received azithromycin twice yearly
5 and then compared their gut resistance and found that
6 the children who were exposed to azithromycin had
7 higher prevalence of resistance elements to macrolide
8 and non-macrolide antibiotics, including betalaktams

9 We also worry about other pathogens
10 that may travel with gonorrhoea and other STIs. So
11 mycoplasma genitalium -- in this study of US STD
12 clinics -- there were six STD clinics that were in
13 this study, and then with urethritis who presented in
14 these clinics, about 29 percent of them had MGENT.
15 And of those men who had MGENT -- to see of the 80
16 percent had resistance, so -- as defined -- or
17 macrolide resistance as defined by the prevalence of
18 23S rRNA resistance mutation. So obviously quite high
19 and quite concerning.

20 And then furthermore, we have
21 documentation of increasing macrolide resistance in
22 enteric pathogens. And we don't have time to go

1 through these different studies, but it's been
2 reported nationally and internationally at this point.

3 So aside from resistance, the rectal
4 chlamydia issue has been one we've worried about for a
5 while now, and we did also have recently results from
6 a randomized controlled trial of doxycycline versus
7 azithromycin for rectal chlamydial infection and --
8 that was reported at the 2020 STD prevention
9 conference. And this study is -- was stopped early
10 due to the marked difference in efficacy. As you can
11 see in blue, for doxycycline, versus orange for
12 azithromycin. Doxy was clearly more efficacious than
13 azithro for rectal chlamydial infection. And so this
14 kind of settled that issue as well that we've been
15 concerned about for a while and had other studies that
16 were not randomized controlled trials pointing to
17 concerns.

18 And while that study was in MSM, rectal
19 chlamydia's also not uncommon in women with chlamydia.
20 And, you know, is actually quite common. A history of
21 anal sex is not predictive of infection in women. And
22 there is some concern that this is an area that still

1 needs to be further studied, that here could be some
2 autoinoculation from the rectum into the GU tract
3 again. So more, you know, raising concern for
4 inadequately treated rectal infection.

5 So I'm not going to read through the
6 summaries, but those were some of the deliberations
7 and considerations also that we thought about needs to
8 change therapies.

9 So also playing into this is
10 pharmacokinetic and pharmacodynamic considerations and
11 my colleagues who come after me will be much more
12 sophisticated in their explanations of this. So I'll
13 give you more of a layman's term breakdown, but
14 ceftriaxone and azithromycin are -- are very different
15 and ceftriaxone has very variable pharmacokinetic.
16 The half-life of ceftriaxone and azithromycin are
17 different, with azithromycin being in tissues for
18 weeks later after dosing. And so there was some
19 concern there about the disconnect there and the
20 longtail for azithromycin maybe making it more
21 susceptible to the development of resistance.

22 In addition, there's been a very

1 helpful mouth model that has been developed and used
2 to estimate PKPD parameters for gonorrhoea cure at the
3 genital tract for susceptible and resistant gonorrhoea.
4 And the lowest of ceftriaxone dose to cure 100 percent
5 of the susceptible gonorrhoea at 48 hours post-
6 treatment is estimated to be 5 milligrams per kilogram
7 in the mouth model. And so when we took that dose and
8 extrapolated it back to the human weight, that was an
9 optimal dose for a 50-kilogram human being.

10 Unfortunately, the reality is the
11 average American is now 80 kilograms, and so when we
12 looked at that, that was -- suboptimal dosing for the
13 average American and -- and implied that we perhaps
14 needed to increase the dose of the ceftriaxone.

15 So in summary, we have new
16 pharmacokinetic data, some more consideration about
17 the differences in the pharmacokinetics between these
18 two drugs that also weighed in these considerations
19 about treatment changes.

20 So what did we decide to do? I think
21 probably a lot of you know this, but 2015, this is
22 what we were working with. Ceftriaxone, 250

1 milligrams plus a gram of azithro. To remind you that
2 azithro was even if chlamydia was ruled out. It was
3 really there to protect the ceftriaxone. And so this
4 was what we recommended up until December 18th when
5 the MMWR was released and the recommendation then
6 changed to ceftriaxone, 500 milligrams IM in a single
7 dose for individuals who weighed less than 150
8 kilograms. For persons who weighed greater or equal
9 to 150 kilograms, the recommendation was for a gram of
10 ceftriaxone. And this is for uncomplicated gonorrhea
11 of the cervix, urethra or rectum. And it really
12 should say pharynx as well. Same dosing for that.

13 If chlamydial infection's not been
14 excluded, it's recommended to treat doxycycline for
15 seven days and then test to cure is now recommended
16 for all pharyngeal infections regardless of regimen
17 used.

18 So in summary, gonorrhea treatment
19 continues to involve. We've had emerging resistance
20 and then also things change over time. Science
21 changes. Antimicrobial stewardship continues to carry
22 more and more weight over time. The azithromycin

1 resistance continues to increase with impact across
2 multiple organisms. And then we've had new data on
3 efficacy for chlamydia, particularly rectal chlamydia,
4 that factored in along with the science of
5 pharmacology and pharmacokinetics.

6 Monitoring the emergence of ceftriaxone
7 resistance is even more critical, especially now as
8 we're going to monotherapy. And new preventive and
9 therapeutic agents are needed, and that is what this
10 workshop is all about.

11 So with that, I would like to thank the
12 following individuals and I will wrap it up. Thank
13 you for your attention.

14 MR. BERNSTEIN: Thank you, Dr.
15 Bachmann. Our next section will include two
16 presenters that I will introduce before they begin.
17 First, we will hear Dr. Magnus Unemo who is an
18 associate professor at Orebro University in Sweden and
19 directs a global WHO collaborating center for STIs, as
20 well as the Swedish reference laboratory for STIs.

21 His research spans Neisseria gonorrhoea
22 and other bacterial STIs resulting in more than 400

1 peer reviewed PubMed index publications and numerous
2 chapters in international scientific books.

3 Dr. Unemo will be followed by Dr.
4 George Drusano, who is a tenured professor and the
5 director of the Institute for Therapeutic Innovation
6 at the University of Florida, College of Medicine.
7 His interest is in optimizing therapeutic outcomes for
8 patients with serious infections and finding
9 algorithms to suppress resistance emergence in
10 pathogens. I will now turn it over to doctors Unemo
11 and Drusano.

12 MR. UNEMO: Thank you very much for
13 that introduction, Kyle. Can you all hear me?

14 MR. BERNSTEIN: Yes, I hear you.

15 MR. UNEMO: Thank you. I'm very
16 grateful and happy for the invitation to this
17 important meeting. I'm also very honored to start
18 this -- I will give together with Dr. Drusano.

19 Many of you already know, Neisseria
20 gonorrhoea has shown an extraordinary capacity to
21 develop or acquire basically all known types of
22 antimicrobial resistance -- which has resulted in --

1 of treatment. Only antimicrobial as you know now have
2 left the -- gonorrhoea treatment -- ceftriaxone in
3 higher doses frequently or without -- sidelines.

4 Clearly -- some problems with --
5 clearly, new drugs for treatment are essential. As
6 many of you also know and also have read about in --
7 now evidence of the first international spread of
8 ceftriaxone strain or clone. Obviously it's the --
9 biologically fit to spread -- cases have been
10 identified in -- countries, Canada and -- 2018 was the
11 first -- combined with high level resistance --
12 identify. However, there were only occasion -- and
13 Australia.

14 As also shown -- there is an -- number
15 of countries -- resistance of ceftriaxone -- global --
16 gonococcal -- lacking in our geographic -- can be help
17 served -- including large parts of Africa, Central
18 Asia -- Central America and Caribbean. However, the
19 important thing is obviously this -- resistance
20 translates into clinical failures to cure -- cases.
21 Fortunately, the surveillance of suspected and
22 verified treatment -- vast majority of countries --

1 sporadic and -- surveillance re-expanded and
2 strengthened.

3 We looked into the -- verify treatment
4 -- ceftriaxone, verified according to -- WHO or even -
5 - criteria that these are rather few -- ceftriaxone,
6 but they still have failures -- those have tried --
7 azithromycin -- therapy as well as the ceftriaxone 1
8 gram of therapy. We can also -- one were in the
9 pharynx and the ceftriaxone plus azithromycin --
10 subsequently treated several of these failed cases,
11 which may of course also --

12 Obviously, the WHO global cost will
13 collaborate with most -- of significant -- but it also
14 -- the limitations that have been discussed previously
15 include things like -- number of countries -- as well
16 as about -- some countries -- lack of standardized
17 global -- lack of harmonized -- as well as I mentioned
18 --

19 But what is also -- lacking -- my
20 opinion -- very limited understanding of the dynamic -
21 -

22 UNIDENTIFIED SPEAKER: Sorry, Dr.

1 Magnus. You're actually cutting out. Can you get to
2 a better area so we can hear you better?

3 MR. UNEMO: Is it better now?

4 UNIDENTIFIED SPEAKER: Yes. Thank you.

5 MR. UNEMO: Thank you. What we also
6 have mainly not discussed this sufficiently is the
7 dynamic and direction between the bargain
8 antimicrobials as well as about the ideal dosing for
9 effective dose -- Neisserian gonorrhoea kill as well as
10 suppression or resistance emergence and amplification,
11 which are basically two different goals of the
12 therapy.

13 We had not had any detailed
14 understanding of the antimicrobial -- dynamics
15 basically -- the microbiology and pharmacology. And
16 this is -- focus much more. So for new antimicrobials
17 as well as some currently used, we really need to
18 avoid the same fate by improved PKPD knowledge and --
19 all relevant PKPD and prediction studies before the
20 new antimicrobials are introduced for treatment.

21 The requirement of more appropriate
22 PKPD -- to optimize treatment using current novel

1 drugs have also been earlier addressed. It was very
2 nicely emphasized in -- workshop in 2018 hosted by STI
3 -- by NIAID and -- MID -- some key questions --
4 essential in this -- were formulated.

5 What do we really know? In 2019,
6 Fabian Kong [ph] in Melbourne, Australia, attempted to
7 compile all relevant data and published a review about
8 pharmacokinetic considerations regarding the treatment
9 -- STIs. The main focus on azithromycin, but all
10 relevant STI antimicrobial -- then became clear that
11 even for our best gonorrhea antimicrobial,
12 ceftriaxone, having -- limited knowledge of why it
13 works so well. Of course -- it is -- by good urine
14 levels and by availability; however, it also has a low
15 volume -- high protein -- rather poorly -- and what
16 also became clear that protein binding -- active
17 antimicrobial -- if there's really a lot between
18 antimicrobials that these carry -- some antimicrobials
19 also dependent on the -- for example -- finally, the
20 fact we don't know at all how these protein bindings
21 vary --

22 Furthermore, it also appeared

1 relatively clear that the antimicrobial -- and really
2 not be strongly associated with -- as you see
3 concentration of ceftriaxone -- extremely low --
4 ceftriaxone evidently is very effective in curing all
5 pharyngeal -- and similar situation for --

6 So for the most asymptomatic pharyngeal
7 gonorrhoea, which are more difficult to cure and
8 potentially -- for emergence of resistance --
9 antimicrobial, we really lack a lot of -- the lack of
10 strong correlation between -- and treatment -- can
11 only be hypothesized to be -- also the high saliva
12 flow rate, swallowing of saliva that -- is that -- of
13 that bacteria is replaced about every -- hours.

14 But we also have suboptimum knowledge
15 regarding all the possible sides of pharyngeal
16 gonorrhoea that is based on the findings -- combined
17 with the fact that we do not know how antimicrobial
18 distribution can be -- different pharyngeal -- create
19 further difficult --

20 Finally, the fact that pharyngeal
21 gonorrhoea's usually asymptomatic. And accordingly
22 limited inflammation and the tight junctions

1 presumably -- of many antimicrobial --

2 First very nice study for gonorrhoea in
3 my opinion was the one by Horro Jester [ph]. Nearly
4 40 years ago, he examined the PK determiners of cure
5 of gonococcal -- penicillin -- when male prisoners had
6 been volunteering to be experimentally infected with
7 the gonorrhoea strain -- different penicillin -- the
8 males cured -- sorry. The males cured from gonorrhoea
9 had the theorem concentration of total penicillin
10 which -- remaining for more than seven to ten hours,
11 about three, four times -- penicillin MIC of the
12 infected -- however, this study had some limitations.
13 As all studies, it -- only limited number of -- the
14 culture for diagnosis, instead of a more sensitive
15 modern molecular diagnostics, and also the -- only the
16 total penicillin -- not the free one.

17 Worryingly, in my opinion, that the --
18 that have also been expanded to the efficacy of
19 several other antimicrobials -- classes.

20 Another very nice study I think is in
21 the early -- ceftriaxone -- MIC of more than or equal
22 to 20 hours is required for cure with ceftriaxone.

1 This study also suggested ceftriaxone MIC of the .25
2 up to 2 milligram per liter. Basically, the resistant
3 strain resolved in low -- all of only zero to -- hours
4 for the currently identified ceftriaxone -- which
5 clearly indicate that even ceftriaxone 1 gram would
6 cure all the gonorrhoea -- also this study of --
7 limitation -- only use treatment -- dose --
8 ceftriaxone was only theorem of plasma concentration -
9 - ceftriaxone and pharyngeal infections were not --

10 So based on all what I have described
11 so far, which knowledge is really lacking regarding --
12 gonorrhoea treatment -- answer this, obviously we
13 approach in outstanding research, we approach to Dr. -
14 - then became very clear that we had a knowledge of --
15 of the gonococcal -- resistance suppression, which can
16 also -- those two -- knowledge about the possible --
17 to optimize alkyl and -- as well at the same time --

18 And finally, infection -- by a lot of -
19 - also have learned how extremely complex -- therapy
20 is to -- understand, even more difficult to optimize -
21 -

22 Finally -- competent STIs like

1 chlamydia, in addition -- Dr. Drusano, we have a lot
2 of work to do -- topic. Because we need to start
3 obviously somewhere -- at the previously mentioned
4 workshop to optimize -- sexually transmitted infection
5 -- formulate to some key aims -- focus on. That is
6 for gonorrhoea. Ideally, in all different side and all
7 currently -- to determine -- optimize those based on
8 those. Evaluate the -- and suppressional resistance.
9 Obviously -- side effects -- examine and understand --
10 not before we have this -- single antimicrobial --
11 start to understand and optimize dual therapies.

12 -- subsequently organized the very --
13 national PK workshop in Geneva -- create -- focus on
14 PKPD considerations -- therapies for uncomplicated
15 gonorrhoea. Both challenges -- is a workshop -- future
16 area for PK -- research on antimicrobial for treatment
17 of gonorrhoea -- further discussed in detail -- and
18 strongly emphasized that we need better models for
19 these examinations -- models, which Dr. Anne Jerse
20 will talk about, so we will avoid in our talk. But
21 also, the dynamic type of invitro hollowfiber
22 infection models and properly address -- PD

1 considerations in -- this type of hollowfiber
2 infection models -- gonococcal infections and PKPD
3 treatment efficacy in single -- doses and identify
4 deal doses and also address resistance emergence and
5 suppression at different doses. Basically -- address
6 most of the questions that formulated this -- and no
7 hollowfiber infection -- although unfortunately --
8 existed for gonorrhoea, a lot due to the difficulties -
9 - develop this model for Neisseria gonorrhoea -- which
10 is very -- sensitive to many factors -- difficult to
11 properly grow and synchronize manner -- however, in
12 2020, Brian VanScoy -- in collaboration with PFK
13 published a very nice study regarding the relationship
14 between -- exposure and prevention of -- therapy
15 resistance amplification -- Neisseria gonorrhoea
16 hollowfiber infection model. It very nicely showed
17 how -- doses of 0.5 gram or more -- doses -- gram
18 administered -- hours and -- gram after eight hours.
19 Both effectively killed Neisseria gonorrhoea as well as
20 prevented -- amplification.

21 We have also in our laboratory
22 developed, standardized and quality assured

1 hollowfiber infection model based on the
2 geographically -- genomically diverse -- strains --
3 behaviors and current treatment -- reconsider the
4 importance to use -- in order to further relate our
5 outcomes to outcomes in the --

6 Dan Jacobson [ph] is doing most of this
7 work which fall in close collaboration with Dr. George
8 Drusano and his team, as well as of course -- many
9 great people -- who currently also found our --
10 perform the collaboration -- companies.

11 We'll hopefully also soon publish our
12 first azithromycin data where we can show based on
13 ceftriaxone -- urine concentration that 125 milligrams
14 up to 1 gram of ceftriaxone effectively eradicate
15 highly susceptible strains -- they can show that 1
16 gram eradicates all susceptible and resistant --
17 however, 500 milligram does not eradicate high level
18 resistant strains -- MICs of 1 or more -- but as we
19 know, the most -- for azithromycin treatment -- where
20 we have extremely limited PK data as I explained.

21 Accordingly, based on the very limited
22 literature, we had to basically do our best to guess -

1 - as mentioned, the ceftriaxone concentration in
2 saliva is very low, so it can really not be associated
3 with treatment outcome in the pharynx. And due to
4 this research, that you -- data from an old paper --
5 they -- concentration -- compared to serum.

6 So with this tonsil concentration was
7 best guessed that we could use and we combine this
8 concentration -- ceftriaxone protein binding --
9 shedding another very nice study by Jeffrey Bloomer
10 [ph] and George Drusano.

11 Based on these estimated ceftriaxone
12 pharyngeal concentrations, based on the tonsils, we
13 can show that ceftriaxone, 1 gram, eradicate all
14 except high level resistant strains -- 500 milligram
15 do not eradicate strains with -- resistance -- that is
16 MIC of .5 -- liter or more. And this is of course
17 worrying and even more worrying that we have performed
18 -- simulation of interpatient variance in the PK
19 parameters -- simulated 5,000 patients based on the
20 data from the -- by Bloomer, et al. Based on this
21 simulation, many patient -- three -- sufficient time
22 of -- ceftriaxone over MIC, which is efficacy driver.

1 Here we have only estimated -- hours. Consequently,
2 essentially more failures to -- with ceftriaxone 500
3 milligram and 1 gram can be estimated -- particularly
4 on the pharynx, but not exclusive --

5 We have also very recently finished
6 pharmacodynamic evaluation of dosing -- and resistance
7 of suppression for -- dynamic hollowfiber infection
8 model. This project is obviously in collaboration --
9 team at -- and is now in review.

10 Very briefly, the dose range
11 hollowfiber infection model experiments for -- WHOS --
12 strains that simulated zoliflodacin or those of .5 to
13 8 grams and follow for seven days. Zoliflodacin doses
14 of 2 gram or more were required to both kill Neisseria
15 gonorrhoea and suppress -- emergence of zoliflodacin
16 resistant -- sorry -- oral doses of zoliflodacin 1
17 gram or lower also failed in the dose -- hollowfiber
18 experiment where the total doses were equally divide -
19 - doses and gave them -- 12 hour or 8 hours. At this
20 stage, I will leave over to Dr. Drusano.

21 MR. DRUSANO: Can you hear me?

22 MR. UNEMO: Yes.

1 MR. DRUSANO: Hello? Okay, good.

2 Thank you. Well, thanks to everybody for having
3 someone onboard that doesn't know anything about
4 gonorrhoea, neither personally nor professionally.

5 So if you would go back one, Magnus,
6 please? Or whoever's doing the slides, go back one?

7 Thank you.

8 So this is the outcome -- one more
9 forward now. One more forward, please.

10 This is the outcome of the two
11 hollowfiber studies that we analyzed in a population
12 sense. I would urge you to look carefully because
13 there will be a quiz afterwards.

14 And now the only things I would like to
15 point out is if you look at the -- growth for the
16 sensitive strains, it's about 1.1 on the mean, 1.09 in
17 the median, but if you look at the resistance, it's
18 .56 and .6. The point being that the resistant
19 isolates are less "viofit."

20 When you look at the rates of chill,
21 it's 4.5 and 4.7 for the mean and the median. And
22 when you go for the resistant, it goes down by a third

1 to 1.5 and 1.5. And then the C50s are higher, and so
2 what this is telling you is it's a heck of a lot
3 harder to kill the resistant isolates.

4 Next, please. Next, please. There we
5 go.

6 This is just for the PKPD geeks amongst
7 you. This is just the idea that we have actually fit
8 the model to the data. And on the top row is the so-
9 called pre-Bayesian or population fits, and on the
10 bottom row is the Bayesian or individual fits. And
11 this actually is pretty good because it's all the data
12 modeled simultaneously in this particular
13 circumstance.

14 There are three panels, that's because
15 there are three system outputs. The drug
16 concentration is in, A, pre-Bayesian. The total
17 bacterial burden is B, and then the resistant
18 bacterial burden is in C. And the same three in D, E,
19 and F, but in the -- for the so called individual or
20 Bayesian fits. So at least for this one, the fit of
21 the model to the data was quite acceptable.

22 Next, please.

1 Okay. So in point, the parameter
2 vector -- oh, I'm sorry. Yeah. You went one too far.

3 This is for WHO X and we see pretty
4 much the same sort of circumstance. And we had a
5 little bit more difficulty with the resistant clones
6 in this one in the pre-Bayesian or population fits,
7 but in the Bayesian posteriors you can see the slopes
8 are very near one, small Y intercept and very high R
9 squares. So again, quite acceptable.

10 Next, please.

11 So employing the parameter vector
12 identified in the slide a couple of slides ago, we
13 calculated that a dose slightly greater than a gram
14 but less than two grams will suppress resistance
15 emergence. And actually, the number that we came up
16 with was about 1.1 grams and above will suppress
17 amplification of less susceptible populations for
18 zoliflodacin. Now, this really isn't an enough, and
19 there's two things that make it not enough. And that
20 is we have to look at Neisseria gonorrhoeal strains
21 that potentially are predisposed to resistance
22 emergence. Hypermutators. Ones that already have a B

1 subunit type of mutation for zoliflodacin. That's
2 mission critical, so more information is required.

3 We must then use a population, PK
4 parameter vector and covariance matrix to perform a
5 Monte Carlo simulation and you look for a dose that
6 would attain the resistance suppression exposure for a
7 larger portion of the target population.

8 Generally, we look for at least 90 to
9 95 percent target attainment in this particular
10 circumstance. And it helps immensely to have that
11 human PK information in the population of interest.
12 That is patients who got zoli who also had Neisseria
13 gonorrhoea.

14 Next, please. Almost done from me.

15 So we -- okay. Go back one, please.
16 Go back one, please. Go forward, sorry. One more.
17 There we go. Stop there.

18 Okay. So what we did here is we took
19 the WHO X reference strain and we look at the rates of
20 kill for 1 gram, 2 grams, 3 grams and 4 grams and we
21 look at them in different ways. We look at the whole
22 dose once, half the dose twice and a third of the dose

1 every eight hours. And what you see is quite
2 straightforward. The single dose once actually gives
3 the highest rate of kill that intersects zero
4 earliest. You see that for the 1-gram dose. You see
5 it for the 2-gram dose. What you also see as the dose
6 goes up, things start to pull together. By the time
7 you get to 3 grams, there's very little difference
8 between what one sees with the once, twice and three
9 times dosing. And then when you get to 4 grams, once
10 and twice layover one another. And this is not a
11 surprise because zoliflodacin is very concentration
12 dependent in rates of kill.

13 Next, please.

14 So daily administration always produced
15 the most rapid rate of kill. The advantage dissipates
16 as the dose escalates. Rate of kill approaches a
17 maximal rate, as it always does. The real advantage
18 though is that if you give it once a day, this -- one
19 need not worry about adherence with subsequent doses.

20 Now, the impact of exposure -- rate and
21 resistance suppression is the real reason to take the
22 hollowfiber data and perform this mathematical

1 modeling exercise. Let me also say this only applies
2 to zoliflodacin. I guarantee you that as you get more
3 resistant ceftriaxone -- higher MICs and you're trying
4 to prevent resistance, I can absolutely guarantee you
5 that multiple administrations are going to give you a
6 much better system outcome for suppressing resistance.
7 So you have to understand what you're trying to do and
8 with what drug you're trying to do it.

9 Next, please. I think that's it for
10 me, but I guess we'll see.

11 Yes. And now we're very quickly going
12 back to Professor Unemo. Thank you for your attention
13 and I'll mute.

14 MR. UNEMO: Yes. You can hear me?

15 MR. BERNSTEIN: Yes, we can, Magnus.

16 MS. DEAL: Yes, we can.

17 MR. UNEMO: Thank you. Finally, we
18 also -- I just wanted to stress that the additional
19 need to perform for new antimicrobials investigations
20 -- predictor assistance emergence, sickness of those
21 resistant strains that spread as well as --
22 potentially causing a resistance or only predisposing

1 for resistance emergence. And this we need to do
2 before the antimicrobials are used in clinical
3 practice. And due to this -- we have also performed
4 zoliflodacin study examining gonococcal strains
5 preexisting and/or invitro selected -- zoliflodacin
6 resistant mutants.

7 And conclusions -- next slide, please.

8 Conclusions basically what I had said,
9 we need much more surveillance. I want to stress that
10 we really need to have appropriate PK data for all
11 infection sites, particularly pharynx because those
12 data we use in all these models. These examinations
13 also need to include interpatient variance that is
14 modeled for these PK data in population modeling. We
15 really need to determine and optimize the PKPD -- and
16 doses for both kill and the resistant suppression
17 while obviously avoiding serious adverse effect.

18 We need to improve the understanding of
19 a single and multiple doses as we now try to do. The
20 potential benefit depends on the PKPD drivers --
21 specific antimicrobials. And when we have a single
22 antimicrobial knowledge in regard of PKPD

1 considerations, then we can go forward trying to
2 optimize also dual therapies.

3 And finally, we think PK status as well
4 as -- infections as pharyngeal -- should ideally be
5 included in all treatment trials. Thank you for your
6 attention.

7 MS. DEAL: Thank you very much, Dr.
8 Unemo and Dr. Drusano, for this presentation. We're
9 moving on with our agenda and the next speaker before
10 the break today is Dr. Anne Jerse. And Dr. Anne Jerse
11 is a professor in the Department of Microbiology and
12 Immunology in Uniformed Services University in
13 Bethesda, Maryland. Dr. Jerse has pioneered the --
14 animal models of Neisseria gonorrhoea genital tract
15 infections, and -- gonorrhoea and chlamydia
16 coinfection, which her laboratory uses to study --
17 genesis and the spread of antibiotic resistance
18 through compensatory mutations.

19 Dr. Jerse, take it away.

20 MS. JERSE: Thank you. Good morning.
21 Can everyone hear me all right?

22 MS. DEAL: Yeah, we can hear you well.

1 MS. JERSE: Okay. Great. Okay. So
2 it's true that we've been working on animal modeling
3 of gonorrhoea for a long time. And more recently,
4 we've been trying to adapt the models we've developed
5 for product testing. Much of this work has been done
6 in collaboration with NIAID to try to accelerate
7 product development and we are really enjoying doing
8 this.

9 I have a couple disclaimers. We work
10 with a lot of companies under subawards with their NIH
11 grants or CRADAs. We also have an interagency
12 agreement with NIAID to help test products. And then
13 of course my opinions do not necessarily reflect the
14 opinions of our university, the US government or the
15 Department of Defense.

16 All right. So as you know, most STDs
17 are very host-restricted and the gonococcus is as
18 well. It's a human-specific pathogen with no outside
19 animal or environmental reservoir. And as such, it is
20 very well adapted to human mucosa. It has evolved a
21 lot of host-restricted factors and interactions that
22 ensure its survival on human mucosa. That's its main

1 place that it lives. It's the only place it is. And
2 so that's cause -- that causes a lot of obstacles then
3 when trying to develop animal models.

4 And so for lower urogenital tract
5 infection models, the only animals that have been
6 successfully infected long-term are chimpanzees, both
7 males and females, that's not used anymore for
8 gonorrhea research. And then female mice that are
9 given estradiol to stabilize their estrous cycle or
10 their reproductive cycle in the most hospitable phase
11 for the gonococcus.

12 And so I'm going to just talk a little
13 bit about what experimental murine infection looks
14 like in terms of how well it mimics a human infection.
15 It's a vaginal inoculation and the bacteria are
16 localized in the vaginal lumen. In vaginal tissues,
17 cervical tissue, and we do see them in the lamina
18 propria. So it does invade into the tissue.

19 They do replicate in vivo. We get
20 about 100 to 100,000 CFUs per single vaginal swab
21 during infection. Infection can last as long as a
22 couple weeks, depending on the estrogen used. And the

1 recovery with gonococcus is cyclical, so if you look
2 at the red line, that's the number of CFUs we recover
3 over the course of two weeks. And you see it goes up
4 and down. This has been reported in cervical isolates
5 for women and we've shown that it's hormonally driven.
6 And it also appears to be hormonal driven in women as
7 well based on work in the early 1980s.

8 In Visby mice, we have a -- influx
9 which is the blue line. And that's also cyclical,
10 also hormonally regulated. And then important for
11 vaccine development, there is a very poor adaptive
12 response and it's not protective. Mice can be
13 reinfected with the same strain, just as occurs with
14 humans.

15 So we have tested a lot of products and
16 we have a protocol. This has been pretty --
17 established by Christie Connolly [ph] in my lab. And
18 what we do is we inoculate the mice vaginally with
19 Neisseria gonorrhoea and we let them be infected for
20 two days. We take pretreatment cultures and then we
21 administer the antibiotics. We can do up to four test
22 groups in an experiment and then the positive control

1 depends on whether you want to test a ceftriaxone
2 sensitive or resistant strain. Then we can use
3 ceftriaxone or gentamicin in as a positive control,
4 and then we compare that to the product vehicle.

5 Vaginal cultures then are taken daily
6 for eight days, and so you get a number of CFUs
7 recovered over that period for individual mice, and
8 then the average for the group. So clearance rate is
9 also followed this way. And we have several strains
10 then that we have used in the mouse model. These are
11 Visby mice and they differ in their antibiotic
12 susceptibility.

13 All right. So again, we do a lot of
14 testing and there are four published reports using
15 mice then to predict the efficacy of antibiotics,
16 which are shown on the slide. One of them is a model
17 that's used by -- that Dr. Hiltke will be talking
18 about. That's the bottom one that -- mice. But I
19 think this has now become part of the preclinical
20 testing of antibiotics -- gonorrhoea.

21 And so Dr. Bachmann talked about the PK
22 studies that NIAID helped us do. This was very

1 largely led by Ann Ekon [ph] who was really helpful in
2 helping us understand this and how to do this. We
3 started with ceftriaxone. And so on the top left,
4 what you see is a dose response for ceftriaxone
5 looking at plasma levels. The MIC of the sensitive
6 strain that we usually -- FA1090 is indicated. And
7 the MIC of the ceftriaxone in resistant strain H041
8 then is much higher.

9 And so this is an antibiotic that's
10 driven by time over the MIC. And you can see it's
11 much more challenging then obviously for the H041
12 strain.

13 So when we infected mice with FA1090
14 and gave the same doses of ceftriaxone, if you look at
15 the middle panel, you have the clearance over time,
16 and then below that is the bioburden. And the green
17 color indicates the lowest dose then that cleared
18 infection within 48 hours. So if you look on the
19 right, you can see that as well. So the 5 -- per
20 kilogram at 100 percent clearance -- lower doses did
21 not. And then below that, the time required then was
22 -- or that dose was above the MIC was 23.6 hours.

1 This model was also useful for
2 predicting or helping us design treatment regimens
3 then for H041. So here we have the MIC again on the
4 upper left. And so by doing modeling -- using
5 modeling software then we could see that if you give
6 two or maybe three doses of ceftriaxone at 120 mgs per
7 kilogram, you might be able to get the concentrations
8 high enough then to clear this strain.

9 If you look at the middle panel then,
10 the only one that got 90 percent and then 100 percent
11 at 48 and 72 hours respectfully -- respectively was
12 the three-dose regimen of 120 mgs per kilogram. So if
13 you look, that's shown also on the upper right. So 90
14 percent of mice were clear then with that treatment
15 regimen. And that also corresponded to 23 hours.

16 So there seems to be a lot of interest
17 in the community in developing improved
18 fluoroquinolones. And so -- inhibitors. And so we
19 tested ciprofloxacin as well. This is not published
20 yet. And so here we have the plasma levels of
21 ciprofloxacin in the upper right. We did in parallel
22 then treatment of infected mice with these

1 concentrations below that. And the top dose, 60 mgs
2 per kilogram then cleared infection of a sensitive
3 strain, which is shown also on the top left within 48
4 hours. And that corresponded to an area under the
5 curve of 264, which is a little more like what you
6 would give someone with a complicated infection. But
7 at least we have that number and that may be helpful
8 for people who are designing treatment regimens for
9 antibiotics that are driven by area under the curve.

10 So when you're continuing to do these
11 types of studies and one of the things that is very
12 useful for us is by doing the in vivo efficacy
13 studies, we're able to identify some inhibitory doses
14 and that is helpful when trying to test adjuvant
15 therapies that don't directly kill the gonococcus, but
16 you want to give it with another antibiotic. So these
17 are really valuable data. We're also starting to look
18 at two different antibiotics at once. We have --
19 going in the lab now and we hope to have protocols for
20 testing that in the future.

21 Okay. So, as has been mentioned by
22 every single speaker, gonococcus infects many

1 different sites. And so one site of infection that
2 we're interested in then is the upper reproductive
3 tract in females. Women suffer the most morbidity and
4 mortality and we were inspired by a comment in the
5 2015 treatment guidelines by Kim Workowski and Gail
6 Bolen [ph] that there aren't many assessments of
7 treatments for clearance of these upper tract
8 infections. There's one example I've shown here,
9 Walker 1991, they looked at 108 different women given
10 broad spectrum antibiotics plus doxycycline. They had
11 either gonorrhoea or chlamydia or both with and without
12 anti-ropes. And of those that failed, all of them had
13 gonorrhoea. And that suggests that maybe we're not
14 effectively treating gonococcal PID as well as we
15 should. And this study predates the emergence of
16 resistant strains of cephalosporin. And so this I
17 think needs to be revisited.

18 And so obviously one of the things that
19 might be happening is the bioavailability might not be
20 as good in the upper tract and that literature that
21 helps us think about this that I know of is women that
22 undergo prophylactic antibiotic treatment before

1 hysterectomy, they've done studies to show that while
2 plasma levels may be the same, there's differences in
3 levels in the upper tract, in the endometrium
4 particularly. And they may be not high enough then to
5 kill STD pathogens.

6 So there are no upper -- there weren't
7 any upper tract models for Neisseria gonorrhoea until
8 we -- I'll show you the one that we've just published.
9 And so we're hoping that we'll be able to use that
10 then to look at this better.

11 Two other considerations for women is
12 pregnancy, and so there are many physiological changes
13 during pregnancy that can affect how well antibiotics
14 profuse into the uterus. So there may be a pregnant
15 mouse model would be useful. And then I can't find
16 anything in antibiotic bioavailability with respect to
17 the menstrual cycle. I think that's another
18 understudied area.

19 So here's our upper tract model. This
20 should be in JID maybe by -- by fall. And so what we
21 figured out after years of trying is that the
22 gonococcus doesn't grow in the endometrium because it

1 doesn't have a usable iron source. And so we're
2 giving my human -- and there are also trans -- mice
3 out there. And you can see on the left, the vaginal
4 swabs -- mice that got human transferrin have a higher
5 colonization load, but it's not critical for infection
6 because the untreated mice also are colonized, which
7 we already knew. But then if you look on the right,
8 you can see that we now get endometrial cultures for
9 as long as seven days, even ten days in our newer
10 studies. And they also can be recovered from the
11 oviducts. And so our plan is to do pharmacokinetics
12 with this model and in vivo efficacy studies to see if
13 we can get this established -- we're looking at this
14 body site of infection.

15 Hand in hand with this are chlamydia
16 gonococcal coinfections. Dr. Bachmann talked about
17 this. They are very common. They really, really need
18 to be considered when developing drugs. And they are
19 in fact listed as a goal in the target product profile
20 that Emily Allorel [ph] published in 2017. So dually
21 active agents I think are coming -- coming into the
22 pipeline.

1 And we have a couple models of
2 coinfection. We use chlamydia muridarum because that
3 better mimics the disease that occurs in humans and
4 nice. This is one that we published years ago where
5 we pre-infect the mice with chlamydia and then give
6 them Neisseria gonorrhoea. And the reason I think it's
7 really important to look at coinfection with testing
8 drugs is in this model, we get a higher number of
9 gonococci recovered from mice that have chlamydia. So
10 look at the blue line versus the red line. And that
11 has been reported in a study on adolescent girls who
12 were infected with chlamydia, gonorrhoea or both. And
13 so we think as a target that you're looking for, it
14 may be higher than in a coinfecting host.

15 So it's really important to look at
16 upper tract infection with these pathogens and we can
17 now, with the human transferrin protocol, infect the
18 endometrium and oviducts with those pathogens.

19 Recovery of Neisseria gonorrhoea is on the left and
20 chlamydia's on the right. The open symbols in both
21 cases are just the single pathogen and the closed are
22 the coinfecting pathogens. And so hopefully this will

1 be useful.

2 As a first step towards doing this, we
3 have given -- established coinfection and given them
4 just ceftriaxone and doxycycline. A and B is recovery
5 from the lower tract. It's pretty good. Day three
6 and day five -- inoculation. And then the upper
7 tract, we were able to clear both infections and we
8 need to continue this, but this is one way that we're
9 going.

10 So unfortunately, there isn't an
11 extragenital tract infection model yet. So pharyngeal
12 model -- the pharynx I think has many more host-
13 restricted -- human transferrin alone doesn't help.
14 We've given human factor H, that doesn't help. We
15 think colonization receptors are important and we are
16 working on this. There's some hints from the
17 meningeal coccyx carriage literature of what receptors
18 might help. And so I don't know, but maybe we can get
19 that -- that going, or another lab can.

20 Rectal infections have been
21 unsuccessful. We've tried -- just not gone anywhere.
22 And then there's disseminated gonococcal infection,

1 which is on the rise. And there are some models just
2 looking at bloodstream -- recovery from the
3 bloodstream, but nothing goes from the epithelial site
4 -- site into the bloodstream yet, but I think as we
5 understand those restrictions in different body sites,
6 that maybe one day we can get something like this
7 going.

8 So in summary then, my time's up, so it
9 is indeed a work in progress. We I think have made
10 progress in upper tract infections. We now have a
11 coinfection model that will be available and we're
12 working on extragenital tract infection models. And
13 these are all the people I need to thank. This was
14 NIAID together with Walter Reed [ph] and our group at
15 -- is Dr. Connolly who's critical for these studies.
16 And then Michelle Colleguia [ph] and Clara
17 Constantinople [ph] have worked on model development,
18 the upper tract and the coinfection model. Thank you.

19 MS. YASINSKAYA: Thank you so much, Dr.
20 Jerse. And Dr. Jerse's presentation today completes
21 half of our morning session. We're ready to take a
22 break. We're going to be on the break until 10:55.

1 Please come back at 10:55 so we can continue on with
2 our agenda. Thank you very much.

3 MR. BERNSTEIN: Thank you all for
4 returning after the break. Our next speaker is Dr.
5 Tom Hiltke and he is the chief of the STI section in
6 the Enteric and Sexually Transmitted Infections Branch
7 at NIAID. He's also the program officer for the STI
8 therapeutics and vaccine grant portfolio within the
9 branch.

10 I'll pass it over to Dr. Hiltke.

11 MR. HILTKE: Thank you, Kyle. Thank
12 you everyone for attending this session. I'm going to
13 talk to you today about preclinical efforts to support
14 gonorrhoea drug development. I'm not sure if my camera
15 is working because I can't move a little -- a little
16 box here to -- to maybe preview it, but if it isn't,
17 it's your loss.

18 So I work in NIAID, but I am part of
19 the Division of Microbiology and Infectious Diseases -
20 - it's, well, called DMID -- and I was asked today to
21 present to you our efforts in the offering preclinical
22 services -- DMID preclinical services to developers of

1 -- of antigonorrhoeic therapeutics.

2 So in order to do that, I thought I'd
3 first just outline and show you the graphic
4 representation of all the support that DMID has to
5 reduce the risk for product development of therapeutic
6 agents. On the backdrop of this slide is the product
7 development pipeline. And you see on the top in these
8 blue boxes from left to right, the initial hit to lead
9 optimization going through preclinical phase one and
10 phase two and so on.

11 Looking at the boxes below that, just
12 point out that we have -- DMID offers a large
13 portfolio of grants for the research on product
14 development -- therapeutic product development. Many
15 different grant types, and these span from the initial
16 basic research in -- identification all the way
17 through phase two, clinical trial support.

18 Next under that is a green box. This
19 is the product development contracts. These are
20 contracts that are to product developers. They come
21 through a funding opportunity known as the broad
22 agency agreement. Broad agency agreements are offered

1 or issued by DMID usually on a yearly basis and often
2 times they are concerned with antimicrobial
3 resistance. And often times they're also concerned
4 with therapeutics development, so something I could
5 talk to someone -- anybody who wants to go over any of
6 these funding options after the meeting, if they want.

7 The next two green boxes are the what
8 we call collectively all the services that DMID
9 offers. The preclinical services, which I'm going to
10 talk to you in more detail in this talk and then we
11 offer phase one services and phase two -- phase one
12 services through our new IDCRC program, which if you
13 know anything about DMID, this replaces our initial or
14 original BTEU clinical trial services. I can, again,
15 talk about that with anybody. I'm not going to touch
16 upon those services -- clinical services at the moment
17 in this talk.

18 Just a note that the preclinical
19 services and the phase one IDCRC services are services
20 where we access contracts and we perform the work on
21 behalf of a product developer. In contrast to the
22 product development contracts and the grants where we

1 provide direct funding to the product developer and
2 they use -- and they use those funds to develop their
3 product.

4 Lastly, I have CARB-X written there.
5 CARB-X with an arrow. That signifies that we at DMID
6 don't put money into CARB-X. The agreement we have
7 with CARB-X is that we preferentially prioritize
8 services for product developers who have one CARB-X
9 projects.

10 And so if you look on the very right
11 then, our whole goal is to de-risk product development
12 such that we can help companies or developers bring
13 products to late-stage development partners such as
14 BARDA, DOD and industry.

15 We'll drill down a little bit more on
16 the preclinical services. I took this from another
17 set of slides on the preclinical services, so it still
18 has the -- another depiction of the product
19 development. In this case, it's an arrow and it shows
20 how we cover all facets of the product development
21 arrow with our services. But this -- this slide has a
22 nicer list of the characteristics of the service.

1 So DMID as I kind of showed in the last
2 slide supports extra -- research to control and
3 prevent disease causes by virtually human infectious
4 agents. If HIV, we have another division for HIV as
5 you know. The -- preclinical service program provides
6 broad based services wherein preclinical product
7 development, we provide project and product specific
8 data, provide difficult to source -- research
9 reagents. Try to facilitate basic research through
10 all phases of the preclinical development pathway.

11 The two I have on are probably the most
12 important that I have of the characteristics here
13 bolded, are the last two. And we really feel that
14 these services are intended to be gap filling. As the
15 first statement here says, "We are really responsible
16 for almost all human infectious agents." So we really
17 don't have the funds to fully fund the development of
18 a -- the preclinical development of a product. And
19 what the whole intent is is that we will work closely
20 with you to define the gaps, what are the things that
21 are holding you back that we could fill to move your
22 product along.

1 And then the last one is something that
2 we just get -- you always get questions about is there
3 is no need for preexisting or -- or past NIAID or NIH
4 funding to access preclinical services. And also
5 preclinical services are -- are available to non-US
6 entities.

7 To drill down a little bit farther,
8 this is a graphic pictorial representation of the
9 preclinical services. I didn't mention this before,
10 but we also -- we both use both therapeutics and
11 vaccines are covered in our preclinical services. And
12 so the right-hand side -- right-hand corner of this
13 slide we can ignore for this particular talk.

14 And so if we focus in under the bar of
15 therapeutics, we have broken up the preclinical
16 services into large chunks and these are -- these are
17 then chunks that are actually representative of
18 individual umbrella contracts -- and I'll get into
19 that structure in a minute in the next slide. But
20 these umbrella contracts fall under these categories -
21 - invitro assessment of antimicrobial activity and
22 interventional agents, pharmaceutical products and

1 chemistry manufacturing and controls documentation per
2 IND. So these are the major foci of the umbrella
3 contracts.

4 And you'll note that we even go to
5 large ticket items such as G&P in this -- in these --
6 in these contracts.

7 If you look all the way over to the
8 right then, we feel that this is the full suite of
9 capabilities to address -- key caps in your product
10 development.

11 Underpinning all of the therapeutic and
12 vaccine contracts or services is what we call the
13 research resources, which is mainly composed of the
14 preclinical models of infectious diseases. And these
15 are mostly animal models, as you can see by the
16 pictures. And then the all-important BEI resources,
17 which is another research resource which most of you
18 are probably familiar with -- which is the very large
19 repository for -- for -- for DMID.

20 So drilling down even a little bit
21 farther, this is what the pharmaceutical product -- I
22 just chose this one. The pharmaceutical --

1 biopharmaceutical product services umbrella contract
2 looks like. We call them umbrella contract because
3 this contract was -- after a series of contractors
4 were admitted to a pool. And so these contractors
5 provide all of the services that you see in the green
6 box.

7 We use a task order system, so for
8 example, someone like me who is interested in helping
9 one of you out for providing a services for your
10 development of your product, we would put a task order
11 for process development. For example, it's that
12 center -- it's that center green box there. Our pool
13 of contractors can bid on -- on that particular task
14 order. Once we award the task order, then we
15 introduce you and you work intimately with the -- with
16 the contractor in order -- who will provide the
17 service for you.

18 What you get in return, of course, is
19 the final and official -- final report and the
20 complete dataset.

21 So you see pharmaceutical product
22 services via product development and planning --

1 development and process development, G&P
2 manufacturing, regulatory CMC documentation and
3 support.

4 I have a similar slide on the
5 interventional agent services. This is something that
6 would be a little farther to the left if you look at
7 the product development pipeline. And these are
8 services where we can help you with lead
9 identification, development, chemistry, medicinal
10 chemistry, manufacturing, invitro and in vivo
11 preclinical safety talks, PK, preclinical development
12 and planning. We do offer PDP development or -- to
13 those who are really just starting out in the -- in
14 the -- in the product development space.

15 So I'm going to have two slides on
16 individual task orders that are specific for Neisseria
17 gonorrhoea. As you can imagine, the last couple slides
18 there were just for general therapeutic development.

19 The first one is Neisseria gonorrhoea
20 MIC testing task order. This is in the invitro
21 assessment of antimicrobial activity umbrella
22 contract. The service or the task order itself

1 consists of 100 clinical isolates from the CDC DISK
2 [ph] program which was described in a previous talk.

3 And we have -- these are strains that
4 are -- are recent strains. They have Y-geographical
5 diversity, but only within the US since this is --
6 these are from the DISK program. And they have
7 diverse antibiotic sensitivities. Although I do point
8 out that -- because I get this question a lot -- we
9 currently don't offer a true ceftriaxone resistant
10 isolate in this panel because it hasn't come up in the
11 US yet. We hope to get one if one does, but we do
12 have what would be considered the decrease
13 susceptibility isolates at .125 for ceftriaxone.

14 This -- we employ the CLSI outer
15 dilution method along with your dilutions of your
16 product, you get six control antibiotics listed here.
17 And the timeline for this task order is you get your
18 full report two months from the receipt of the
19 compounds by the contractor.

20 Sorry. The other one is the --
21 gonorrhoea infection model task order. This comes
22 under our preclinical models of infectious disease

1 umbrella contract. As Anne even mentioned, we are
2 currently using the overoptimized -- estradiol treated
3 -- model. This model is similar to that of what Anne
4 described, except that the mice a couple of weeks
5 before use are -- the ovaries are removed. This
6 allows for when estradiol is given to the mice, that
7 essentially 100 percent of the mice will be locked
8 into the appropriate stage of the cycle that is
9 permissive for gonorrhoea infection.

10 We use only -- so far right now --
11 we're new to this model. We're only using the FA1090
12 challenge strain. Typical experiment you can get is
13 up to 10 groups of 5 mice per group. There's a
14 baseline group that is sacrificed at two hours and
15 those are used to determine dose. And then
16 ceftriaxone positive control, usually a vehicle
17 negative control group and then the rest -- the seven
18 other groups you can choose as you will. You'll work
19 closely with the contractor to setup the treatments in
20 those groups. This only has one timepoint which would
21 be -- counts after 26 hours post-challenge.

22 So for these services, if you're

1 looking for services specifically for a gonorrhoea
2 therapeutic or something related to gonorrhoea, or any
3 -- any STI besides HIV -- I recommend that you either
4 contact me -- and I'm sorry. These things are yellow,
5 but I'm sure you'll get a copy of these slides and
6 you'll have my email. Or you would contact -- Kim
7 Murphy [ph] who is our branch's product development
8 specialist.

9 For any other information on the
10 preclinical services, these are the catchall emails
11 for those umbrella contracts that I showed you in the
12 previous slides. And then there's a preclinical
13 services website that you can access for -- for more
14 information.

15 So finally, I would just end with one -
16 - one item. I was also asked if I can comment on new
17 tools for antimicrobial resistant gonorrhoea and
18 therapeutic development. And I -- and I -- and I
19 think we got a thorough -- in the last several talks,
20 we got a thorough education on what I think is the new
21 and important innovations and research going on now
22 for the development of new tools as far as PK,

1 hollowfiber, looking at doses, using the animal model
2 to abridge PK from animals to humans. So I think
3 that's probably the most important tools that are
4 coming out for drug developers to use.

5 So the only one thing I could think of
6 that wasn't covered in all those and I just want to
7 bring your attention to Jeff Klausner's recent study
8 on resistant guided treatment for gonorrhoea. This is
9 where he showed the proof of concept that you could
10 use PCR assays or genetic assays to determine --
11 insensitivity and provide that information in real
12 time to -- to guide treatment of gonorrhoea patients.
13 And so I suggest you take a look at that.

14 And then what most -- in that same vein
15 is this rapid diagnostic for gonorrhoea. Federal -- 19
16 million federal prize. That was issued to Visby
17 Medical [ph] and Visby Medical was nice enough to show
18 -- give us a picture of their prototype device. And
19 if you can see that there, it's based on their PCR
20 platform, point of care diagnostic where within 30
21 minutes, you could get the results on a swab for a
22 positive for gonorrhoea and sensitive to ciprofloxacin

1 to guide treatment of -- of gonorrhoea.

2 So I just wanted to point that out,
3 that I think this is -- on the horizon, this is
4 something that is going to happen. We're putting some
5 funds and support into these types of diagnostic point
6 of care platforms.

7 Going also beyond genetic determination
8 susceptibility. The technology's growing where we'll
9 probably have -- we'll probably have phenotypic
10 determination of sensitivities in a point of care
11 device.

12 And that's all I have. Thank you for
13 listening to my talk.

14 MS. YASINSKAYA: Thank you, Dr. Hiltke.
15 We are moving onto the next presentation by Dr. Erin
16 Duffy. Erin Duffy is the chief research and
17 development at CARB-X. CARB-X is a global nonprofit
18 partnership dedicated to -- research to tackle the
19 global rising threats of -- welcome, Dr. Duffy. Take
20 it away.

21 MS. DUFFY: Thank you very much. As
22 was just said, CARB-X is a global not-for-profit

1 organization funded by the US, the UK and the German
2 governments, as well as the Wellcome Trust and the Bill
3 and Melinda Gates Foundation.

4 Our vision is life-saving innovation to
5 keep the world prepared for dangerous bacterial
6 infections. Our mission then is to accelerate a
7 diverse portfolio that will prevent, diagnose and
8 treat -- or treat bacterial infections. And our goal
9 is to progress those products towards clinical
10 development and -- and regulatory approval.

11 In addition to funding, we support
12 these programs with a large network of external
13 experts, subject matter experts and cross project
14 initiatives.

15 As said here on the slide, we do focus
16 on the AMR threats identified by both the WHO and the
17 CDC.

18 To date, we have funded 85 projects
19 since inception, which was 2016. Presently, we have
20 56 active projects across the three pillars of
21 treatment, prevention and diagnosis. We've deployed
22 or obligated a little over 300 million dollars towards

1 those programs. These programs come from all over the
2 world and have represented 11 countries. We've had
3 eight project graduates for therapeutics and
4 prevention. That means the successful completion of a
5 first inhuman program, and for rapid diagnostics it
6 means successful completion of verification and
7 validation.

8 Two of our projects have gone onto
9 receive contracts with BARDA -- advanced development
10 contracts -- and of course that's our goal, not to
11 end, you know, at first inhuman, but rather to bring
12 these products all the way to patients.

13 Our portfolio is large and -- and
14 certainly scientifically diverse. Today it represents
15 34 therapeutic products. So these are largely either
16 new classes or new classes with a novel mechanism of
17 inhibition. It also comprises a number of non-
18 traditional approaches.

19 We have 13 products in prevention.
20 This covers not only vaccines, but antibodies, live
21 biotherapeutics, phage -- both engineered phage and
22 also phage uses delivery vehicle and small molecule

1 programs.

2 Finally, just yesterday I believe we
3 announced the ninth program -- active program in rapid
4 diagnostics.

5 Okay. So among of course the programs
6 or the bacteria that we do focus on is in fact
7 *Neisseria gonorrhoea*. In our treatment portfolio
8 today, we have three active programs focused on
9 *gonorrhoea*. I'll just take them from left to right.
10 We have a program that is focused, of course, on
11 membrane biogenesis by inhibition of the -- ACP --
12 fatty acid biosynthesis enzyme. This is a program
13 from Debbie O'Farm [ph]. The program is at the
14 preclinical stage and the molecule is noted as W1453.

15 In the middle, we have a program with
16 microbotics. This is focused on trans-translation
17 which is main ribosome rescue pathway and bacteria. I
18 think there was a recent paper disclosed -- or recent
19 molecule disclosed as exemplary of this program and
20 nature in communications. This is a new class and a
21 novel mechanism of inhibition, of course of a very
22 highly validated target for antibiotics. This is an

1 early-stage program and we are very excited to be
2 advancing it with them.

3 Finally on the right is a program by
4 Venatorx. This is a program looking to block cell
5 wall synthesis by binding to the bacterial penicillin
6 binding proteins in Neisseria gonorrhoea. The molecule
7 is a cyclosporin A and this is also an early-stage
8 program. I should mention as well that all three of
9 these programs do have the option for an oral form.

10 We also very recently have announced
11 our first program focused on gonorrhoea in the
12 prevention space. Of course, this is the native outer
13 membrane vesicle program of the Jenner Institute and
14 Oxford University. Of course we heard earlier today
15 about bexsero and -- and, you know, somewhat
16 importantly, this approach of course is -- risk
17 because of that work. And so we're very much looking
18 forward to advancing this with them. It is in the
19 lead optimization stage.

20 And then finally, building on Tom's
21 comments at the end of his talk, we do also support
22 two programs today that are focused on diagnostics for

1 gonorrhoea. We recently announced a partnership here
2 with novel micro devices. This is a rapid point of
3 care molecular diagnostic program. Multiplex nucleic
4 acid amplification technology, plus detection of
5 resistant markers, "decipro" and third generation
6 cephalosporins from vaginal swabs and urine. A neat
7 thing about this is it's battery powered, which you
8 know should serve to, you know, broaden its use not
9 only from high income countries, but also low- and
10 middle-income countries. It's rapid turnaround and of
11 course it employs microfluidic technology.

12 On the right is a program from Talis
13 using slip chip technology. The neat thing about this
14 is it's a disposable cartridge containing all the
15 reagents necessary for isolation and purification
16 through amplification and detection. So here, we're
17 looking at bacterial ID and phenotypic AST with a
18 rapid turnaround.

19 I want to mention that CARB-X is a lot
20 more than funding. I said this on the first slide.
21 And so we like to call these acceleration activities
22 or acceleration themes. And in here I listed many of

1 them, so there's -- there's work that we do on the
2 pre-award side to help applicants prepare for a
3 successful transition into CARB-X. Once the programs
4 are in CARB-X, of course, we surround them with a
5 strong company support team. That does include
6 subject matter experts. We have about 150 that span
7 the range of breast -- and depths of expertise
8 necessary for discovery in early development of these
9 products.

10 We also support them through an
11 internal RND team that we've built in -- in just the
12 last few years.

13 I've highlighted here something called
14 cross project opportunities and I'll describe that on
15 the upcoming slides, particularly how it relates to
16 our gonorrhoea efforts. And of course we do have and
17 are constantly looking to build or accelerate to our
18 network that, you know, is geographically diverse and
19 helps our programs both in terms of business and also
20 scientific pursuits.

21 So in terms of these cross-project
22 opportunities -- and I should say these are led by my

1 colleague, Richard Alm [ph], who's a leader in our RND
2 team internally at CARB-X. The goal is to identify
3 and fund areas where CARB-X can accelerate the
4 portfolio. So this is not to -- you know, when --
5 when product developers experience problems, there are
6 often common themes there. And rather than build
7 individual units of work to ask questions about, you
8 know, existing pools of resistance or anagenic
9 conservation or, you know, challenges with toxicology.
10 Rather than to do this in every one of these programs
11 and learn and relearn the same themes, let's take a
12 step back as CARB-X and identify some common themes,
13 build the research plan around that and either sole
14 source it or work among our network. Work with our
15 colleagues at NIAID to try to bring a work product
16 forward that we can share not only with our product
17 developers, but also with the greater ecosystem.

18 So there are a variety of projects that
19 are underway. Today, we have one looking at
20 preexisting resistance. This is for our treatment
21 portfolio that does include looking at contemporary
22 isolates of gonorrhoea. We're doing this in

1 conjunction with IHMA and -- and, you know, our
2 product developers are taking advantage of that. Also
3 looking in the prevention space of anagenic
4 variability.

5 We also have programs focused on key
6 safety risks. So we do have a number of peptide
7 programs in the portfolio. And there, of course, a
8 concern is nephrotoxicity. Not isolated to peptides,
9 but -- but certainly known there. And we are working
10 with the University of Queensland in order to
11 determine whether there is a suite of invitro and/or
12 in vivo preclinical models that would be helpful in
13 terms of putting into -- flow downs for different
14 programs to most expeditiously determine an advantage
15 in nephrotoxicity.

16 We have been heavily engaged with our
17 colleagues at NIAID in terms of discussing improved
18 animal models of infection. This includes both
19 Neisseria gonorrhoea as well as urinary tract
20 infections.

21 So let me just get to some thoughts
22 here on animal models and infection. It was really

1 nice to follow this morning's talks because, you know,
2 in a way, Anne Jerse answered a lot of questions here.

3 So, you know, for us as we know, you
4 know, what we have been focused on as a community is
5 this so-called time therapeutic or therapeutic time,
6 you know, which has emphasized the number of hours
7 drug concentrations are over the MIC90 or some
8 mathematical multiplication of that. However, of
9 course, as we know for drugs that aren't driven by
10 time, there hasn't been an understanding of -- of what
11 the particular drivers should be. So it was great to
12 see Dr. Connolly and Dr. Jerse's publication of
13 ceftriaxone -- and the results there. It was great to
14 hear that there's been some activity looking at the --
15 at least the ciprofloxacin. And what we want to
16 encourage and get involved with is really then
17 building this picture out for the multiple classes and
18 examples therein that have been either used clinically
19 or studied more recently clinically so that we can get
20 a very good understanding of both the tie of efficacy
21 and PK among several strains so that we can best drive
22 these programs forward.

1 Hollowfiber's terrific and there was --
2 it was great to hear Dr. Unemo and Drusano's
3 presentation today, but certainly early in discovery,
4 a more cheap and cheerful animal model that we can
5 understand the key endpoints and how to drive programs
6 forward is very much in our interest.

7 And so with that, I thank you very much
8 and I'm looking forward to the rest of the day's
9 talks.

10 MR. BERNSTEIN: Thank you. Our next
11 session is two speakers. And -- sorry. We have two
12 clinical doctors -- sorry -- who are coming to speak
13 next. First, we have Dr. Hilary Reno who is an
14 associate professor at Washington University in St.
15 Louis, in the Division of Infectious Diseases with a
16 focus on sexually transmitted infections and HIV. And
17 Dr. Reno will be followed by Dr. Candice McNeil who is
18 an associate professor at Wake Forest University,
19 School of Medicine, in the Department of Medicine
20 section on infectious disease.

21 Dr. McNeil is also the site principal
22 investigator for the Wake Forest STI Clinical Trials

1 Unit and the CDC-funded North Carolina Strengthening
2 the US Response to Resistant Gonorrhea or SURRG site.

3 Thank you and the floor is yours, Dr.
4 Reno.

5 MS. RENO: Thank you. I'm going to
6 confirm that you can hear me.

7 MS. DEAL: Yes.

8 MR. BERNSTEIN: Yes, we can.

9 MS. RENO: Great. So thank you very
10 much for having myself and Dr. McNeil discuss the
11 environment in STI clinics as pertaining to the
12 clinical trial recruitment of patients.

13 Our motivation is that research and
14 recruitment should center the people that we serve.
15 To inform that goal, I'm going to review the evolving
16 nature of STI clinics as well as the impact of
17 expanded care models on patient recruitment and these
18 new clinical environments that we find ourselves in.

19 Dr. McNeil and I will present two case
20 studies by exploring the successes and challenges we
21 have observed at each of the traditional STI clinics
22 that we direct. Dr. McNeil will then examine the role

1 and continue the engagement and summarize our point.

2 So STI clinics or sexual health
3 clinical settings are evolving environments, even
4 outside of the COVID pandemic. Traditional STI
5 clinics see a high volume of patients, usually from
6 underserved communities -- changes, funding
7 availability and other factors mean that STI clinics
8 know how to be adaptable. And of course that's been
9 very useful in this past year.

10 STI clinics are increasingly using
11 innovative care models to increase services for our
12 patients, and those are listed here. We're going to
13 hit on each of them a little bit.

14 So sexual health clinics have been
15 using express visits to reduce wait times and increase
16 number of patients seen for many years. I'm going to
17 go through express visits in a little more detail in a
18 moment, so put a pin in that one.

19 STI clinics also can offer PREP and PEP
20 services. And these patients may be a group that
21 return regularly to the sexual health clinic for care.
22 Of the 31 clinics that were recently engaged in a

1 training and technical assistance program by the
2 National Network of Prevention Training Centers, all
3 but 1 of 31 clinics offered at least a PREP assessment
4 and referral. And 22 or 77 percent offered PREP by
5 prescription for patients seen in the clinic.

6 Patients that follow-up with the same
7 clinics will have frequent STI testing, and perhaps
8 this could offer another population of patients for
9 trial recruitment.

10 With the pandemic, we also saw that
11 telehealth was a hot topic and is being used by sexual
12 health clinics. I don't think we know how telehealth
13 would impact patients potentially for recruitment, but
14 it will be interesting to follow that.

15 So each of these services influences
16 how long a patient is at clinic, how familiar they are
17 with our clinical studies, etcetera. And therefore,
18 their availability and willingness to participate in
19 trials.

20 So let's take a closer look at express
21 visits. So express visits are a triage-based STI
22 testing without full clinical exam. So this is not --

1 they don't look universally the same from clinic to
2 clinic, but this is the pretty important principle.
3 That there's not this physical clinical examination
4 occurring during these visits.

5 So at first glance, I really thought
6 express visits might be a hindrance to recruitment for
7 trials. My clinic currently, 15 percent of our visits
8 are express visits and there's some clinics that could
9 certainly have a higher percentage of visits using
10 this pathway. But after thinking about it for a
11 while, I actually think patients that use them might
12 present an opportunity and not a missed opportunity
13 for patient recruitment.

14 With express visits increasingly used
15 in clinics, do remember that there's lack of a -- in
16 these visits because the physical exam wouldn't have
17 been performed. But on the other hand, they have not
18 received preemptive treatment until their test comes
19 back. So this is an opportunity to give patients info
20 on current trials running in the clinics, but they
21 also may be having limited staff contact. So a lot of
22 this would have to be automated and looking at

1 pamphlets and things that they could read.

2 But again, these patients usually would
3 not have received treatment that day and would be
4 called back for treatment, which could present a
5 population for recruitment in treatment trials.

6 So the -- I kind of already hinted of
7 the impact that the pandemic has had on our services,
8 but I think we need to take a little bit of a closer
9 look at this. We have evidence in the St. Louis
10 region that actually the pandemic has resulted in a
11 few traditional STI health department clinics closing
12 for most of the past year. So where -- how they open
13 and reopen, we're really not sure yet. Other clinics
14 though have remained open, modified their services and
15 are adapting and exploring some of these other models
16 of care. You can see from the same initiative with
17 the NMPTC looking at 31 clinics that a number of them
18 already offered express visits, but it did go up by
19 one clinic during February/November 2020. But you saw
20 a really big increase in telemedicine services, noted
21 in purple there.

22 In addition, clinics were starting to

1 explore off-site testing and self-collection more.
2 And so that could potentially impact recruitment as
3 well. You can see where more clinics were offering
4 HIV off-site testing and STI off-site testing. Excuse
5 me.

6 Another impact of the pandemic was
7 unfortunately seen in decreased patient volumes. Dr.
8 McNeil will show you her data, too, but this is from
9 my -- the St. Louis County sexual health clinic.

10 Before COVID, we were seeing over 500 patients a
11 month. And then we had a definite decrease in the
12 height of the first peak, but we have yet to really
13 recover and are still running at about 35 percent
14 decreased patient volume. I'm sure the reasons for
15 this are really complex and we are trying to target
16 ways of increasing that, but that might be something
17 that sites are going to have to adjust for.

18 Some added challenges because of the
19 pandemic is having enough space to see patients, and
20 therefore, that might affect patient volumes, too. We
21 do need some spacing out in lobbies and in waiting
22 areas, in addition, you know, we're trying to space

1 out the number of clinicians potentially in a room.
2 Though certainly vaccination helps with that. Also
3 the PPE supply was really tight in the beginning.
4 This has gotten better, but on the flip, we're not so
5 sure how much time PPE is really going to be necessary
6 for our staff.

7 We also have experienced drug and
8 treatment kit shortages that have hopefully largely
9 resolved. And then we can't forget the staff that
10 work in these clinics and how they've worked very,
11 very hard while balancing everything else going on in
12 their lives -- kids at home, kids supervised/not
13 supervised, family members being sick from COVID, and
14 them -- they themselves acquiring COVID. So staff
15 exhausting is definitely a factor that we need to
16 consider in STI clinical environments.

17 So for my case study, I'm going to
18 cover some basics in the St. Louis County sexual
19 health clinic, which I've directed for almost 14 years
20 now. St. Louis metropolitan statistical area -- it's
21 a rate of about 280 cases of gonorrhea. It also
22 experienced a 50 percent increase in this rate from

1 2014 to 2019. So our clinic is staffed for a high
2 volume of patients -- a quick turnover. There's not
3 much wiggle room in how we do things, whether that be
4 space or patient flow.

5 This is an example of our patient flow.
6 You can see that there's multiple steps along the way
7 -- arrival, registration. We're very well versed in
8 how much time people spend in each of these. And I
9 will tell you that the arrows in yellow would be the
10 steps that would be removed if a patient was being
11 seen for express visits. They would go from
12 registration to instructions by an MA and straight to
13 blood draw, and skip those other -- other stages,
14 which also obviously means they don't have a -- so
15 that kind of gives you an idea of what our flow looks
16 like.

17 We have two clinicians at a time, seven
18 to eight staff all in our little -- all in our little
19 space. And they're very used to this, but also
20 anything that upsets the flow can make times be longer
21 and can upset their patterns of taking care of the
22 patients.

1 So in the previous years when we have
2 recruited for trials both in diagnostics and treatment
3 modalities, but also social science studies, we've
4 seen a lot of success. The enthusiastic interest --
5 interest from the patients has been obvious and the
6 willingness to discuss the trials has certainly never
7 been a barrier.

8 There is -- we do have lots of space
9 for project equipment, which is nice -- making it
10 easier on researchers. But we have found that studies
11 with one visit are the most successful because of some
12 of the challenges. So -- especially if patients need
13 to follow-up in our off-site research center,
14 transportation is a real challenge here for our
15 patients and often patients are lost along that way.

16 There's also a culture in our clinic
17 that the staff are -- don't want how -- don't want our
18 effective flow to be disrupted. And so any project
19 that's brought in could present a challenge in staff
20 adaptability because of that.

21 We also are very sensitive to the fact
22 that the populations that we see and the people that

1 we serve are populations in which there has been quite
2 a bit of trauma. Not just recently in their
3 communities, but also medicine-linked and medical
4 care-linked trauma. And so these -- all these things
5 have to be considered with recruitment issues.

6 So with that, I'm going to hand off to
7 Dr. McNeil.

8 MS. MCNEIL: Thank you, Dr. Reno. All
9 right. So I'm going to be doing a review of our
10 trials unit in Winston-Salem. Our STI trial pub is in
11 Greensborough, North Carolina, in Guilford County.
12 This is located in the Piedmont Triangle area in close
13 proximity to several large medical centers including
14 three emergency departments and a women's hospital are
15 part of our rapid detection response network.

16 So we also have our academic medical
17 centers, which are also nearby as well of which there
18 are several in our area. And we're talking about a
19 high-clinic volume setting. In 2019, there were over
20 10,000 visits, and near 1,000 teen clinic visits with
21 approximately 60 percent of those visits were women
22 seeking care.

1 The gonorrhoea morbidity is also high
2 and our rates in Guilford County are about 427 per
3 100,000, which represented a 30 percent increase from
4 2015.

5 Notably, disparities in wealth and
6 access to care drive morbidity. And our trials unit
7 is embedded in this structure and there are a number
8 of studies that we have ongoing and our team works
9 really collaboratively with the Guilford County staff.

10 Multiple timepoints staff have the
11 opportunity to present research to the clients. And
12 with express interest, our trial coordinator has moved
13 into the visits to begin consent and enrollment
14 procedures.

15 Now we have some baseline challenges
16 that exist, and these include helping providers
17 understand the role of trials in clinical settings. A
18 length of a visit can be extended significantly
19 depending on the type of study. And then there's an
20 access to appointment issue that is clinical and one
21 that can involve the research activity, and the stigma
22 that is associated with research in some marginalized

1 populations.

2 So then the pandemic hit and there were
3 huge disruptions in clinic flow. And with that,
4 trials activities, they had to stop. While we worked
5 along really closely with our public health partners
6 in support of the mission that was going on then. And
7 then we had a slow start and then a go, but this
8 research front was a lot different than what we had
9 seen in 2019.

10 And in fact, like Dr. Reno mentioned,
11 we were seeing decreased patient visits. And then
12 there was also the issue that we were dealing with
13 where we were seeing less -- less detection taking
14 place. So less gonorrhoea tests were being performed.

15 Baseline challenges, you know, with
16 COVID, they were quite pronounced. And there were
17 transportation issues before that got even worse
18 during COVID. Then we had limited appointment
19 availability. We had shortages. Lots of shortages.
20 And there was fair as well.

21 So some successes that were worthwhile
22 highlighting during this time, our research team was

1 very well integrated into the public health structure,
2 and then we also had a strong commitment from the
3 county leadership. So we were able to still continue
4 to do the work that we were charged to do.

5 And then we had our strong
6 relationships with our academic partners that were
7 there. We had a team that was reflective of the
8 community that we served, and we continued to work for
9 the team to meet the -- that we had in mind.

10 And one way that we did this -- and,
11 you know, I like to highlight this is we -- we had a
12 side champion. We had several. And we all need a
13 champion sometimes. So this champion was somebody who
14 was interested, committed and motivated to work within
15 the organizational structure. We have used provider
16 champions in our STI clinic with an advanced
17 practitioner. We also have used an STI champion in
18 with our rapid detection response program through our
19 emergency department and found this to be key to
20 really succeeding as a site. And so I would encourage
21 you to consider adopting such opportunities in your
22 organization.

1 And investment -- so investment in your
2 workforce, invest in your research site are really
3 important. And consider this to be a long-term
4 commitment to support not only current, but future
5 research activities. And with us, that included
6 mentorship opportunities. Through having a
7 educational unit embedded in Guilford County, we were
8 able to have those supportive networks of counseling
9 on really complicated cases and such things with our
10 health department colleagues. We had access to
11 resources that were useful for research including
12 language technology and other advancements.

13 So when you're trying to set things up
14 at your organization, who do you invite to the table?
15 Well, you can consider community members,
16 representatives from local organizations, and then
17 also working with groups that are already boots on the
18 ground, in the field working with marginalized
19 populations. Working with clinic providers. Working
20 with scientists elsewhere and at other institutions.
21 And while you are stepping up to engagement, once you
22 have your network -- your dream team in place,

1 consider ways you can grow and support that and
2 maintain that relationship.

3 Trust is super important. Keeping open
4 lines of communication and identify, prioritize and
5 develop your research goals together.

6 Strategies that might help you in your
7 journey to success. So having a commitment to
8 understanding and truly addressing the social
9 determinants of health and how they relate to STIs is
10 very important. Making sure that you collaborate with
11 diverse partners. Have a shared decision-making
12 model. Keep open lines of communication and leverage
13 your -- trust, which you've spent so much time putting
14 together. And work to receive -- to achieve the goals
15 that you have planned and have that shared history of
16 success.

17 So we talked a bit about team
18 components. We've talked about community connections.
19 We've talked about how they can support research.
20 Another important consideration is looking for your
21 sites where you can have the volume and the morbidity
22 you need for enrollment.

1 And historically, our STI clinics have
2 been the sites that we have looked at for these types
3 of studies; however, with shifts in public funding,
4 some of our non-STI clinic sites are really doing some
5 heavy lifting out there in the community. And they're
6 sites that we should consider, that outside-the-box
7 approach when it comes to clinical trials.

8 Speaking of those places outside the
9 box, we're talking about -- qualified health centers,
10 our community groups, student health particularly if
11 you're trying to work with those groups where there's
12 high morbidity for STIs in general, like our less than
13 25, and family planning organizations. Private
14 practice groups, particularly high-volume groups, and
15 urgent care facilities and emergency departments.
16 That one, of course, we are very familiar with at our
17 site.

18 So the take home points. STI clinics
19 are an evolving environment and innovative clinic
20 models with enhanced services made -- recruitment for
21 research as Dr. Reno mentioned. There are multiple
22 variables that account for a site's success.

1 Diversity is key. Diversity matters in terms of the
2 people who are conducting research and then also your
3 audience. And we all need a champion sometimes, so
4 look for those in your group that you can promote and
5 use to not only build your -- your team morale but
6 also help you achieve your goals.

7 Consider workforce and worksite
8 development a long-term investment and one that will
9 be incredibly useful for you in the future. Also look
10 at an outside-the-box approach to site selection while
11 trying to look at diverse audiences and groups that
12 could benefit from research.

13 And keep in mind that you want to
14 maintain that authentic community engagement and work
15 towards your shared success stories.

16 That's all I have. Thank you for your
17 time and attention.

18 MS. YASINSKAYA: Thank you very much.
19 We are getting close to the end of our morning
20 session. Our last speaker for session one is Sarah
21 Wang. She's a graduating fourth-year student --
22 graduate degree in public health policy at UC Irvine.

1 And -- advised by Dr. -- to optimize antibiotics
2 stewardship strategies and integrate antibiotic
3 stewardship into the lowest income K-12 school
4 districts in California.

5 Welcome, Sarah. Please take it away.

6 MS. WANG: Thank you. Hi, can you hear
7 me?

8 MS. YASINSKAYA: Yes, we can hear you
9 well. Go ahead.

10 MS. WANG: Oh, awesome. Thank you.
11 can you see me as well? Sorry. I don't know if my
12 video's on.

13 MS. MCNEIL: No, we can't see you, but
14 you can go forward with your presentation.

15 MS. WANG: All right, thank you. Hi,
16 everyone. I'm Sarah and I'm going to be presenting
17 about the need for early education among adolescents
18 and young adults regarding antibiotic resistant
19 gonorrhoea.

20 Oh, sorry. I don't know how to shift
21 the slides.

22 So currently, females ages 15 to 19 and

1 20 to 24 have the highest rates of gonorrhea. And in
2 addition, males ages 20 to 24 and 25 to 29 experience
3 the highest rates of gonorrhea. Therefore, there
4 needs to be more attention towards prevention to
5 adolescents and adults regarding safe sex and
6 antibiotic use.

7 So currently, gonorrhea develops very
8 fast to resistance to antibiotics as ceftriaxone is
9 the last recommended treatment out of over 10. And it
10 is the last resort, so there needs to be more focus on
11 infection prevention and --

12 Next slide.

13 So over the summertime, Dr. Ogenstiten
14 [ph] and I conducted a summer undergraduate research
15 program survey to assess the knowledge, attitudes and
16 practices regarding antibiotic resistance, antibiotic
17 use and -- with antibiotics to 200 UCI students. And
18 we analyzed the results with a combination of
19 statistical methods, including "KY square" and --
20 progression models. So we found -- challenge.

21 Next slide.

22 In addition, we found that males have

1 worse attitudes towards antibiotics than females. As
2 a result, it's important to tailor this potential
3 freshmen seminar or antibiotic stewardship
4 intervention to high schoolers to address this
5 attitude difference.

6 Next slide.

7 So currently, Dr. Ogenstiten and I have
8 created an interactive storyline to basically reveal
9 the correct health communication and dialogue
10 specifically for antibiotic prescription for medical
11 students and undergraduates. As a result, it can be
12 used to explain the specific requirements for drug
13 prescription for -- to train future physicians and to
14 teach patients how to respond to certain bacterial and
15 viral situations.

16 Next slide.

17 So currently, Dr. Ogenstiten and I are
18 leading a course with four students to integrate
19 antibiotic education into the K-12 curriculum of the
20 four lowest income school districts. And we have a
21 focus on gonorrhoea prevention and --

22 Sorry. Can you go back to the last

1 slide?

2 And antibiotic stewardship in specific
3 regard to addressing a need for community capacity
4 building. Because as we all know, antibiotic
5 resistance is very expensive, costing 6,000 to \$30,000
6 per patient.

7 So this is very important because there
8 is an important issue of non-prescription that's
9 especially common among those outside -- that
10 immigrate here from outside of the US with California
11 having 27 percent immigrants, which is two times the
12 number of any other state.

13 As a result, it's very important to
14 address the need for antibiotic knowledge among this
15 demographic, especially with compounded factors, like
16 lack of healthcare insurance, inadequate healthcare
17 access and undocumented status.

18 So there is a high need to address
19 antibiotic stewardship education at the K-12 level,
20 especially in low-income education districts because
21 COVID-19 has revealed the deadly impacts of structural
22 racism and systemic health inequalities on racial and

1 ethnic minorities, which makes capacity building for
2 the next pandemic incredibly important.

3 And according to a report by O'Neil
4 [ph] in 2016, the most public health awareness
5 campaigns need to target the youth because they will
6 be the brunt of antibiotic resistance.

7 As a result, there has to be education
8 in non-traditional settings, like schools and
9 daycares, rather than just hospitals because of this
10 important issue of non-prescription and the need for
11 capacity filling.

12 And next slide.

13 Thank you and do you have any questions
14 or would like to discuss anything, please feel free to
15 type in the chat and I'd love to get to know what you
16 think and if you're interested in our studies, please
17 reach out to Dr. Ogenstiten or I at the contact
18 information provided.

19 Thank you for listening and I hope you
20 have a great rest of your day. Feel free to type your
21 questions in chat or discuss whatever you want.

22 MS. YASINSKAYA: Thank you very much,

1 Sarah, for your presentation. You know, we were going
2 to be monitoring, of course, the chat box if there are
3 any questions -- specifically, but at this time, we
4 wrapped up our presentations for the morning session -
5 - session one -- and we are ready to break up for
6 lunch.

7 Our lunch will be only 30 minutes, so
8 now that it is 11:54, we will be coming back at 12:55.
9 Sorry, 12:25 for -- to begin session two of our
10 workshop today.

11 So please enjoy your lunch and we will
12 see you in 30 minutes. Thank you very much.

13 All right. We are about to go live.
14 You can please start the session. Thank you.

15 MR. KIM: Good afternoon, everyone.
16 Welcome back to this afternoon's session. My name is
17 Peter Kim. I am a medical team leader in the division
18 of Anti-infectives Office of Infectious Diseases at US
19 FDA. I will be co-moderating this session with Dr.
20 Deal.

21 Dr. Deal, would you like to reintroduce
22 yourself to the group?

1 MS. DEAL: Sure. My name's Carolyn
2 Deal. I'm the branch chief of the -- and Sexually
3 Transmitted Sections Branch at the National Institute
4 of Allergy and Infectious Diseases at NIH. My
5 pleasure to moderate with Peter at FDA.

6 MR. KIM: Thank you, Dr. Deal. At this
7 point, we'll begin with our presentations. It's my
8 great pleasure to introduce Dr. Hiwot Hiruy. She is a
9 senior medical officer in the Division of Anti-
10 infectives Office of Infectious Diseases at FDA.

11 Dr. Hiruy, please feel free to begin
12 your presentation.

13 MS. HIRUY: Good afternoon, everyone.
14 My name is Hiwot Hiruy and I will be presenting the
15 FDA's perspective on development of antibacterial
16 drugs for uncomplicated gonorrhoea.

17 Let's see. Do you have my slide deck?
18 Thanks.

19 So as mentioned by previous speakers,
20 there are two main factors that -- unmet need for
21 treatment of gonorrhoea. As you have heard in session
22 one, the bacteria has a unique ability to develop

1 resistance over time to antibacterial classes used for
2 treatment, thereby making previous therapy -- therapy
3 option defunct. This has also resulted in dwindling
4 therapeutic options and recent attempts for normal
5 treatment have not been successful. So these two
6 factors have resulted in this current unmet need we
7 have for treatment of gonorrhoea.

8 Our hope is in today's workshop we'll
9 provide a forum for discussion around how to approach
10 the key challenges around drug development for
11 treatment of gonorrhoea. I'll start the presentation
12 by highlighting the statutory requirements a drug
13 needs to meet to obtain marketing approval. This will
14 apply to novel therapy as well as previously approved
15 drugs that are now seeking a new indication.

16 I'll then review the 2015 FDA guidance
17 for development of drugs for uncomplicated gonorrhoea.
18 I'll briefly mention the two recent programs and the
19 challenges they encountered. And you'll hear more
20 about this -- these issues in subsequent presentations
21 as well.

22 Finally, the presentation will conclude

1 with highlighting some of, again, the key discussion
2 points that need to be addressed to help drug
3 development program successfully bring about this new
4 therapeutic options.

5 Okay. Let's see. Okay.

6 As I mentioned in my previous slide, a
7 data packet supporting a new drug application has to -
8 - a statutory standard to provide substantial evidence
9 supporting the efficacy as outlined in the Federal
10 Food and Drug Cosmetic Act.

11 Substantial evidence is defined as
12 evidence consisting of adequate and well-controlled
13 investigations to distinguish the effect of the drug
14 from other influences.

15 In most cases, two adequate and well-
16 controlled investigations will be required; however,
17 section 115(a) of the modernization act further
18 clarifies this requirement and states that data from
19 one adequate and well-controlled trial may be
20 considered substantial evidence if there are
21 additional supportive data.

22 So the characteristics of adequate and

1 well-controlled trials are outlined in the title 21 of
2 the Code of Federal Regulations, section 314.126. And
3 reports of such adequate and well-controlled trials
4 provide the primary basis for determining whether
5 there's substantial evidence to support claims of
6 effectiveness of this new drug -- new drugs.

7 One key aspect of this adequate and
8 well-controlled trials is the control used in these
9 trials. The -- mentioned section of the CFR outlines
10 five types of controls and which control is suitable
11 for a specific trial will depend on the nature of the
12 disease the drug intends to treat.

13 The first type of control is active
14 treatment concurrent control where the test drug will
15 be compared to a known effective therapy. And this
16 type of control is widely used in infectious disease
17 arena including indications for treatment of
18 gonorrhoea.

19 Another type of control is the placebo
20 concurrent control where a test drug will be compared
21 to an inactive drug that resembles the test drug. No
22 treatment concurrent control uses just the test drug -

1 - compares the test drug to no therapy.

2 The dose compares and concurrent
3 control is where the two or more doses of the test
4 drug are compared. And the last control is historical
5 control where the test drug is compared to historical
6 experiences and use of this control is actually
7 reserved for special circumstances that has a disease
8 of high mortality or the course of illness is
9 predictable, or the drug itself is self-evident as --
10 the case of general aesthetics.

11 There are also two types of trial
12 designs. Superiority trial design is designed with
13 the assumption that the test drug is better than the
14 control. And the control can be placebo, no
15 treatment, for comparison or active control.

16 Again, the choice of the control would
17 depend on the feasibility and the -- of that specific
18 indication.

19 The other trial design is non-
20 inferiority trial where the assumption is the test
21 drug is no worse than an active comparator by a
22 certain prespecified data-driven amount that we call -

1 - inferiority margin.

2 In order to calculate this margin,
3 treatment effect of the active comparator compared to
4 placebo needs to be estimated in the population being
5 studied and for the outcome of interest.

6 As -- so now we are shifting a little
7 bit gears -- a little bit to focus on specific
8 considerations for drug development for gonorrhoea.

9 As for any drug development program,
10 the nonclinical stage provides the foundation for the
11 clinical -- for the development of the gonococcal
12 therapy development programs as well. And this
13 includes proof of concept of activity -- Neisseria
14 gonorrhoea, including invitro, hollowfiber and animal
15 models, nonclinical PKPD models and phase one PK
16 assessments. They all -- these all inform appropriate
17 dose and dosing regimens for evaluation in subsequent
18 phase two and phase three trials.

19 As you've heard in session one, there
20 are several challenges to this nonclinical stage of
21 drug development for gonorrhoea. In these challenges
22 may affect the latter stages of development as well.

1 The current thinking regarding
2 appropriate clinical trial design is -- for design
3 considerations are outlined in the 2015 FDA guidance
4 for developing treatment for uncomplicated gonorrhoea.

5 In that, the guidance recommends a
6 prospect -- randomized, preferably double blinded
7 trial design. However, there may be instances where
8 the test drug and the comparator may have different
9 route of administration. In such cases, double-
10 blinding may not be feasible.

11 Even then, we recommend that the
12 sponsor be blinded. Given the high effective -- the
13 current standard of care being highly effective for
14 uncomplicated gonorrhoea, then that inferiority trial
15 is the one that's recommended with inline margin of 10
16 percent. And the inline margin justification is -- to
17 the 2015 guidance for your reference.

18 Okay. So going to study participant
19 considerations. Study entry criteria could be broad
20 and include any patient with evidence of uncomplicated
21 gonorrhoea without restriction to site of infection or
22 focus to a specific site, such as urogenital. The

1 trial should exclude patients that require different -
2 - or duration of treatment, such as patients with
3 disseminated disease, pelvic inflammatory disease or
4 endophthalmitis.

5 The trial also should exclude subjects
6 that have already received respective therapy for the
7 current gonococcal infection.

8 Given the burden of disease in
9 adolescence, consideration should be given to include
10 adolescence into phase three trials. However, there
11 are specific challenges to including this patient
12 population such as obtaining informed consent.

13 Given the current standard of care
14 having high efficacy for treatment of uncomplicated
15 gonorrhea, pregnant women should only be included in
16 trials where the standard of care is not a viable
17 therapeutic option, such as pregnant women infected
18 with isolates resistant to the standard of care.

19 Next slide.

20 The recommended -- okay. The
21 recommended primary endpoint is a microbiological cure
22 defined as negative gonococcal culture at the site of

1 initial infection, approximately three to seven days
2 following treatment. Although nucleic acid
3 amplification test may be used for selection of
4 patient for enrollment, they should not replace
5 culture for initial diagnosis or test of --
6 establishment of test of cure.

7 In line with the primary endpoint, the
8 primary analysis population is the microbiological
9 intention to treat population which is comprised of
10 all randomized patients with Neisseria gonorrhoea
11 isolated at baseline culture.

12 Confidential secondary endpoints for
13 consideration include the nucleic acid amplification
14 test results and symptom resolution in a -- patients
15 that have baseline symptoms.

16 Although the exact number required of
17 safety -- of a drug would depend on our previous
18 knowledge of the drug class and/or any signal --
19 safety signal identified during drug development
20 programs. In general, a preapproval safety database
21 of approximately 500 patients at the proper build and
22 duration is recommended.

1 In cases where the new drug or a drug
2 has been studied for another indication, where the --
3 and duration of the treatment are comparable to that
4 of the gonococcal indication, safety information
5 obtained from the other indication -- safety database
6 of the gonococcal indication.

7 I'll briefly mention --

8 If you can get my slide deck back?

9 Thank you. Slide 14.

10 I'll briefly mention the two recent
11 experiences that with novel treatment gonorrhoea of
12 delafloxacin and solithromycin. We are fortunate
13 enough today to have some of the investigators that
14 were apart -- that took part in one of the trials.
15 And they'll give us more detailed presentation
16 subsequently.

17 But briefly, both delafloxacin and
18 solithromycin development program had a phase three --
19 inferiority trial with -- margin of 10 percent. Those
20 were open label, single-dose of each test drug
21 compared to an active comparator.

22 In the case of delafloxacin, the active

1 comparator was a single-dose ceftriaxone. And in the
2 case of solithromycin, ceftriaxone -- ceftriaxone was
3 the active comparator.

4 Both trials primarily focused on
5 uncomplicated urogenital gonorrhea patient population.
6 And the primary endpoint for both as per the guidance
7 was the proportion of patients that cleared the
8 gonococcal infection at the site of -- urogenital
9 gonococcal infection a test of cure on -- in both
10 trials, majority of trial participants were male.
11 Both trials failed to meet -- specified -- margin,
12 however, there are several challenges that -- or
13 lessons that we can learn from these two trials
14 including adequacy of the chosen dosing regimen as
15 well as impact of missing data, specifically the test
16 of cure visit.

17 And again, as I mentioned, these will
18 be further discussed in subsequent presentation.

19 In conclusion, the agency would like
20 discussion regarding approaches to challenges such as
21 dose and dosing regimen selection, role of -- clinical
22 models and refining optimal dosing, use of single

1 versus multi-dose regimens, and challenges around
2 trial population including how to improve recruitment
3 of women and adolescents. And also enrollment of
4 urogenital versus extragenital infections within a
5 trial. And then the challenges around trial conduct
6 to include issues with multinational studies and the
7 challenges of having differing treatment guidelines
8 that would impact the standard of care to be chosen
9 for trials.

10 And also, how to harness technology to
11 ensure compliance and adherence to follow-up visits.
12 Challenges in trial design including optimal timing,
13 diagnostics and role of culture for assessment of test
14 of cure would also need to be facilitated. How to
15 handle missing data in the primary analysis, and
16 finally consideration for safety database for a new
17 class of drug that may be potentially used widely in
18 outpatient patient settings are some of the topics for
19 discussion that we'll have. And hopefully you will
20 have more time in the panel discussion to go over
21 these key challenges.

22 This concludes my presentation and

1 thank you for your time.

2 MR. KIM: Dr. Hiruy, thank you very
3 much for your presentation. Now, I'd like to
4 introduce Dr. Sumathi Nambiar who is currently the
5 director of the Division of Anti-infectives at FDA and
6 will be presenting on behalf of Dr. Junko Sato, who is
7 the director of the Office of International Programs
8 at the Pharmaceuticals and Medical Devices Agency.

9 Dr. Nambiar, please feel free to begin.

10 MS. NAMBIAR: Hi. Thanks, Peter. I
11 hope you can hear me okay.

12 MR. KIM: Yes.

13 MS. NAMBIAR: Yeah. Great. Thank you.

14 So as Peter said, I'm from the Division of Anti-
15 infectives at the US FDA. I will make this
16 presentation on behalf of Dr. Sato from PMDA who
17 couldn't join us given the time difference.

18 Dr. Sato did want me to let everybody
19 know that PMDA recognizes the unmet need for products
20 for treatment of gonorrhoea and we look forward to
21 working with sponsors, developers of such products.

22 So she notes that there are several

1 antimicrobial agents in Japan that carry a labeled
2 indication for gonorrhoea, but the benefit response
3 for these products was generally in clinical trials
4 for conditions like STDs, UTI or pelvic inflammatory
5 disease rather than specific trials for gonorrhoea.

6 Also notes that there is increasingly
7 resistance to -- Dr. Sato reference to a guideline
8 that was published in 2017.

9 Next slide, please.

10 She referenced a guideline that was
11 published in 2017 regarding clinical evaluation of
12 antibacterial drugs. And this guideline also provides
13 recommendation for developing drugs to treat
14 gonorrhoea.

15 Next slide, please.

16 So in the current guidelines, they
17 separate our gonococcal urethritis in men and
18 gonococcal urethritis in women. So for entry into
19 gonococcal urethritis trial, men who are symptomatic
20 with the symptoms consistent with those with
21 gonococcal urethritis. A culture for *Neisseria*
22 gonorrhoea should be obtained at baseline.

1 Next slide. Next slide, please.

2 The test to cure assessment is five to
3 nine days after the end of treatment. The primary
4 endpoint is microbiologic based on eradication of
5 Neisseria gonorrhoea. Clinical endpoints are also
6 assessed, looking for eradicate, improvement or cure,
7 which is the symptoms attributable to urethritis and
8 no longer observed.

9 Next slide, please.

10 In gonococcal urethritis in women who
11 are 16 years and older who have clinical findings such
12 as -- cervicitis and --

13 Sorry. The previous slide? Yeah.

14 Thank you.

15 And with Neisseria gonorrhoea confirmed
16 on culture, there's an end of treatment assessment and
17 a test of cure assessment which is one to three weeks
18 after the end of treatment, and this is the primary
19 endpoint.

20 Next slide, please.

21 Clinical success is defined as --
22 symptoms attributable to cervicitis, as a result are

1 improved and no longer require treatment with
2 antibacterial drugs.

3 Next slide, please.

4 Microbiologic outcomes are also
5 assessed where eradication *Neisseria gonorrhoea* on
6 culture is -- is looked for.

7 Next slide, please. Yeah.

8 In the next two slides, Dr. Sato has
9 provided a susceptibility data from nationwide
10 surveillance. The first slide is patients with male
11 urethritis and the second one is female cervicitis. I
12 think the message in both the slides is the high MICs
13 seen for the quinolones -- flucloxacillin -- and two
14 flucloxacillin, and also spectinomycin.

15 Next slide, please. Yeah. Next slide.

16 I think this is, again, just to show a
17 comparison of the susceptibility pattern from 2009-
18 2010 to 2012 and '13. I think the message remains the
19 same which is the high level of -- high MICs of
20 *Neisseria gonorrhoea*. Okay. And for the -- against
21 *Neisseria gonorrhoea*.

22 Next slide, please.

1 So this is a summary of the treatment
2 guidelines for gonococcal infections. So the
3 diagnostics recommended include a -- culture and PCR,
4 and for treatment, it's generally ceftriaxone and
5 spectinomycin -- two treatment options.

6 Next slide, please. Yeah. I think
7 that concludes the presentation. Thank you very much.

8 MR. KIM: Thank you, Dr. Nambiar. I'd
9 like to now introduce Dr. Radu Botgros. He is an
10 infectious diseases specialist working as scientific
11 officer for the Office of Biological Health Threats
12 and vaccine strategy at the European Medicines Agency.

13 Dr. Botgros, please feel free to begin
14 your presentation.

15 MR. BOTGROS: Thank you very much, Dr.
16 Kim. I hope you can hear me well.

17 MR. KIM: Yes.

18 MR. BOTGROS: I would like to start by
19 thanking the organizer for inviting me to attend this
20 workshop and for giving me the opportunity to speak
21 and provide you with some -- perspectives on
22 development of antibacterials for treatment of

1 gonorrhoea, including some API data from the EU.

2 I would like to ask colleagues from the
3 background to help me progress my slides as I speak,
4 if possible. So can we please go to the next slide?
5 Thank you.

6 I will start by reminding you the fact
7 that sexually transmitted infections have been on the
8 rise world wide and in the European Union in the past
9 two decades. So that in 2018, we registered in the EU
10 high incidents of sexually transmitted infections.

11 And actually, for Neisseria gonorrhoea, we have an
12 incident of 26.4 in 100,000. Incidents that have
13 increased by 240 percent between 2008 and 2018.

14 So these were some -- can also be seen
15 on the world map on the right-hand side of this slide,
16 which shows that the highest numbers of new annual
17 cases is on the African continent, while the European
18 region -- still have the lowest incidents as also
19 mentioned in the WHO presentation earlier today.

20 Next slide, please.

21 On this slide, we start with this
22 telling logo -- gonorrhoea, hard to spell, easy to get.

1 You see a brief summary of what we all know, so I'm
2 not going to spend time on it, but what I think is
3 important is that because GC is a specifically human
4 infection, as you heard -- as we all heard, there have
5 been many difficulties in developing suitable animal
6 models for the disease. And there are still gaps,
7 like for instance, with the pharyngeal disease as we -
8 - as we just heard earlier today.

9 Next slide, please.

10 In the European Union in 2018, 76
11 percent of gonorrhoea cases were reported in men. And
12 this reflects the high prevalence of men who have sex
13 with men and the high proportion of diagnosed
14 symptomatic urogenital infections in men. And you can
15 see here on the left-hand side of the slide, the
16 number of confirmed GC cases by gender, transmission
17 category and the year between 2009 and 2019. And on
18 the right-hand side, we see that the distribution of
19 cases varies by country. With some EU countries
20 having the high notification rate of over 10 cases per
21 100,000, which are depicted in dark red on this map.

22 Next slide, please.

1 Now we all know that since the
2 discovery of antibiotics, recommended treatments for
3 gonorrhoea have required continuous adaptation to
4 remain efficient. And actually starting from -- which
5 were the first effective antibiotics introduced for
6 the treatment of gonorrhoea in the '30s, continuing
7 with penicillin, with spectinomycin, the --
8 azithromycin, all these antibiotics have been affected
9 by development of resistance.

10 And despite azithromycin is now
11 generally included in the -- therapy in combination
12 with ceftriaxone, worryingly high-level azithromycin
13 resistance in *Neisseria gonorrhoea* have been isolated
14 in some countries.

15 Resistance obviously also affects, as
16 we saw, the use of -- respective of whether it's
17 ceftriaxone -- or another -- to the point that
18 cephalosporins have become ineffective in many
19 countries, specifically in the Asia-Pacific region.

20 And that of course has led to the
21 introduction of the dual therapy over the past decade,
22 but also this dual combo is affected by resistance in

1 the recent years.

2 And all of these developments are
3 linked to the acquisition of mutations and the target
4 size of a variety of antimicrobials by gonococci as we
5 can see on the right-hand side of the slide. But of
6 course, I'm not going to go into all these mechanisms
7 that also have been presented before.

8 Next slide, please.

9 On this slide, you see on the map the
10 percentage of isolates with decrease susceptibility or
11 resistance to extend -- according to the WHO -- data.
12 For more country than Europe, we are looking at less
13 than five percent resistance of the test that I -- and
14 you can also see the percentages of resistance of
15 *Neisseria gonorrhoea* by antimicrobial -- year in the
16 European Union with a recent increase in azithromycin
17 resistant strains.

18 Next slide, please.

19 There is now general agreement that a
20 new medicine's aimed to treat gonorrhoea in particular
21 resistance GC -- need to be developed. And as you
22 know, WHO included third generations of -- resistance

1 of Neisseria gonorrhoea in their priority list of --
2 drug resistant pathogens to support research and
3 development of effective therapies.

4 The antimicrobial susceptibility of
5 gonococci in the EU is monitored by the Sentinel Euro
6 Gas Program, which was initiated back in 2004 and is
7 funded, coordinated and expanded by the European CDC.
8 And I would like to mention that Euro Gas Data have
9 already informed changes to the first line therapy
10 recommended in the European guidelines on diagnosis
11 and treatment of gonorrhoea.

12 Next slide, please.

13 At the EMA, we have also been closely
14 following the topic during the past decade. And when
15 we took the decision back in 2019 to update the EU
16 guidance on development of antibacterials, we decided
17 to actually also address the point of -- specific
18 advice for drug developers regarding the regulator
19 requirements for approving medicines for both
20 uncomplicated urinary tract infection and gonorrhoea.

21 The finalization of the guideline has
22 been unfortunately put on hold when the COVID pandemic

1 hit, but the draft is published on the EMA website and
2 we are aiming to finalize the guidance as soon as
3 possible.

4 And on the right-hand side of this
5 slide, you already know that in 2020, the European
6 guideline for the diagnosis and treatment of gonorrhoea
7 in adults has also been updated. We have some of the
8 authors with us here today. What we -- what I can say
9 is that it would be good that developers consult this
10 updated version, which definitely has relevance for a
11 number of points.

12 Next slide, please.

13 In our updated EMA guidance, we clarify
14 that trial -- to demonstrate non-inferiority of the
15 test regimen to an appropriate reference regimen
16 would be acceptable. And we clarify that if a single
17 -- trial is proposed in support of the claim
18 indications relevant already existing guidance on the
19 topic, and you see them listed here on the slide,
20 would also apply. But the guidance specifies that
21 infection site specific indications for use may be
22 supported by single -- studies with standard levels of

1 -- under certain circumstances. And you see on the
2 right-hand side of the slide two important situations
3 where this could be possible. And I'm talking about
4 single trials in either C-UTI or uncomplicated UTI,
5 together with a single trial in uncomplicated
6 gonorrhoea.

7 The other important situation is when
8 the antibacterial agent addresses an unmet need, and
9 in these cases the total evidence is sufficient to
10 support a pathogen-specific indication in patients
11 with limited treatment options. Additional infection
12 site specific indications may be granted based on a
13 single -- indication.

14 Next slide, please.

15 In terms of selecting patients in the
16 clinical trials, we expect to see evidence of
17 gonococcal cervicitis or urethritis at enrollment.
18 And this is based on finding characteristic -- in the
19 urethra or cervical parts or swabs at baseline.

20 If patients with evidence of rectal or
21 pharyngeal gonorrhoea are enrolled, alone or in
22 conjunction with urethra or cervical infections, we

1 recommend that there is stratification by infection
2 site -- regarding the test of cure, we recommend that
3 this is conducted within one week of treatment to
4 maximize the proportion with documented eradication.
5 We also agree that late follow-up visit should be
6 planned to capture relapses, reinfections or new
7 infections. And we -- we mandate -- the guidance
8 actually mandates that patients eligible for the
9 microbiological -- population should have a positive
10 culture result for Neisseria gonorrhoea.

11 It is possible to enroll adolescents in
12 the adult trials, and this is something that is also
13 worth mentioning here.

14 Next slide, please.

15 In terms of the recommended endpoints -
16 - primary endpoint, this should be microbiological,
17 namely the culture confirmed microbiological
18 eradication of Neisseria gonorrhoea in the
19 microbiological -- population after the test of cure.
20 We recommend to conduct comparative trials and the
21 guidance states that a preferred comparator should be
22 one of the best available treatments based on clinical

1 trials, medical opinion, infection type, specific
2 treatment guidelines and the anticipated prevalence of
3 resistance to the comparative agents at the trial
4 sites.

5 Now you will note in this -- this is
6 something I put on this slide, that the recent EU
7 treatment guidelines, 2020, is recommending
8 ceftriaxone, 1 gram, plus azithromycin, 2 grams, in
9 combination. That also works on azithromycin
10 resistant strains, or ceftriaxone monotherapy, 1 gram,
11 but not in ceftriaxone resistant infections or in
12 oropharyngeal disease.

13 What's worth mentioning is that our
14 guide -- our EMA guideline is not prescriptive in that
15 respect, so I suppose we can discuss any proposal in
16 the framework of our EU scientific advice with -- with
17 developers.

18 Next slide, please.

19 In terms of the primary analysis, for
20 example, if the standard ceftriaxone/azithromycin
21 combination is used as a comparator, this should be
22 confined to MITT subjects with *Neisseria gonorrhoea*

1 that is susceptible to both agents. Sensitivity
2 analysis should be conducted in MITT subjects with
3 culture-proven GC, susceptible to only one of the two
4 comparative agents and in MITT subjects with culture-
5 proven GC regardless of susceptibility to either
6 agent.

7 We think that an open label design
8 could be acceptable, but we encourage sponsors to
9 discuss their proposals with the EMA at all times.

10 Next slide, please.

11 In terms of enrollment of patients with
12 extragenital gonorrhoea, it is worth mentioning that it
13 would be possible to collect the assessment of
14 efficacy against pharyngeal or rectal gonorrhoea as a
15 secondary objective in a study that involves
16 urogenital gonorrhoea. We would need to see separate
17 estimates provided for each infected side and we
18 mandate that the -- resulting -- intervals should
19 exceed 90 percent at least for the subset with
20 urethritis and cervicitis, or with -- with genital
21 gonorrhoea.

22 In terms of resistance, this should be

1 obtained at baseline and post-baseline in isolates
2 obtained from treatment failures.

3 Next slide, please.

4 As you know and as you have heard from
5 our FDA colleagues, there are a number --
6 unfortunately, not as large as we would want it to be
7 -- a number of -- trials ongoing from a number of new
8 candidate drugs which are depicted on the slides. I'm
9 not going to go through them, but what is worth
10 mentioning is that some of them will be delivering
11 soon some results and we hope, of course, to see also
12 some positive results among them in contrast with the
13 negative ones that we saw for solifenacin and
14 delafloxacin in the recent two years.

15 Next slide, please.

16 During the interest of time, I will
17 close here. These are -- is my summary, you know, so
18 I won't go through all of them. What's important is
19 indeed that, you know, we agree that developing new
20 antibiotics for -- for gonorrhoea that would be active
21 -- some of them -- resistance Neisseria gonorrhoea
22 strains are currently considered an unmathematical

1 need and that we strongly encourage sponsor whenever
2 they design the clinical development to review both
3 2020 update of the European clinical recommendations
4 for diagnosis and treatment, as well as our new draft
5 guidance on antibacterials.

6 And of course, for discussing any of
7 the -- you may have, we -- we invite you to apply for
8 the EU scientific advice. And with that, I would like
9 to thank you for your kind attention and I will give
10 the floor back to the chair. Thank you very much.

11 MR. KIM: Carolyn, I think you're still
12 on mute.

13 MS. DEAL: Can you hear it now?

14 MR. KIM: Yes.

15 MS. DEAL: Okay. Yeah. It was -- it
16 said I was off. Sorry. So I'd like to thank our
17 three regulatory speakers for the regulatory
18 perspective. And now we're going to hear some
19 perspective from the therapeutic developers. From
20 their past experiences and what's some of the
21 challenges and lessons they've learned.

22 Our first speaker is Dr. Sue Cammarata.

1 Sue is at Tunnell Government Services serving as a
2 senior clinical subject matter expert consultant to
3 BARDA. Dr. Cammarata is a primary care physician by
4 training, but most of her pharma career has been in
5 support of anti-infectives and rare diseases.

6 Sue, over to you.

7 UNIDENTIFIED SPEAKER: Sue, can you
8 unmute, please?

9 MS. CAMMARATA: Can you hear me now?

10 UNIDENTIFIED SPEAKER: Yes, ma'am.

11 Thank you.

12 MS. CAMMARATA: Hi, all. Can you hear
13 me?

14 MR. KIM: Yes, we can hear you, Dr.
15 Cammarata.

16 MS. CAMMARATA: Okay, thank you.
17 Thanks for the opportunity to speak today. There have
18 been a couple trials done in the last few years in
19 gonorrhoea by pharmaceutical companies. They were
20 actually done about five years ago. I've presented on
21 this a couple of times because of development work in
22 pharmaceutical companies is -- has been limited.

1 There's a variety of reasons for that and much of the
2 work has been done in -- by public health as well as
3 academic colleagues.

4 So in the session here, we'll be
5 talking a little bit about lessons learned and then
6 for those folks that are currently developing
7 products, we'll be talk -- they'll be talking a little
8 bit about their current experiences and planning.

9 Think back to about eight years ago.
10 The trials I'm going to be talking about were
11 performed around 2014/2015. As a result, the planning
12 for those trials would have occurred years before
13 that. So when you go through the checklist of what we
14 can do with antibiotic development, it's clear that
15 there's a list of things that we do when we are drug
16 developers in antibiotics. Our goal is to kill the
17 bug. So you want to have no impact on the human, but
18 you want the drug to get in at a high enough level,
19 long enough to be able to kill that bacteria.

20 And there's steps that antibiotic
21 developers can do to look at the level of antibiotic
22 that they need and how long they need it to kill the

1 bug.

2 So I know a lot of you have excessive
3 backgrounds in the audience, but some of this might be
4 new to some of the audience members. And there are
5 differences in what we've done in antibiotics, for
6 example, an infection in lung or skin infections
7 versus what we can do with gonorrhoea.

8 So again, this is looking back at what
9 developers had had as a test to do a few years ago.

10 So of course we can always measure drug
11 levels that kill the bacteria in a Petri dish. We can
12 do MICs. And yes, we can do that for gonorrhoea, too.
13 Preclinically, however, there is lots of in vivo data
14 that we can generate looking at efficacy for pneumonia
15 in animal models. Looking at bacteremia, looking at
16 skin infection models. However, there has not been a
17 clear, accepted model -- animal model for gonorrhoea
18 for example.

19 In addition, we've not been able to --
20 there was a discussion this morning about PK and PD.
21 How much drug you need to kill the bug. We can do
22 that and compare it in animal models or in vivo --

1 invitro and in vivo models for other infections, but
2 we've not been able to do that with gonorrhoea
3 previously.

4 We can test in phase one. We can do
5 that for skin infections and pneumonia, and we can
6 look at blood levels systemically. And we can also do
7 that in humans, looking at drug levels for a treatment
8 of gonorrhoea. At least in -- in the blood -- systemic
9 exposure. But when we look at antibiotics for
10 treatment in pneumonia or skin, we can look at those
11 systemic levels, urine levels, lung levels, but right
12 now, we don't really quite understand what fluid
13 levels do we need, what tissue levels do we need and
14 where do we need those to be able to treat gonorrhoea
15 well.

16 You can do phase two studies to sort of
17 help with dose selection, but those are very limited
18 because the numbers are very small and there may be
19 still risks with those studies. And I'm going to
20 describe these phase three studies. Even with these
21 phase three studies, you can still have failures for a
22 variety of reasons.

1 So as mentioned by a couple of the
2 speakers, there were two antibiotics studied around
3 2014/2015 in the treatment of urogenital gonorrhoea.
4 These compounds are both very potent against gonorrhoea
5 with low MICs in the petri dish. And they have
6 intracellular accumulation. But when you look at
7 these antibiotics -- solithromycin is a novel
8 macrolide, so it's in a class that's been known to be
9 active. In addition, it has this good activity, it
10 has intracellular activity, and good oral penetration
11 or absorption.

12 Delafloxacin is antibiotic --
13 quinolone. It's broad spectrum in activity as well.
14 It accumulates intracellularly and it's also rapidly
15 absorbed.

16 And although these -- both of these are
17 from classes that have been known to be used in the
18 treatment of gonorrhoea previously -- the macrolides
19 and the quinolones -- both of these compounds have
20 activity against resistant -- organisms that were
21 resistant to other drugs in the class. So that makes
22 it interesting that these compounds were taken

1 forward.

2 Both products had almost identical non-
3 inferiority studies that were designed in
4 uncomplicated gonorrhoea. And this was the same time
5 at around 2015 when the guidance, for example, was
6 developed with the FDA. So these were very close to
7 the guidance at the time.

8 In both studies at baseline, the
9 patients with uncomplicated urogenital gonorrhoea were
10 randomized and they received either the standard of
11 care or the new treatment as a single dose. And the
12 solithromycin trial, the patients randomize one to one
13 to either get soli or to get the active control. And
14 in this study, they used ceftriaxone and azithromycin.
15 And this was an open label study.

16 And I would note that at the time of
17 this trial, azithromycin had already had a successful
18 pneumonia trial, and also they had a small successful
19 gonorrhoea study.

20 In the delafloxacin study, the patients
21 randomized two to one to get either soli or
22 ceftriaxone. And in this study, the patients who had

1 chlamydia at baseline received azithromycin treatment
2 at the test of cure visit, which was around day seven.
3 And at this point in time when the study started,
4 delafloxacin had already had a successful pneumonia
5 and skin studies and has since been approved in
6 pneumonia and -- a treatment of -- pneumonia skin
7 infections.

8 In both of these studies, the outcome
9 was micro response at that test of cure. So were they
10 able to eradicate the pathogen? The test of cure
11 visit was at day seven, plus or minus three. So it
12 was assessment made at either day four up through day
13 ten, after that single dose of treatment. And the
14 focus of these studies were those patients who had
15 gonorrhea GC at baseline. Cure was eradication of the
16 bacteria. Failure was persistent infection or the use
17 of rescue antibiotics or, as all these trials are, if
18 you have missing data, the patient is assigned to
19 failure.

20 This slide just shows both of these
21 studies have since been published. And both compounds
22 failed in their overall input. The goal was to show

1 that each of these compounds in their studies were
2 comparable or not inferior to the standard of care and
3 in the micro-ITT populations. Anybody who had genital
4 GC at baseline. And the punchline is they both failed
5 to meet the primary endpoint. For soli, the cure rate
6 was 80.5 versus 84.5 percent. For DELLA [ph], the
7 cure rate was 85.1 percent versus 91 percent.

8 I would point out that in those ITT
9 populations, there was a difference. Again, I've
10 mentioned -- and other folks have mentioned -- the
11 assessment's done in the ITT population. So if
12 patients are missing, they're called failures. When
13 you remove those patients who did not come back for
14 follow-up, the cure rate for ceftriaxone was actually
15 97 to 100 percent.

16 So despite the discussion of resistant
17 organisms, these studies that were done -- the DELLA
18 study was in the US only. The soli study had sites in
19 the US and in Australia. They had a very ceftriaxone
20 cure rates.

21 There were differences as I show here
22 in both studies in the -- in the -- in some subgroups.

1 And both groups, you know, patients were cured. They
2 actually did well with these single doses; however,
3 there were some groups where there were more failures
4 seen. And in both studies, that was more likely to
5 see a slight increase in failure rate in the men
6 seeking sex with men -- population.

7 So as I note here, both of these
8 studies have been published. And in both of these
9 publications, the authors have suggested that one dose
10 was not enough for everyone. It actually worked in
11 many patients, but it did not treat everybody. And
12 you may need to have more than one dose. You need to
13 think about these factors.

14 I would point out that with failed
15 studies and pharma, many of the companies currently
16 working in antibiotic development just don't have the
17 time or money to go back and repeat these studies. So
18 neither of these products have been further studied in
19 the treatment of gonorrhoea.

20 It would seem to be that this is
21 straightforward, that you should only have single-dose
22 therapy, but this area is very challenging.

1 So sort of my last slide, to summarize
2 these as been moted over and over again. The lessons
3 learned in what has been worked on in the last few
4 years and where further work needs to be done. To be
5 successful, drug developers need to understand the
6 antibiotic level and what -- how much exposure do you
7 need to treat that infection.

8 We need new methods, whether it's
9 invitro or in vivo methods that are accepted by
10 regulators and researchers to be able to understand
11 that. We also need to make sure that we treat -- are
12 able to treat patients, but you need to strictly focus
13 on the tougher to treat population and some of these
14 various subgroups.

15 You also need a large enough sample
16 size to gather patients with lots of different
17 bacteria -- different resistant patterns, and that's
18 always an issue with phase two studies in antibiotics.

19 You need to understand the dosing
20 strategy as shown here in these studies. A single
21 dose was not enough. Could there be alternate
22 formulations besides oral dosing. And single doses

1 that might be acceptable to prescribers and patients.

2 Also, are there considerations of
3 whether there should be different regimens used in
4 populations that are at-risk for a more resistant
5 bacteria.

6 I know this is not the point of this
7 workshop, but I am going to point out and you will see
8 that clearly this is a public health issue; however,
9 almost all the development currently occurring is
10 based on public funding. A single dose of antibiotic
11 doesn't pay the pharma bills, and in general,
12 investors and companies have abandoned antibiotic
13 development because of the high cost and low revenue.
14 So funding is limited. And so this is something that,
15 outside of this workshop of course, has to be
16 considered how to support this very high unmet need
17 for the public.

18 And I think that's my last slide. My
19 fellow presenters will talk about their view on these
20 development issues. Thank you.

21 MS. DEAL: Thank you very much, Dr.
22 Cammarata, for the overview of the two previous

1 trials.

2 And now I'd like to introduce Dr.
3 Ricardo Chaves. Dr. Chaves is the executive medical
4 director at Debiopharm International in Switzerland.
5 He brings 13 years of -- clinical experience as well
6 as microbiology in the hospital, followed by 20 years
7 in pharma.

8 I invite you, Dr. Chaves, to start your
9 presentation.

10 MR. CHAVES: Can you hear me well?

11 UNIDENTIFIED SPEAKER: Yes, we can hear
12 you.

13 MR. CHAVES: All right. So I am
14 Ricardo Chaves, responsible for the clinical
15 development program in infectious diseases at
16 Debiopharm.

17 On behalf of our company, I'd like to
18 thank you for the opportunity to contribute to your
19 workshop.

20 Today, I will present our thoughts
21 about Development of novel drugs for Neisseria
22 gonorrhoeae - and especially Translational challenges.

1 So in the first part of my talk, I will
2 briefly share with you some of the drug development
3 activities in our portfolio. I will then touch upon
4 our considerations concerning novel drugs against
5 *Neisseria gonorrhoeae*, including some perspectives on
6 the respective Target Product Profile. And finally, as
7 our compound is heading towards IND, I selected some
8 highlights from our preclinical activities as well as
9 translational challenges to complete this
10 presentation.

11 At Debiopharm, we are committed to
12 develop novel antibacterials and specifically to
13 successfully develop the first FabI inhibitors and
14 hopefully provide a game changing drug class to treat
15 bacterial infections. The Mechanism of Action of FabI
16 inhibitors is novel - they disrupt the bacterial fatty
17 acid biosynthesis and consequently prevent bacterial
18 growth. As expected from new antibacterials, FabI
19 inhibitors have low potential for spontaneous
20 resistance development and no cross-resistance with
21 other antibiotics. Besides their potency, unique
22 properties are a very narrow spectrum of antibacterial

1 activity with potential for pathogen-specific
2 therapies. Well, for those working with antibiotic
3 stewardship, this could be a real dream! The resulting
4 low offset selection pressure allows the use of FabI
5 inhibitors without any relevant effect on the normal
6 gut flora.

7 MR. KIM: Dr. Chaves? Dr. Chaves?

8 This is Peter Kim. We're having a difficult time
9 hearing you. Is there any way you could either be
10 closer to your microphone or speak more loudly? Sorry
11 to interrupt.

12 MR. CHAVES: Is it better now?

13 MR. KIM: Yes.

14 MR. CHAVES: Okay. I hope. Should I
15 start it right again or should I continue?

16 MR. KIM: Please feel free to continue.

17 MR. CHAVES: Okay. So besides their
18 potency, unique properties are a very narrow spectrum
19 of antibacterial activity with potential for pathogen-
20 specific therapies. The resulting low offset selection
21 pressure allows the use of FabI inhibitors without any
22 relevant effect on the normal gut flora. We believe,

1 these advantages can bring a significant improvement
2 in the treatment of infectious diseases. By the way,
3 for *Neisseria gonorrhoea*, the effect on the pharynx
4 flora will be of interest. Our front runner in
5 clinical studies is AFABICIN/ in the treatment of
6 staphylococcal infections. This drug has achieved
7 promising results in phase two trial in skin
8 infections vs vancomycin and linezolid - and note:
9 AFABICIN is inactive against all non- staphylococcal
10 gram-positive and gram-negative pathogens. Our front
11 runner in our preclinical pipeline is DEBIO1453, a
12 FabI inhibitor against *Neisseria gonorrhoea*, including
13 MDR strains. We have also a FabI inhibitor against
14 *Acinetobacter baumannii* - and both programs are kindly
15 supported by CARB-X. Can you continue to hear me
16 well?

17 MR. KIM: Yes, sir.

18 MS. DEAL: We can.

19 MR. CHAVES: So one of our key
20 considerations concerning the development of new drugs
21 against *Neisseria gonorrhoea* is the high risk of
22 failures - even after an eventually successful

1 registration - this means, risks are added to those
2 explained by Sue Cammarata, the previous speaker. The
3 fate of any new antibacterial drug introduced into
4 routine clinical practice to treat this infection is
5 rapid emergence of resistance or rising MICs.

6 Epidemiological and other infection-specific factors
7 in gonorrhoea possibly play a major role in this fate,
8 and these factors are not expected to dramatically
9 improve over the next years or decades. Extra-genital
10 sites of infection and especially pharyngeal
11 infections are not well characterized. These
12 infections are often asymptomatic, are difficult to
13 cure and probably play a relevant role in resistance
14 development. In contrast, practicing physicians - and
15 patients - usually prefer single dose treatment, but
16 all factors mentioned before actually indicate that
17 multiple dose regimes are probably well justified at
18 least in a considerable proportion of patients.

19 Two final considerations: Changes in
20 the treatment guidelines for gonorrhoea are frequent
21 and different across countries. This brings relevant
22 regulatory challenges for developers. Assuming

1 successful pivotal program and regulatory approval,
2 standard of care may have already changed - and is at
3 launch different from your comparator; this is not the
4 situation developers would like to face when bringing
5 a new therapy for patients. Finally, an additional
6 point to mention is the uncertainty about the best
7 choice (intracellular vs extra-cellular bacterial
8 killing) as a criterion to select drug candidates.

9
10 Based on the situation analysis
11 described in the previous slide, I listed here points
12 to discuss on the target product profile. Let's start
13 with the indication by site of infection, urogenital;
14 fortunately, these are the most frequent infections
15 and the ones with higher treatment success rates; they
16 are therefore well placed in the acceptable case.
17 Pharyngeal infections belong in the ideal case. Target
18 population of adults belong in the acceptable case,
19 while inclusion of adolescents can be in the ideal TPP
20 - difference being driven by time to perform studies
21 and costs. I think, there are good reasons to keep the
22 doors open for intramuscular formulations both in the

1 acceptable and the ideal TPP, as well as for multidose
2 treatment regimens.

3 Highlights of the preclinical
4 activities up to IND. The Toxicology Work Package is
5 well defined - this is very helpful. There is one key
6 point to mention: In cases where the API synthesis
7 activities are complex and costly, it is tempting to
8 target short GLP toxicity studies only covering the
9 intended treatment duration in humans, for
10 example, 1-3 days, to reduce project costs and
11 expedite the start of studies in humans. There are
12 regulatory paths that support this approach. While
13 this flexibility is highly appreciated, other
14 regulatory bodies such as EMA do request 2-week GLP
15 studies; therefore, Developers may prefer to conduct
16 2-week studies - or longer - to support global
17 development and avoid additional in vivo studies down
18 the road.

19 Neisseria Gonorrhoeaea is a fastidious
20 bacterium and has very specific requirements to grow -
21 it is typically cultured using agar. The microbiology
22 work package is the soul of any antibacterial

1 development and may reveal the potential of the drug
2 candidate in the clinic. However, the respective
3 guidance documents include a number of assays that are
4 to be performed in liquid cultures. In case of
5 *Neisseria gonorrhoeae*, results of conventional assays in
6 liquid medium (MBC, killing curves, etc) are
7 particularly affected by test conditions -
8 standardized and validated tests are missing.

9 Therefore, it is challenging for us to compare the
10 performance of different compounds or drug candidates.

11 We believe that data from liquid cultures should be
12 considered exploratory for *N. gonorrhoeae*.

13 *Neisseria gonorrhoeae* has also
14 particularities when we look at the In vivo work
15 package. Animal modelling of *gonorrhoeae* infections is
16 challenging due to the strict adaptation of this
17 bacterium to humans. Accordingly, most development
18 programs have relied on surrogate models, for example
19 using the neutropenic mouse thigh model with *Staph*
20 *aureus*. Regulatory guidance documents mention,
21 however, that - ideally - the animal model of
22 infection should be similar to the infection of

1 interest in humans. In addition, the bacteria used in
2 the model should have similar characteristics - as
3 virulence factors for example - as the one causing the
4 disease of interest. Fortunately, there is growing
5 published evidence that the mouse vaginal model for
6 *Neisseria gonorrhoeae* is a good option not only for
7 research but also as a translational PK/PD tool.

8 Debiopharm has generated data suggesting robust Pk/PD
9 using this model: Reproducible, quantitative dose-
10 response as well as the identification of appropriate
11 PK-PD indices. We believe that these data should be
12 considered appropriate for regulatory purposes.

13 Future challenges are expected in our
14 development program once IND is achieved. In contrast
15 to the mentioned advances for vaginal infections,
16 reliable models for extra genital sites have never
17 published. Alternative approaches may be used to try
18 to predict antibacterial activity in extra-genital
19 sites, such as Physicochemical characteristics of drug
20 candidates to assess cell permeability, tissue
21 distribution and penetration, intracellular killing
22 and impact of treatment duration. These approaches

1 however remain very exploratory, and new developments
2 in this area are paramount to bridge the challenging
3 PKPD gap for Neisseria Gonorrhoea. Thank you very much
4 for your attention.

5 MS. DEAL: Thank you very much, and
6 particularly for highlighting some of the questions
7 from the industry point of view. Thank you very much,
8 Dr. Chaves.

9 And now I'd like to introduce Dr.
10 Caroline Perry. Caroline is the asset lead and
11 clinical development director for gepotidacin which is
12 a novel antibacterial agent in development by GSK in
13 partnership with BARDA, with the indication for
14 gonococcal infection and uncomplicated urinary tract
15 infections.

16 Dr. Perry has over 20 years of
17 experience in drug development at GSK and I welcome
18 you to the floor, Dr. Perry. Thank you very much.

19 MS. PERRY: Thank you very much, Dr.
20 Deal. And thank you to the organizers for inviting me
21 on behalf of GSK and BARDA to discuss some of the
22 challenges and the lessons that we are learning while

1 we have a phase three study ongoing right now.

2 So gepotidacin is the molecule. It's a
3 novel antibacterial agent. It's in development, in
4 phase three. For both GC and uncomplicated -- tract
5 infections. I've listed its study and its -- and NCT
6 code there on [clin-trial.gov](https://clinicaltrials.gov) if anybody's interested
7 to sort of see some of the details.

8 The study actually started in October
9 2019. Our original completion date was due to be this
10 month with an estimated about 600 participants
11 enrolled. Unfortunately, because of the -- the COVID
12 pandemic, revised estimated completion date is now
13 pushed way out into 2023. So -- and that has been
14 based upon current enrollment rate that we're
15 observing and the -- the lockdown issues also within
16 different countries, and in different sites in
17 relationship to sort of COVID.

18 So that is going to be one of the
19 challenges that I will talk about as I get into this
20 sort of presentation.

21 Also, the study is lower H is 12 years.
22 We don't have enough for age limit at all, but we are

1 restricted to individuals with a body weight of -- of
2 45 kilos or greater.

3 The study is actually following the FDA
4 guidance for industry as has been described earlier
5 this afternoon. And the study, we have sites open in
6 six countries, so it's a global study -- in the US,
7 Australia, the UK, Germany, Spain and Mexico. The
8 last country opened its sites just earlier this month.
9 So that is just an indication of how the COVID
10 pandemic has driven some of these operational
11 challenges. It's been 18 months to be able to open
12 our last -- the last of the six countries.

13 So one of the -- the first of the
14 challenges we faced in setting up the study was to
15 identify the selection of the comparator. With a --
16 to sort of run a global study in multiple sort of
17 countries, that presented a huge issue. And I've got
18 listed here, back in 2019, the standard of care in the
19 six countries that we were interested in conducting
20 this study in. And then you can see that none of them
21 are identical. They're all different. But those --
22 doses that we chose was actually 500 milligrams of

1 ceftriaxone plus 1 gram of oral azithromycin. And
2 really at the time it was only -- majority of the
3 countries were using the combined dual therapy, but
4 now both US and the UK have actually modified their
5 recommended standard of care and are just now using
6 just ceftriaxone.

7 So what is really urgently sort of
8 needed is a global agreement either on standard of
9 care or the -- the standard comparator that we can
10 actually utilize for clinical trial purposes.

11 So the challenge that we faced by
12 slighting the comparator, we needed to then negotiate
13 with each of the agencies and -- committees in the six
14 countries. But the comparison that we were choosing
15 was acceptable within the framework of the clinical
16 trial.

17 The second challenge that we sort of
18 faced was really culture versus NAAT testing as a part
19 -- used a primary endpoint. We've heard the FDA
20 guidance in 2015, the primary endpoint is a culture
21 confirmed eradication of the infection and that
22 defines the micro-ITT population. That's how our

1 study's actually setup and that testing is used to
2 richen the enrollment of valuable participants. And
3 we can use that data to define the secondary endpoint.

4 However, what is challenging is the
5 principal investigator both capability and also
6 capacity to be able to participate in a clinical trial
7 becomes a challenge, particularly when there's very
8 little standard training for PIs to -- to learn how to
9 obtain cultures. There's very little bedside plating
10 availability or the availability of a local lab to be
11 able to maintain the viability of those cultures.

12 So while it's easy to spread the
13 infection, the viability of the organism is -- is --
14 it's very hard to maintain and needs to be plated
15 immediately or within a number of hours.

16 So, you know, with a limited global
17 network of experts, because in that majority of you on
18 this call are experts in GC. You know how to culture.
19 You know how to be able to really identify the right
20 patients. They aren't sufficient of you to be able to
21 sort of support the number of clinical trials that
22 require patients that are presenting with GC

1 infections. And so there's plenty of competition from
2 other sponsors. So whether we're, you know, we're a
3 sponsor looking to develop a treatment or a vaccine or
4 even a diagnostic, we're all hunting for your time and
5 your capability. And quite often, that is not always
6 available to all of us.

7 So again, there's a limited network of
8 experts, and so that does present some operational
9 issues in where you're going to place your study and
10 how quickly you can actually enroll your study.

11 Also for all sponsors to be able to
12 sort of have the ability to have access to some local
13 or other regional WHO or the testing laboratories, you
14 have reliable culture and isolate transportation
15 conditions established would help to reduce the
16 variability. And as of for the window shipment that
17 we're observing, to help improve pathogen recovery and
18 isolate transport.

19 You know, we have to be able to sort of
20 setup local labs for those sites that don't have them,
21 and then have to be able to ship those isolates to
22 essential labs for -- for susceptibility testing.

1 Having an opportunity to link into
2 those established laboratories that are part of
3 surveillance networks would be really useful for all
4 sponsors.

5 Also consideration -- primary endpoint
6 and perhaps thinking about using cultures for the
7 secondary endpoint. You know, more countries and more
8 PIs and more clinics, they utilize NAAT routinely.
9 Culture and susceptibility testing is still required.
10 You need that to be able to determine your break
11 point, but perhaps that could be done from a subset of
12 sites or subset of the subjects within the study
13 rather than all of the subject.

14 Another challenge that we sort of face
15 is, you know, body site sampling and enriching the
16 patient population. So our study is setup to sample
17 all three body sites. The prime site is urogenital,
18 but we also sample the pharynx and also the rectum.
19 And we do this for both culture and also NAAT. This
20 is a huge burden for both the participant and also the
21 site staff to be able to conduct. We also do tests
22 for chlamydia and also mycoplasma genitalia.

1 So that sort of, as I say, increases
2 the burden for the subject. The burden -- in terms of
3 their number -- has to be collected and the actual
4 time on site for those subjects.

5 Also very challenging as you've heard
6 today, enriching for -- for women, for females --
7 adolescent participants. At least 50 percent of women
8 are asymptomatic. It's also more difficult to obtain
9 good cultures for women. And also, not all women
10 present at STI clinics. A high percentage will
11 present at the OBGYN clinic. So it's, again, women
12 are very difficult to obtain within a clinical trial
13 environment.

14 And then also it's very difficult to
15 obtain adolescents. We've heard quite clearly that
16 the highest -- of diseases in the adolescents in that
17 very sort of young adult population. In the
18 adolescents, it is a challenge to obtain consent. And
19 each country, each site or each ethics has a different
20 set of requirements. Some don't even like to and will
21 not agree on having adolescents participate in adult
22 studies. Most of the minimum age that is allowed is

1 16. As you saw in one of my earlier slides, our
2 minimum age is 12 and that was at the request of the
3 regulators. It is very challenging to find
4 adolescents to be able to participate in these
5 studies.

6 The other challenge that we -- we faced
7 is that gepotidacin is being dosed in the multi -- you
8 know, it's a multidose regiment. It's requiring two
9 doses and we need to ensure that that second dose is -
10 - is being taken. So we had, you know, the battery of
11 the PKPD studies that you've heard -- both Magnus
12 Unemo and George Drusano discussed earlier this
13 morning that enabled us to determine the right dose
14 that we feel is needed for this indication. But it's
15 a two-dose regimen. We need to make sure that those
16 subjects are taking that second dose.

17 So with the ascent of the pandemic, a
18 number of other challenges have arisen. Some of which
19 I think we also heard from Dr. Reno and Dr. McNeil in
20 a sense that sexual health has been totally
21 deprioritized. Rightly so. All of you are infectious
22 disease specialists. You've been redeployed to

1 support your institutions and your hospitals to treat
2 the patients with COVID. But also, the regulators and
3 the ethics have also deprioritized review of trial
4 applications as well because those with COVID take
5 precedent.

6 Also, we've seen that health
7 authorities, particularly from the UK and Australia --
8 there's sort of three steps of the application. The
9 regulator, the ethics and then the health authority.
10 We have to reapply because they put the studies on
11 hold.

12 So that all, again, is a challenge. It
13 takes time to be able to get the sites back up and
14 running again. As you sort of see, we're having these
15 waves of the pandemic and each time, it -- you know,
16 we're coming out of a particular lockdown. We get the
17 sites back up and running again and then we're locked
18 down again. So it's been a real sort of challenge
19 each time we see another lockdown to keep the studies
20 running.

21 The other challenge that we've also
22 observed -- again, discussed earlier -- is the

1 restrictions with the lockdown and the impact on
2 clinic visits. It's a huge burden for the patient.
3 It's a huge burden for the clinic staff as well. And
4 participants are really reluctant to spend time in
5 clinic. You know, the study is setup. We need to
6 collect cultures, so those participants need to be
7 able to come back into the clinic to have a culture,
8 particularly the test to cure culture as well. That
9 is a huge challenge to be able to minimize the time on
10 site for those patients, but also to encourage them
11 that it is -- it is safe to be able to come back on
12 site because they're very, very concerned about COVID.

13 So we've been exploring things like
14 using Eco sense such that we can consent the patient
15 prior to coming on site, and also going through the --
16 the general medical history. Both consenting a
17 patient for a trial, going through the standard --
18 sort of listing the medical history can take a fair
19 amount of time. And if we can reduce that time on
20 site by doing it remotely before the patient comes in,
21 that's -- we hope will really sort of help encourage
22 participants in this study.

1 Also, we're, you know, looking to
2 explore telemedicine as well. As I said, this would
3 collect a lot of that sort of medical history, and
4 also turn the follow-up visit into a remote visit by
5 the use of telemedicine.

6 So these are some of the -- the
7 operational sort of challenges we didn't realize we
8 were going to face, but have experienced because of
9 the pandemic. But I think some of these perhaps could
10 be here to stay, particularly a lot of -- using a lot
11 of the technology now. The remote consent,
12 telemedicine, I think will help not just for the
13 studies in gonorrhoea, but all clinical trials.

14 So in looking at how we can sort of
15 reduce the delays that we're experiencing, it's also -
16 - to think about the non-inferiority margin. And as,
17 you know -- discussed sort of earlier, the -- margin,
18 it's only in the FDA guidance of 2015, is 10 percent.
19 Now that margin is based on trials in which the
20 effective therapy was compared to perhaps a less
21 effective or ineffective therapy. And three trials
22 were used in that -- that particular sort of

1 metanalysis to determine that non-inferiority margin.

2 And those studies were from 1986 and also 2001. So

3 that's pretty old studies.

4 Now there are a lot more recent trials
5 that could be used to recalculate that non-inferiority
6 margin and could actually be, you know, using a more
7 appropriate standard of care, ceftriaxone alone. And
8 giving the obstacles that I just sort of discussed in
9 developing, you know, new medicine for gonorrhoea,
10 currently having a larger acceptable difference or a
11 larger non-inferiority margin could be considered
12 based on a new updated metanalysis.

13 So these are some of the thought
14 processes that we as an organization are sort of
15 having to try and make the study much more sustainable
16 and we can complete it in a shorter period of time
17 than what is currently predicted.

18 I'd just like to sort of wrap up by
19 sort of saying, you know, we need pragmatic trial
20 considerations. All of us, as Sue has mentioned, as
21 utilizing public/private, you know, partnerships and
22 can those public funds be used more effectively? Can

1 we think about apart from trial design or a master
2 protocol, absolutely, that idea could certainly drive
3 efficiency.

4 So I can give you an example there,
5 probably taking a bit of a liberty, but perhaps
6 sponsored by NIAID, a single comparator and then
7 multiple sponsors could then join that trial. So
8 something to think about.

9 Also a global network of GC
10 professionals that would support that platform trial
11 with -- together with a number of sites that
12 specialize perhaps in recruiting women, and sites that
13 have the ethical and the preapproval to recruit
14 adolescents. This would help all sponsors.

15 Some of the other things that would be
16 really, really useful, harmonize regulatory trial
17 approval and ethics review. It's different in every
18 country and presents a number of challenges. And the
19 thought about -- thinking about using NAAT testing for
20 a primary population definition would be very, very
21 useful. And then access to the surveillance labs that
22 -- by who or the -- would be -- really help I think

1 all sponsors. So thank you. I'll end there and pass
2 back over to the chair.

3 MS. DEAL: Thank you very much. And I
4 think we've all experienced delays in so many trials
5 because of COVID, so -- but thanks for pointing out
6 what some of the practical challenges are, Caroline.

7 And so now I'd like to introduce our
8 next speaker, which is Dr. Seamus O'Brien. Dr.
9 O'Brien is with the Global Antibiotic Research and
10 Development Partnership -- GARDP. He is the R&D
11 director since July 2018 and is responsible for
12 strategic development and delivery of the antibiotic
13 R&D portfolio.

14 Currently, he is also the interim lead
15 for the STI program area and the soloflormycin
16 project. I invite you to come to the floor, please.

17 MR. O'BRIEN: Thanks, Carolyn. And
18 first of all let me just check whether you can hear
19 me.

20 MR. KIM: Yes, we can hear you.

21 MR. O'BRIEN: Excellent. Okay, great.
22 First -- first task completed.

1 Okay. So first of all, I'd like to
2 thank the organizers for the opportunity to speak here
3 today. I'm going to share some thoughts. Some of
4 them will not be new and I'll try not to repeat what
5 others have said, but I'm going to share some thoughts
6 about addressing the sort of global public health need
7 when we consider future development programs for
8 antibiotic treatments for gonorrhoea. And my thoughts
9 are based on challenges and opportunities we see with
10 our current and also future programs.

11 Just to start, a little bit about GARDP
12 for those of you who don't know. GARDP is a not-for-
13 profit international foundation focusing on developing
14 and delivering of public health orientation portfolio
15 of antibiotic treatments. For those priority --
16 particularly impacted by antibiotic resistance for
17 which there are limited treatment options.

18 If I can just get -- let's see if I can
19 get the next slide, Grace. Okay. So sexually
20 transmitted infections are a key disease area for
21 GARDP and are particular gonorrhoea and related
22 infections.

1 This slide outlines our framework
2 approach we take to develop portfolio development
3 projects for gonorrhoea. The goal is to develop public
4 health treatments and to do that to go beyond primary
5 regulatory approval. We wish to focus on those
6 antibiotics that have the best potential to address
7 that need and delay the emergence resistance.

8 We understand that the initial
9 development plan is defined by the regulatory pathway
10 for uncomplicated gonorrhoea, and that would be our
11 first step on the public health pathway.

12 We understand -- treatment is in place
13 until rapid bacterial identification of susceptibility
14 testing is widely available. And that even if you're
15 developing a monotherapy by the uncomplicated
16 gonorrhoea route, combination therapy is expected to be
17 required to provide adequate coverage.

18 And with current regulatory pathways,
19 if you get past the primary indication, significant
20 development will be required to confirm regimens to
21 cover the key populations and the pathogens involved.

22 So the first project within this

1 portfolio approach is a co-development with entasis
2 therapeutics. We are partnering to develop a novel --
3 inhibitor developed specifically to treat gonorrhoea.

4 Just in quick comment on -- on the
5 history of this antibiotic, really demonstrates the
6 challenges faced and partnerships needed in bringing
7 new treatments forward. It started developing in
8 AstraZeneca, went with -- when it was still up in the
9 independent -- tech and AstraZeneca since developed --
10 developed antibiotics. And NIAID has been a key
11 partner in the phase two. In the phase two
12 demonstrated -- efficacy for uncomplicated urogenital
13 to the rectal gonorrhoea. And NIAID also a key player
14 in the clinical pharmacology --

15 And now -- in phase three and other
16 supporting clinical pharmacology studies, we're also
17 developing a public health access strategy for the
18 priority of lower, middle income countries that we are
19 responsible for.

20 So here we have an overview of the
21 phase three study. And before I -- before I start on
22 this slide, I'd just like to say I echo strongly all

1 the comments that Caroline made from GSK on all the
2 challenges generally with a phase three study, and
3 particularly the impact of COVID on the conduct of a
4 gonorrhoea study during the pandemic.

5 So the design and -- here is very
6 similar to the studies described by both Caroline and
7 Sue previously.

8 The study's ongoing. With all
9 countries -- study in the US, Netherlands, South
10 Africa and Thailand. Lineal sites now are activated,
11 we're just pending a couple in Thailand which will be
12 activated shortly.

13 Now we need to randomize over 900
14 patients to achieve just over 600 culture-positive
15 patients with uncomplicated gonorrhoea. We are
16 comparing an oral monotherapy and the combination of -
17 - ceftriaxone and oral azithromycin -- oral
18 azithromycin. And that is a combination that rarely
19 fails with very high cure rates.

20 The primary endpoint is microbiological
21 cure in the micro-ITT population. This population
22 includes all those with a positive culture baseline --

1 will include all those who have missed test of cure
2 and those -- the follow-up as failures.

3 So if we look at the phase three, what
4 does success look like? And I'm talking here very
5 much in the context of oral monotherapy as a - as the
6 agent that we're developing and thinking about future
7 development programs.

8 The regulatory approval is the first
9 step on the pathway to address both US and global
10 public health need. Now what we all want is to avoid
11 failing a drug at this first step.

12 So my slide got off my screen. I can
13 continue talking, but I'm -- can you -- can you see
14 the slides? I'll just wait to see if it's coming up.
15 Okay. And, Crystal, can you hear me still?

16 MR. KIM: Yes, we can hear you.

17 MR. O'BRIEN: Can you see -- can
18 anybody else see the slides or is it just me?

19 MR. KIM: Yes, we can see the slides.

20 MR. O'BRIEN: Okay. Well, I'll just
21 try and do it off a paper copy then and -- for the
22 interest of time.

1 Okay. We're back on. Great.

2 Okay. So where was I? So what we want
3 to do is to avoid failing a drug at the first step.
4 So my comments here are based on considerations for
5 our current study, obviously, but also for future
6 development options. And the now case thinking about
7 the public health value of an old drug.

8 The regulatory success for a new
9 treatment is currently based on the demonstration of
10 non-inferiority, based on a different -- 10 percent at
11 the lower bound of the confidence interval in the
12 primary endpoint using a micro-ITT population.

13 So is this a significant barrier to
14 reach the first base for success? Well, it is if we
15 consider the comparator that rarely fails at a high --
16 greater than 99 percent microbiological cure rate.

17 Firstly, we need a large -- just to
18 demonstrate that the active is not worth the 10
19 percent. But also to demonstrate that the -- is
20 greater than the accepted CDC threshold of 95 percent
21 of the lower bound of the 95 percent --

22 And also for some recent analysis of

1 the -- that Sue talked about in phase two studies, we
2 need to consider a baseline for the -- of at least
3 minus four percent between oral monotherapy versus
4 this comparator as the starting point.

5 Also, if we consider the analysis
6 population, considering the patient population for
7 these studies, the risk of increased failure due to
8 loss of follow-up or patient exceeds the window of the
9 test to cure visit is considerable and is not
10 necessarily controlled -- controlled by randomization,
11 but the percentile for greater impact therefore on a
12 new, active treatment.

13 So this risk has been particularly
14 impacted by COVID, potentially increasing the number
15 of patients who could be missing the test of cure and
16 being lost to follow-up.

17 If I summarize this -- this slide with
18 a 10 percent non-inferiority margin with a minus 4
19 percent fail -- if there are 10 to 15 percent missed
20 test to cures, could lead to failed study. And this
21 is particularly concerning for drugs which we may
22 believe have a public health value.

1 Okay. So thinking of the current study
2 and future development studies and programs. You
3 know, what is the definition of success when we are
4 thinking about addressing the public health needs?

5 Well, if we think about the -- phase
6 three, that would really address personal health.
7 What I mean there is I describe that as a treatment
8 efficacy -- safety at the level of the patient in
9 front of the physician in the clinic. As been
10 mentioned, we also need to think about how effective
11 and suitable the intervention is or treatment is for
12 key impact populations -- women, the MSM population,
13 adolescents and -- and partners.

14 Can we demonstrate that -- treatment of
15 HIV patients and other at-risk populations.

16 Can we demonstrate reduced transmission
17 of disease, which is the real value for efficacy at
18 the pharyngeal side.

19 And related to that, can we demonstrate
20 treatment of difficult -- to treat with resistant
21 infections? We know lower cure rate and -- we know
22 there are lower cure rates in patients with isolates

1 at higher MIC and the lower cure rates in the MSM
2 population.

3 I should have said linked to
4 transmission is linked to also suppression of spread -
5 - resistance.

6 So I think the point to make here is
7 really that we need diverse options that have -- may
8 have different impact. Different modalities and value
9 depending on what we're trying to address.

10 I think we can all accept -- overall,
11 we can all accept -- overall, we can all accept our
12 current reliance of a single class of antibiotics and
13 one member of that class is not sustainable.

14 In our case and for other programs, a -
15 - related to another model of action can provide
16 strong public health options for patients and
17 partners, but we have to -- we have to understand they
18 may fail based on the current guidance of the first
19 step.

20 So, you know, what are we doing as
21 developers now to address public health needs.

22 Caroline mentioned some of this. We're probably not

1 doing as much as we would like because of the risk the
2 phase three study conduct and outcomes. For example,
3 we of look at the area that we are focusing on -- is
4 to include more -- there needs to be significant
5 sample size increase to include more asymptomatic
6 women. We need to factor in significant prescreening,
7 which does come with high failure rates, and very high
8 numbers up to 50 to 70 percent are randomized female
9 participants based on exposure who will potentially be
10 ineligible for the MITT population.

11 You've heard previously on the ethical
12 and regulatory challenges to include adolescents.

13 Also, if we wish to include some of those priority
14 populations I mentioned in countries with significant
15 burden of disease, we need to commit to build
16 capability as sites may not be as experienced as more
17 research -- sites.

18 So therefore, when we address -- talk
19 about -- when I talk about addressing public health
20 needs, there is a balance to increase the public
21 health value of the phase three versus increasing the
22 risk of -- of failure.

1 So overall, before I go onto the last
2 couple slides, we can exist -- sorry. We can exhaust
3 all the operational actions to increase the valuable
4 participants to focus on the true microbiological
5 success and failures within the micro-ITT population,
6 but it may not be enough with the current design to --
7 failure at the first regulatory approval step.

8 So I'm going to finish with just some
9 thoughts and considerations and questions that we can
10 look at to improve the likelihood of success from a
11 public health perspective.

12 My first slide is focusing on the phase
13 three. So for example, what could be considered a
14 successful outcome from a public health perspective
15 for these studies? For example, could we look at
16 defining a percent success rate, for example, a
17 different threshold which could be compared
18 historically maybe to the historical placebos? So a
19 different threshold approach to define a success rate
20 for public health value.

21 As mentioned by the previous speakers,
22 if a large and non-inferiority -- now justified from a

1 public health need perspective, we do have examples
2 from other infection syndromes and priority pathogens
3 -- like gonorrhea is a priority pathogen -- including
4 other single pathogen targets which maybe we can
5 consider. And these -- these pathogens here are --
6 antibiotics are being developed by streamline
7 development programs to address the infections caused
8 by those pathogens.

9 Should the prime analysis include only
10 patients that are truly valuable and we could consider
11 the efficacy analysis of the modified micro-ITT
12 population as a key secondary point for example.

13 As has been mentioned in the recent
14 2019 CID publication, could we consider other
15 endpoints such as the desirability of outcome ranking
16 in combination with inferiority outcome to provide a
17 more broader value assessment for a new treatment.

18 And is one well-controlled -- adequately well-
19 controlled study, which is based on an aggregate of
20 individual outcomes, really a way forward for all
21 candidates which may differ -- attributes and modality
22 to address public health value. For example, as

1 others have said, some interventions may have a better
2 value for -- for women and -- women, partners. Other
3 interventions may be more suited for MSM population or
4 population with infections due to raised MIC, for
5 extragenital -- one regimen is not university-
6 appropriate maybe and therefore, one study may not be
7 appropriate. One study type I should say may not be
8 appropriate.

9 Maybe considering the -- as we have
10 seen, do we now need to think about what could be
11 implemented to ensure we have options in place in
12 advance of what would be the -- utility --

13 And lastly, just to think about the
14 broader development program -- I'm not going to talk
15 about the preclinical aspects. I'm thinking here more
16 about the clinical development and how it links to
17 evidence generation required to support -- mention
18 about the economic challenges. I think we have to
19 understand that most new drugs currently are not going
20 to be used initially for the broad indication even if
21 they achieve it. So maybe we should spend more time
22 earlier in development addressing evidence of how the

1 drug may actually be used.

2 Therefore, you know, if the urogenital
3 gonorrhoea program with a single phase -- crawl the way
4 forward. Can future development programs supported by
5 regulatory frameworks address those key public health
6 and access questions for new treatments? For example,
7 can we support studies and specific investigation?
8 Maybe with a greater waiting to address questions with
9 specific populations, resisted infection -- treatment,
10 transmission impact, modes of administration.

11 These also maybe particularly suitable
12 not just for other -- but also maybe for repurposed
13 antibiotics.

14 We talked about -- that study and --
15 and linking to - approach -- new networks to support
16 such study in the investigation in addition to maybe
17 more pragmatic core phase three studies.

18 And the last point in my slide may be
19 linked onto the next speaker. Without true point of
20 care test, should syndrome and infection pathways
21 still be considered as an option and an alternative to
22 the current pathway for developing gonorrhoea drugs?

1 So I hope I've stuck to time. Thank
2 you everybody for your time and attention. Thank you
3 very much.

4 MS. DEAL: Thank you very much for
5 raising those interesting questions. We'd ask all --
6 all the speakers to do so.

7 And so now we'll turn to the last
8 speaker in this block of developers. And I'd like to
9 present Dr. Steve Gelone who is president and chief
10 operating officer and director at Nabriva
11 Therapeutics. Steve has over 25 years of experience
12 in research and development of anti-infectives and
13 rare disease products at all stages of drug
14 development in both academic and corporate settings.

15 I turn the floor over to you. Thank
16 you very much.

17 MR. GELONE: Thank you, Carolyn, and
18 thank you to the organizers for inviting us to
19 participate and allowing me to present on behalf of
20 Nabriva.

21 So I will sort of pick up on where
22 Seamus left off to discuss a little bit about this --

1 approach to uncomplicated urethritis and cervicitis.

2 If I could have the next slide, please.

3 So there is obviously throughout the
4 course of the day a number of considerations and
5 challenges have been brought up as it relates to
6 things to consider. This I certainly by no means an
7 all-encompassing list, but what I suggest is that the
8 lenses that we've been viewing these challenges and
9 some of the other challenges through is the lens of
10 the desire to have a single-dose therapy to treat
11 uncomplicated urethritis and cervicitis caused by
12 gonorrhoea.

13 And clearly, that has many, many
14 important advantages from a public health perspective
15 and a patient convenience perspective.

16 I think it's also fair to say -- and
17 has been shared throughout the earlier part of the day
18 -- that not all things are created equally and maybe
19 not all drugs are able to -- to sort of meet that bar
20 for gonorrhoea or even beyond. And whether or not it
21 has to do with extragenital site of infection, the
22 development of resistance over time, specific patient

1 populations, or as I'll talk about a little bit more
2 in detail here, the common coinfecting pathogens that
3 are often present in patients who have gonorrhea,
4 including chlamydia mycoplasma, syphilis and others
5 which most often require multiple-dose therapy. It
6 certainly results in us sort of needing to squeeze the
7 balloon a little bit.

8 Obviously this sort of fundamental
9 thinking has led to many approvals from uncomplicated
10 gonorrhea. These are just a couple of excerpts of
11 commonly used drugs like ceftriaxone and azithromycin
12 here, and I recognize that ceftriaxone's label is
13 certainly a little bit older. But a couple of things
14 sort of jump out beyond the pathogen-specific approach
15 here. One, the idea that including something around
16 resistant strains, depending upon what phenotypes are
17 most meaningful to the compound has certainly been
18 part and parcel to prior approvals.

19 Certainly with azithromycin, the
20 ability to have approval for both gonorrhea and
21 chlamydia is -- is covered here. What I don't show on
22 this slide is that the dosing regimens are not the

1 same -- as many I think know on this call -- for these
2 two indications. And -- and it's also I think
3 important to mention that the two indications were
4 done through sort of unique-specific studies for
5 chlamydia alone and for gonorrhea alone.

6 And then lastly as it relates to co-
7 pathogens, to date, no agent has been approved for the
8 treatment of -- genitalium. So you know, again, as we
9 think about the challenges that are faced here as it
10 relates to the number of compounds and development and
11 fast forward certainly contemplating whether or not a
12 pathogen-specific approach jumped into our minds.

13 The next slide.

14 So, you know, mindful of the existing
15 challenges and -- and albeit as many have shared
16 earlier in the day, we've gotten far more informed as
17 it relates to the preclinical things that can help us
18 figure out the best dosage and de-risk programs moving
19 forward. And also being mindful of the fact that
20 there are a small number of compounds and development
21 for gonorrhea.

22 One can certainly envision what a

1 potential target product profile could look like and
2 the package insert of a product that would go through
3 a syndromic treatment approach, certainly not a
4 pathway that's uncommon for development of
5 antibacterials. There are numerous examples and
6 obviously the treatment guidelines, even for gonorrhea
7 as they exist today in the newest version and -- and
8 for prior versions. Certainly contemplate the
9 necessity of -- of assessing potential coinfecting
10 pathogens that would require more than the standard of
11 care treatment with a cell wall active agent for a
12 single dose.

13 And so we can certainly imagine drug --
14 and antibacterial that's indicated for uncomplicated
15 urethritis and cervicitis. And depending upon the
16 pathogens that were identified in this type of a
17 trial, it may include both gonorrhea, chlamydia,
18 mycoplasma, ureaplasma, whatever was -- was seen in
19 that study.

20 The target population can be debated,
21 whether it's adults or adults and adolescents, and how
22 much of a presence for gonorrhea specifically having

1 concurrent extragenital infection would be required in
2 order to have evidence to include that within --
3 within a potential label -- need for discussion.

4 But you can certainly also -- like with
5 azithromycin -- envision that you have a dosing
6 regimen that may be different and unique that's
7 pathogen-specific. So a certain dose for some number
8 of times per day or some number of hours per day, and
9 gonorrhea may be one dose and one duration, and
10 chlamydia and mycoplasma may require a very different
11 dose and duration.

12 Next slide, please.

13 So as we've contemplated, our potential
14 development of Lefamulin, which is -- antibiotic that
15 was discovered and brought through phase three
16 development by Nabriva Therapeutics. We certainly
17 reflected back on what -- what pathways may be most
18 viable for -- for this compound as it relates to STIs.

19 For those not familiar with the drug,
20 it's the first -- pleuromutilin. Its initial approval
21 has been granted in the US, Europe and Canada for the
22 treatment of -- acquired bacterial pneumonia.

1 Pleuromutilins are protein synthesis inhibitors. They
2 bind to a highly conserved region on the ribosomal
3 "pectital" transferring center that is unique and
4 different than other ribosomally active drugs. And
5 this importantly conveys a lack of cross-resistance to
6 antibiotic classes.

7 As it relates to the -- you know, the
8 treatment of acute infections like STIs, the oral
9 product obviously is -- is attractive. The PK profile
10 results in very rapid absorption. Typically, rapid
11 concentrations are achieved within 60 minutes. And
12 the distribution of the drug throughout the body tends
13 to be a much more high distribution than the tissue
14 sites relative to -- to plasma concentrations.

15 Next slide, please.

16 So as we think about it from a
17 microbiologic perspective -- are some of the data
18 we've generated around Lefamulin's activity against
19 the most common pathogens associated with urethritis
20 and cervicitis, as well as some other STIs. And as
21 you can see here against gonorrhea, M genitalium and
22 chlamydia. There's relatively potent invitro

1 activity. That activity is maintained regardless of
2 the resistant phenotype. So whether there's
3 ceftriaxone macrolide -- tetracycline resistance, the
4 MICs don't really change and that will be expected
5 given the unique mechanism of action.

6 I'd also add that at least from invitro
7 studies, Lefamulin has been shown to be bactericidal
8 both against gonorrhoea and M genitalium, which may
9 present some benefit as -- as we think forward about
10 the program.

11 In addition, there's activity against
12 some other important pathogens that may be associated
13 with PID as well as haemophilus ducreyi. And being a
14 pleuromutilin -- pleuromutilins are classically active
15 against spirochetes and we're currently evaluating the
16 activity of Lefamulin against treponema pallidum to
17 see if it might be something that could affect
18 incubating syphilis in patients who have STIs.

19 If you could go to the next slide,
20 please.

21 As was discussed earlier, you know,
22 ensuring that the drug gets to the site of infection

1 that you'd like, we've obviously done a lot of work
2 related to pneumonia given the phase three studies
3 that have been conducted and the approval for the --
4 the commune acquired bacterial pneumonia indication.

5 As we've begun to explore, the
6 potential of the compound for the treatment of STIs,
7 we've done some animal work already and I just share
8 that the male data -- we've done it in females as
9 well. And if they're single-dose of IV "radio label"
10 Lefamulin, what we've been able to see is high
11 concentrations in the genital urinary tissues using
12 micro autoradiography to -- to show where the drug
13 concentrates. And in particular in women, we see high
14 concentrations in the -- in the endometrium, which --
15 earlier in the day may be important for upper tract
16 infection with gonorrhoea.

17 You can go to the next slide, please.

18 And so as we've contemplated the
19 potential path forward for this compound, you know,
20 we're internally debating whether or not a gonorrhoea
21 pathway versus a syndromic pathway is something that
22 we should pursue. There have been a number of

1 questions that certainly have been debated internally
2 at length, and with external folks. Not the least of
3 which is, you know, how best to stratify patients in
4 an all -- type trial such as this. What would be the
5 -- the appropriate comparator, especially recognizing
6 that there are no approved treatments at the moment
7 for M genitalium, and how best and what level of
8 evidence would need to be available to support an
9 indication in this type of an outcome or trial as well
10 as what level of evidence for resistant pathogens
11 would be sufficient.

12 You can go to the next slide, please.

13 So I think, you know, at the end of the
14 day, I think we're squeezing the balloon a little,
15 right? And sort of balancing out what are the
16 important things. Is it to try and come up with a
17 one-dose or one-day regimen that may be sufficient for
18 treating uncomplicated gonorrhoea or is there -- if
19 there's an appetite for -- for regimen that is longer
20 than that, would there be benefit as it relates to
21 things like resistance development, potential ability
22 to cover common coinfecting pathogens potentially

1 interrupting the transmission cycle of subacute
2 infections that are currently present as well.

3 And so these are things that we
4 continue to debate and look forward to the
5 conversation as the meeting continues. Thank you very
6 much.

7 MR. KIM: Thank you, Dr. Gelone, for
8 giving your presentation on development considerations
9 related to potential pseudoatomic treatment approach.
10 This concludes our block on developer perspectives,
11 recent challenges and lessons learned. We'll now
12 begin our next block related to investigator
13 perspectives on development considerations for
14 antimicrobial drugs for the treatment of gonorrhoea.

15 And with that, I'd like to introduce
16 Dr. Edward Hook. Dr. Hook is Emeritus professor of
17 medicine and epidemiology at the University of Alabama
18 at Birmingham.

19 As an internist with subspecialty
20 expertise in infectious diseases, much of Dr. Hook's
21 academic career has been focused on the management and
22 prevention of sexually transmitted diseases.

1 With that, I give you the floor, Dr.

2 Hook.

3 MR. HOOK: Peter, thank you very much.

4 I'll turn on my webcam and start my presentation. Let
5 me start by saying how much I have enjoyed today's
6 meeting and how important I think it is for the future
7 of treatment trials for uncomplicated gonococcal
8 infection.

9 Let me also apologize in advance for
10 any redundancies that I present. I think many of us
11 have shared perspectives on the problems and barriers
12 encountered in terms of conducting clinical trials for
13 the treatment of gonorrhoea, and that's the basis for
14 it.

15 Let me also mention that I was asked to
16 present this along with Dr. Stephanie Taylor [ph] from
17 LSU. Dr. Taylor was unsure that she would be unable
18 to participate today and has asked me to make the
19 presentation on her behalf.

20 On the other hand, Dr. Taylor and I
21 have both worked together on assembling this
22 presentation and this represents both of our thoughts

1 and feelings.

2 I'm in the habit of presenting
3 disclosures, so I will let the audience know that
4 obviously I've had research support from national
5 institutes and allergy and infection disease, and I am
6 presently a consultant both for GARDP who we heard
7 from earlier today, as well as Visby Diagnostics who
8 make a point of care test for diagnosing gonorrhoea
9 that was mentioned earlier today.

10 Dr. Taylor has received research
11 support from GlaxoSmithKline, GARDP and -- all of whom
12 have been represented in today's presentations as
13 well.

14 Between Dr. Taylor and I, I also think
15 that we probably participated in every -- in every
16 clinical trial that's been done for gonorrhoea
17 treatment in the United States in the last 30 or 40
18 years.

19 This is the outline of my presentation.
20 There are a number of topics and a number of
21 complexities and I'll try to just work my way through
22 them in the time I have allocated.

1 Starting though, let me acknowledge
2 that I am not only an investigator, but a clinician.
3 And I think those two perspectives bring a somewhat
4 different and perhaps complimentary perspective to the
5 topic.

6 As a clinician, I can tell you that I
7 have great confidence in the current therapies. On
8 the other hand, I wish I had more treatment options
9 as-- as has already been mentioned. Right now, we
10 only have a single treatment options, a single class
11 of medications. And in fact, really only a single
12 medication is the backbone of those treatments --
13 that's ceftriaxone. And ceftriaxone does have some
14 limitations. For instance, it's an injectable
15 antibiotic. As an injectable antibiotic, many
16 clinicians' offices, if they don't see an awful lot of
17 this disease, may not stock it in their office.

18 Also, a patient can't go to a pharmacy
19 to receive an injection of ceftriaxone, so that's an
20 issue.

21 Finally, also as an injectable
22 antibiotic, Dr. O'Brien mentioned earlier we find

1 ourselves constrained with one of the important
2 initiatives for controlling gonorrhoea from a public
3 health perspective. That is delivery of therapy for
4 partners exposed through individuals with gonorrhoea
5 who may not be willing or able to come for treatment.
6 This is the so called expedited partner treatment
7 initiative, which is then backed by the CDC in which
8 is legal in most states of the United States.

9 The other issue regarding clinician
10 perspective that I'd like to bring up has to do with
11 the issue of the fact that we do have only a single
12 class of medications available for treating this. And
13 that class of medication has relative barriers. About
14 10 percent of the patients we see on a regular basis
15 believe that they are allergic to penicillin and
16 therefore other beta-lactamase antibiotics. And while
17 that may or may not be true, what that does do is
18 introduces a certain amount of concern to clinicians
19 and many, many clinicians will avoid using the
20 recommended drug because they do not have an
21 alternative available for penicillin allergy.

22 So those are my perspectives as a

1 clinician. As an investigator, there are a few other
2 observations that I'd like to make in an introductory
3 fashion.

4 One is that clinical -- while clinical
5 design or clinical trial design has really changed
6 little in the last 40 years, the epidemiology of the
7 disease has. Indeed, Dr. Bachmann mentioned earlier
8 today we see more and more infections in men who have
9 sex with other partners. Nonetheless, we persist in
10 expecting greater than 95 percent efficacy. We also
11 expect that efficacy to occur at all potential sites
12 of infection. I'll be talking more about that later.

13 Lastly, in that four-year period where
14 we've been pretty much locked in in the same sort of
15 clinical design -- study design, we've had a number of
16 things that have come up to challenge our thinking
17 about these.

18 A number of times today, starting with
19 Dr. Wi, Dr. Bachmann, Dr. Deal -- and many others have
20 all mentioned the threat of antimicrobial resistance.
21 Right now, however, as was mentioned, that is
22 primarily threat as opposed to a reality. And as

1 mentioned as well, in any site, ceftriaxone -- very,
2 very effective for treating gonorrhea.

3 I've already mentioned the problem of
4 reliance upon a single medication class as a potential
5 problem and a reason that I'm very invested in new
6 therapies. And then as I'll be talking about later,
7 we've learned that uncomplicated gonorrhea is not just
8 urogenital gonorrhea. Certainly approvals and
9 clinical trials in the past have focused on your
10 urogenital infection, but as we've gotten better
11 diagnostics for -- for gonorrhea, we've realized that
12 rectal and oropharyngeal infections are far more
13 common than they used to be. And particularly,
14 oropharyngeal infections may be more difficult to
15 treat than other uncomplicated gonorrhea at other
16 sites.

17 So now let me work on and start with my
18 discussion about clinical trial sites -- law tells us
19 that investigators should go where the disease is most
20 common because that's where clinical trials could be
21 conducted most efficiently. That means, and indeed as
22 a result most clinical trials in North American being

1 performed in sexually transmitted disease clinics.

2 Now increasingly called sexual health clinics.

3 These are dedicated. These clinics and
4 these research programs are generally located in
5 public health clinics, only a fraction of which have
6 the capacity and ability to do research on a regular
7 basis. Doctors Reno and McNeil both mentioned that.
8 Nonetheless, there are a handful of sexual health
9 clinics which do conduct the -- the majority of -- of
10 STD and specifically gonorrhoea research in North
11 America.

12 There's several other sites that have
13 the potential, and I'll come on them briefly. But
14 preview those statements by saying that none of those
15 sites have proven to be highly effective. And I think
16 in order to develop in those research sites, there
17 would be no more -- there would be more work to be
18 done.

19 The first site that comes to mind are
20 family planning clinics. This has the potential to
21 address one pressing need, which is to enroll more
22 women in studies. Enrollment of women, as I'll speak

1 about a little later, is relatively inefficient from a
2 clinical trial perspective for a number of reasons as
3 problematic.

4 Family planning clinics have the
5 opportunity. They routinely screen their patients for
6 gonorrhea. They detect a moderate amount of gonorrhea
7 and, if dedicated and developed, these research
8 centers could contribute in important ways to research
9 going forward.

10 A third set of sites are adolescent
11 medicine clinics. And we've heard today on numerous
12 occasions about the importance that many adolescents
13 and young adults get gonorrhea frequently. They have
14 a high burden of disease.

15 Unfortunately, adolescent clinics by
16 and large again have not proven to be very good trial
17 sites for clinical trials. Sometimes adolescents
18 attend the clinics with their parents and that's the
19 barrier to trial participation. Adolescent
20 investigators may have challenges in doing that
21 research.

22 The first fight, which is just emerging

1 and Dr. Marrazzo mentioned earlier, is HIV clinics.
2 More and more screening for sexually transmitted
3 infections is being carried out in HIV clinics and is
4 identifying large numbers of infected persons.

5 The HIV clinics that do research and,
6 of course, like all the other sites I've mentioned,
7 not all do. Typically, and most prominently are
8 engaged in addressing the multiple research needs
9 related to providing better, more efficient care for
10 persons with and at risk for HIV. So again, those
11 have proven not to be major clinical trial sites to
12 date, although that has the potential to change.

13 What about the participants themselves?
14 Well, again, with different participant groups, there
15 are different sites of infection and infections become
16 more and more common.

17 For instance, in men -- in men who have
18 sex with female sexual partners, the predominant site
19 of infection are urogenital infections. Rectal
20 infections become vanishingly rare in this group of
21 people, and oropharyngeal infections are somewhat
22 uncommon.

1 In contrast to that are men who have
2 sex with other men. And in those individuals,
3 multiple site infections are very common. And in
4 fact, of the sites that are typically diagnosed,
5 urogenital infections are perhaps the least common in
6 a number of studies. Rectal infections are most
7 common. Oral pharyngeal and urogenital infections
8 follow in the relative prevalence of oropharyngeal and
9 urogenital infections vary from population to
10 population. As I already said, multiple site infects
11 are also very prominent in this group of people.

12 In terms of trial participants, women -
13 - again, urogenital tract infections predominate, but
14 rectal and oropharyngeal infections are relatively
15 common in this group as well. More on this a little
16 bit later.

17 In adolescents, the sites of infection
18 involved with diagnosis are highly variable.

19 Before I go any further, we've heard a
20 number of comments today about oropharyngeal and
21 rectal infections. And please, I would urge all the
22 participants in this study to step away from the term

1 "extragenital infections." It's an imprecise term and
2 there are important differences between these two
3 sites of infection.

4 For rectal infection -- pardon me --
5 infections can occur in two different ways. They can
6 occur through direct inoculation through receptive
7 rectal intercourse, or in women -- as was mentioned
8 earlier -- local contamination with cervical vaginal
9 secretions may lead to rectal colonization with the
10 organisms as well. This has been proven not only for
11 gonorrhoea, but for chlamydia.

12 So rectal infection, there are
13 questions as to what the complications associated with
14 these infections are. And let's remember that it's
15 the complications of uncomplicated gonorrhoea that
16 really drive the morbidity and do so much to make this
17 a major public health challenge.

18 Going onto the topic of oropharyngeal
19 infection, let me first say that acquisition of
20 oropharyngeal infections occur only through direct
21 inoculation. However, performance of fellatio may be
22 a more efficient mechanism of inoculation than

1 cunnilingus or analingus for acquisition of infection.

2 Let me also mention that for
3 oropharyngeal infections unlike both rectal and
4 urogenital infections, sampling may be challenging.
5 There is not a standardized method for sampling the
6 oropharynx. So some investigators may challenge only
7 a single -- the single swab at the posterior pharynx
8 while others may do a more complete sampling, sampling
9 both the tonsils and the posterior pharynx.

10 Standardization of sampling would be
11 important if we were to consider those sites of
12 infection.

13 Also, as a generalization -- and it's a
14 generalization which occurs even for ceftriaxone. The
15 oropharynx represents a site where treatment failures
16 are more common. The majority of treatment failures
17 with ceftriaxone have been described at the
18 oropharynx. We know that previously antibiotics --
19 the fluroquinolone, spectinomycin, etcetera all had
20 higher treatment failure rates at the oropharynx, but
21 at other potential sites of infection.

22 Just as for the rectum and finally

1 complicating our thinking regarding oropharyngeal
2 infections is the fact that there are a number of
3 important questions yet to be addressed regarding
4 complications related to these infections, as well as
5 their transmissibility to others. Not to mention the
6 epidemiologic question which is unproven, but widely
7 discussed today that the oropharynx is the predominant
8 site where antimicrobial resistance evolves and -- and
9 moves forward.

10 So these sites beyond the urogenital
11 infection really are things that need to be considered
12 going forward. What about trial participants? I
13 thought it might be useful to talk about how as a
14 clinical investigator we enroll participants in our
15 study.

16 Certainly, gonorrhoea is present and
17 prevalent throughout the population. However, the
18 prevalence varies in different population subgroups as
19 do the enrollment strategies. And though as
20 investigators, we employ different strategies for
21 enrolling different sorts of patients.

22 Men, we typically -- at least men who

1 are having sex with men -- or excuse me, with women,
2 and men in general are generally enrolled as
3 symptomatic men. Most commonly with urethritis in
4 which gonorrhoea can be differentiated from non-
5 gonococcal urethritis by a simple almost immediately
6 available test, such as a -- or other rapid --

7 Within the male participant site
8 though, as I mentioned and alluded to earlier, the
9 epidemiology has changed. And in the 1980s, and even
10 the early '90s, in our clinical trials, the enrollment
11 occurred disproportionately amongst men who had sex
12 with female sexual partners. But today, as described
13 by Dr. Bachmann, rates of infection have gone up
14 particularly rapidly amongst men who have sex with men
15 who have a higher treatment failure rate than men who
16 have sex with women.

17 What about enrollment strategies for
18 women? Well, this is a notoriously inefficient
19 mechanism -- group of people to enroll.

20 First of all, symptoms are absolutely
21 unreliable in -- in woman candidates for enrollment in
22 gonorrhoea treatment trials. Stains, such as the gram

1 stains, are unreliable and inefficient with
2 sensitivities of 50 to 60 percent, even in -- in the
3 best of hands.

4 And then once we've identified a
5 potential candidate, the question comes up as to
6 whether or not she's contracepting as well. I'll
7 bring that up in just a moment as well.

8 That -- the other group of women -- so
9 as an enrollment strategy, we predominantly try to
10 enroll either women identified as sexual contacts to
11 men who are identified as treated, or following
12 positive screening tests in women who did not receive
13 treatment at the time they were screened.

14 Adolescents I've already mentioned.
15 Enrollment strategies vary. Most of the adolescents
16 enrolled in clinical trials at the present time, that
17 enrollment does occur in sexually transmitted
18 infection clinics.

19 Going on on the topic of enrollment
20 strategies and challenges, let me go on to say that
21 for men who have sex with female sexual partners,
22 there really are few enrollment challenges. We can

1 find them in sexual health clinics. We can identify
2 them readily. And for that reason, as mentioned in
3 earlier presentations, they often represent the bulk
4 of patients enrolled in clinical trial.

5 Amongst men who have sex with men,
6 certainly urogenital gonococcal infections occur and
7 can be readily identified. But as I've already
8 mentioned, rectal and oropharyngeal infections
9 represent the bulk of infections in this group.

10 In women, I've already mentioned are
11 the challenges of identifying infected persons. And
12 once we identify a potential study participant, we
13 have still more challenges. That has to do with using
14 adequate and appropriate birth control.

15 Again, 20, 25 years ago individuals
16 were willing to enroll women who pledged to use
17 condoms regularly throughout their evaluation period
18 in clinical trials. But more and more, sponsors of
19 clinical trials have preferred a more reliable and
20 more proven contraceptive method which really relate
21 to either tubal ligation, the oral contraceptive pill
22 or other reversable contraceptive methods including

1 the IUD.

2 Pregnancy also needs to be ruled out in
3 women. And all of those things just make enrolling
4 women, even ones we've identified potential
5 candidates, a bit more challenging.

6 Also mentioned earlier was the problem
7 of enrolling adolescents. There is state to state
8 variation as well as institution to institution
9 variation in the ability of adolescents to consent to
10 participating in research. Sometimes there's a
11 difference between their ability to consent to
12 research and their ability to consent to care for
13 sexual health. Dr. Perry mentioned that earlier in
14 her presentation and I certainly can tell you that
15 that does represent a challenge for investigators.

16 Another topic of importance for an
17 investigator and again, potential challenges represent
18 the issues of diagnosis and outcome measurement.

19 The gram stain is often useful for
20 enrolling -- helpful for enrolling men, but not
21 particularly useful for enrolling other patient groups
22 or non-urogenital sites of infection.

1 As already mentioned multiple times
2 today, culture is the gold standard for clinical
3 trials and gonorrhoea treatment trials. The reason for
4 this is then you have organisms which you know are
5 viable and can be used for susceptibility testing.
6 Something that can't be done uniformly with non-
7 culture methods.

8 We also, however, in the past 15 years
9 have learned more and more that culture for gonorrhoea
10 is not a particularly sensitive method. That even for
11 diagnosis of urogenital infections, culture may miss
12 as many as 10 percent of infections and oropharyngeal
13 and rectal infections culture may miss even more.

14 That leads us to comments about the
15 nucleic acid amplification tests, which have really
16 simplified, revolutionized the diagnosis of gonorrhoea
17 and other sexual health pathogens. These are the
18 standard of care. They are widely used. They are
19 easier to collect. They are more sensitive than
20 culture and they don't have the barriers to culture
21 processing, such as specimen transport and viability
22 issues that we deal with on a regular basis with

1 culture.

2 That means that culture is less and
3 less availability and more and more investigators want
4 to use nucleic acid amplification tests.

5 Unfortunately, however, these tests with the exception
6 of determination of fluoroquinolone resistance do not
7 -- are not even in -- on a routine basis available to
8 determine susceptibility to drugs. And there's a
9 problem in that dead organisms may still be present
10 and -- and shed residual nucleic acid at sites of
11 infection, compromising our ability to determine
12 whether treatment has been effective or not.

13 The last category that I want to
14 mention, however, represents something that's on the
15 horizon that really promises to change our management
16 of persons with and at risk for gonorrhoea, but also
17 may challenge clinical trials. And that has to do
18 with the revolutionary development of new point of
19 care tests, which will provide accurate diagnosis of
20 gonococcal infections in less than 30 minutes. This
21 has the potential to really increase and enhance
22 enrollment efficiency, particularly for detection of

1 females and extragenital infections.

2 However, a downside is that most of
3 these tests couple diagnosis of gonorrhea and
4 chlamydial infection, and some of them have talked
5 about having multiplex capacities for diagnosis of
6 other infections such as mycoplasma genitalium or
7 trichomoniasis. That then leads to the issue and the
8 topic of what do we do in patients with coinfections
9 and who we're trying to enroll for clinical trials?
10 I've listed on this slide four different coinfections
11 of concern that have been mentioned. Chlamydia
12 trachomatis is -- is relatively common. For a long
13 time, co-therapy for possible chlamydial infection has
14 been recommended with its basis being that in the
15 past, detection of chlamydial infection was a time
16 consuming and not uniformly available process.

17 That's -- that's changed, however --
18 those early days, however, individuals would be
19 enrolled with gonorrhea or at risk for gonorrhea. And
20 if they had chlamydia, they would -- their treatment
21 would be deferred until they returned for their
22 treatment test of cure testing in four to seven days

1 following enrollment.

2 Trichomoniasis is another very common
3 coinfection particularly in women. The good news with
4 trichomoniasis is the currently recommended therapy
5 has been proven not to be effective for gonorrhea, so
6 that's less of a concern.

7 Historically, the issue of incubating
8 syphilis and treponema pallidum has also been a
9 concern. Steve Gelone mentioned that. That is a
10 relatively less common coinfection and less of a
11 problem for clinical investigators.

12 And then finally, there's the emerging
13 issue of mycoplasma genitalium which at the present
14 time is not recommended for routine testing. And as
15 was mentioned earlier, there is no routine therapy
16 recommended for treatment of this.

17 Despite that, and in a sense of
18 preparedness, clinical trialists and investigators are
19 -- are typically looking for this organism and
20 wondering whether their new drugs will be effective or
21 not. This also represents a potential challenging
22 issue for the clinical trialists going forward in the

1 future. And as we have more and more point of care
2 tests detecting all these infections, that may
3 complicate things even more.

4 My final slide has to do with sort of a
5 summary of my -- my random thoughts or our random
6 thoughts on -- on clinical trials. You know, there --
7 there certainly are published and I've been an author
8 on issues related to a wish list for the ideal
9 therapy. Something that's single-dose, orally
10 administered, has low toxicity, is safe in pregnancy,
11 etcetera. But right now, I can't help but wonder
12 whether a pursuit of perfection has become the enemy
13 of the good and whether our current criteria for
14 treatment are a bit too stringent.

15 Should we be considering multiple-dose
16 therapy, we certainly used it in the past until we had
17 the advantage of more recently available therapies.
18 What about oral versus injectable regimen? Is the
19 juice worth the squeeze? What does it do in terms of
20 expedited partner treatment? And so forth. The topic
21 of rectal and oropharyngeal infections is huge. And
22 right now, inclusion of that in clinical trials, at

1 least in my opinion, while an important to collect the
2 data, is probably not ready for primetime since we
3 don't know enough about the complications of these
4 infections, about their transmissibility about their
5 role as public health problems, which after all,
6 should be driving our decisions about what we want in
7 an ideal drug.

8 What about outcome measurements? I
9 think the time has come where we need to figure out
10 the limitations of using nucleic acid amplification
11 tests for evaluating treatment and be able to use that
12 more broadly in clinical trials for gonorrhea.

13 And then finally, and again, circling
14 back to my role as a clinician -- as a previous clinic
15 director and parroting what doctors Reno and McNeil
16 have said -- we need to think about the fact that
17 where these trials are done often are in our SafetyNet
18 clinics, public health clinics and the studies do have
19 impact on clinic flow. That's the reason that more of
20 the clinics do not participate in those.

21 I think the question about how to
22 encounter that is also an issue for further

1 discussion.

2 So I think that completes my slides and
3 my further comments. I've managed to stay on -- on
4 time and I thank you for the opportunity to present
5 and share my thoughts. Again, thanks very much.

6 MS. DEAL: Well, on behalf of Peter and
7 myself and all three of the agencies, I want to thank
8 all the presenters in this session for the regulatory
9 information, for the experience from our product
10 developers, and for the thoughtful questions that they
11 have posed, as well as the clinical and public health
12 perspective that has been discussed by quite a few of
13 the presenters. I think this sets the stage for our
14 panel discussion and I'll turn it over to Peter.

15 MR. KIM: Thank you, Carolyn. Once
16 again, thank you to all the presenters for all of your
17 time preparing your slides and for the presentations
18 as well -- and your thoughts. We'll now take a 10-
19 minute break and we'll return I believe at 3:00 p.m.
20 Thank you.

21 MS. DEAL: We are about to begin the
22 final session of the meeting, which is the moderated

1 panel session. I'm going to turn it over to Dr. Hook
2 and Dr. Workowski. Thank you.

3 MR. HOOK: Thank you very much. This
4 is Ned Hook. Dr. Workowski and I will be moderating
5 the discussion. I'd like to take just a few seconds
6 to talk about the ground rules for our discussion. We
7 have over 40 different presenters who've been invited
8 to comment on these discussions, and clearly there's
9 not enough time for everybody to do that.

10 So we're going to have to be a little
11 strict. We have a little over 15 minutes available to
12 answer each of the five questions that you will see --
13 the total of five questions that you'll see on your
14 screen shortly. That means that we're going to ask
15 people to be succinct. We're going to ask people not
16 to necessarily join the -- the talk to agree with
17 something that's already been said, unless the silence
18 coming over the internet is deafening.

19 I'd also like to tell you that we've
20 heard issues regarding the problems and challenges of
21 clinical trials throughout this very interesting and
22 productive day. Now it's time to shift. We want to

1 hear from you folks out there about solutions. We
2 want to know what we need to do and we want to know
3 about evaluation of new antibiotics and bringing them
4 to clinical trials. This is not about necessarily
5 repurposing drugs unless it's for a totally new
6 indication.

7 Also, we're going to ask everybody who
8 has a comment to use the raise your hand function to
9 identify yourself as having a question. Then
10 depending on the question, Dr. Workowski or I will be
11 choosing to -- the people to speak and will be calling
12 upon you.

13 We may not call on people in the order
14 they raised their hands, because we're interested in a
15 mixture of perspectives. So if we have -- if we hear
16 from several clinicians or public health people, we
17 may want to hear from industry and take people out of
18 order in order to get the most varied presentations we
19 can.

20 Also, during your question-and-answer
21 period, please unmute yourself -- talk and then be
22 sure to mute yourself again once you finished your

1 question. At the end of each question, we're going to
2 ask everybody to lower their hands so that we can
3 start anew with people who are asking questions.

4 Finally, there will be five questions
5 listed on your screen and then -- and then we will --
6 we pledge to go to all of them. When you received the
7 agenda, these were listed as one through five, but we
8 have chosen to reorder those questions because we
9 wanted to particularly get the questions three, four
10 and five, and felt like the discussions of questions
11 one and two could take even longer and be more
12 involved. But it was important to get through all the
13 questions.

14 So that's my -- my two cents' worth. I
15 will stop at the moment and turn the microphone over
16 to Dr. Workowski who will introduce herself and then
17 start the questions. Kim? Thank you.

18 MS. WORKOWSKI: Thanks so much, Ned,
19 and first I want to say that I am very honored to be
20 present with each of you. Each of you gave a
21 tremendous presentation and there's so much to talk
22 about.

1 There's a couple of things that I
2 wanted to start with that I had written down some
3 notes during the presentations. And one was thinking
4 about number one is the issue that was just brought up
5 several times during the day that I want to comment on
6 first, which is the stringent endpoint that we have.

7 As you know, those endpoints of greater
8 than 95 percent efficacy and a greater than 95 percent
9 lower confidence interval was -- was thought about
10 back in the mid-'90s. And it had to do with the
11 plethora of the medications that we had available.

12 So I wanted to think about that in the
13 context of now we're in a different situation where we
14 don't have medications that are going to be easy to
15 meet that endpoint.

16 And so one is to think about that
17 perspective to begin with for people to comment on,
18 thinking about that's the endpoint that's going to
19 potentially be used. And can, as you know, make or
20 break some of these drugs.

21 So looking for comments -- for people
22 to comment on that endpoint. It is now the time to

1 change. There was a paper that was written a number
2 of years ago that we had proposed going down to the 90
3 percent lower confidence bound. So thinking about
4 what people's thoughts are to get the discussion
5 started about that. Please raise your hand.

6 So I'd be interested -- since I don't
7 see any hands raised yet - in terms of the thoughts
8 regarding this from our FDA colleagues to start the
9 discussion.

10 Daniel?

11 MR. RUBIN: Hi, this is Dan Rubin, a
12 statistician at FDA. Can you hear me?

13 MS. WORKOWSKI: Yes.

14 MR. RUBIN: Okay, great. So if -- you
15 framed it in terms of the confidence level, and I
16 guess a similar way to think about it would be in
17 terms of the margin. Whether it should be 10 percent
18 or possibly wider as some other speakers had
19 mentioned.

20 And the appendix to our guidance, we
21 had justified a fairly large treatment effect for
22 antibacterials relative to a hypothetical placebo.

1 And in terms of accepting more statistical uncertainty
2 about efficacy then, I don't think the question is
3 whether we would lack -- the new antibacterial was
4 better than placebo. The question would really be
5 whether or giving up too much efficacy relative to the
6 existing treatment regimens.

7 So I know some speakers had mentioned
8 that in other areas with unmet need, we'd had relaxed
9 statistical standards, but I think it's more of a
10 clinical question then about how much efficacy are we
11 willing to give up in the setting of ceftriaxone or
12 ceftriaxone or azithromycin really providing, you
13 know, very effective therapies. If that's having, you
14 know, close to 100 percent test rates in some trials,
15 we're willing to consider it an investigational drug
16 that is now dropping to -- to 90 percent or lower in
17 terms of eradication rates.

18 MS. WORKOWSKI: Thank you so much,
19 Daniel. Matt, I see your hand is raised. Can you
20 unmute for us, please?

21 MR. GOLDEN: Yeah. I think one way
22 maybe to think about this is that there's a difference

1 between FDA approval and CDC recommendation. It may
2 be that there'll be some drugs that would be FDA
3 approved to lower efficacy that we could use as second
4 line agents in the event we were in very bad shape
5 with ceftriaxone. If something really change -- but
6 it may be worth thinking about it that way.

7 MS. WORKOWSKI: And it is if you
8 remember, Matt. Thank you for that. Back when this
9 was thought about back in 1995, again, there was a lot
10 of choices. There was a lot of drugs. There was a
11 lot of wiggle room that we could do and that was --
12 even the discussion with the treatment guidelines, and
13 you bring up a good point, because the question is how
14 many quinolones do you need to put into the box versus
15 all the quinolones? And so would be interested in
16 some of our folks from industry -- how they would see
17 this. So I would think first about our two phase
18 three trials that are being done. And I would first
19 ask the comment from Dr. Perry, if you can comment on
20 what you think about this discussion.

21 MS. PERRY: Hopefully I'm off mute.
22 Thanks -- thanks, Kim. I do think that if we could

1 certainly have, you know, that widened non-
2 inferiority, a lower overall sort of confidence, that
3 would really, really help certainly developers to be
4 able to sort of bring more -- perhaps consider them in
5 second line because like yourself, CDC and others
6 equivalent in other countries, you set the guidelines.
7 It's not as though -- there's a choice -- you know,
8 there's a choice. There's a recommended set of
9 guidelines that all prescribers follow for GC, and
10 that is very well controlled.

11 So it's not as though, you know, you're
12 bringing -- it would be used without good reason. So
13 I do think that it would be very valuable to have that
14 option available.

15 MS. WORKOWSKI: Seamus? You can
16 unmute. Seamus, can you unmute? If not, we'll go to
17 Jonathan while you're trying to unmute.

18 MR. ZENILMAN: Can you hear me, Kim?

19 MS. WORKOWSKI: Yes, Jon.

20 MR. ZENILMAN: Can you hear me?

21 MS. WORKOWSKI: Yes, we can hear you.

22 MR. ZENILMAN: So anyway, there were

1 two things -- a couple of things. One is first of
2 all, in the chat -- in the transcription, quinolone
3 was transcribed as quaalude which was kind -- which
4 was kind of funny. But I have a couple comments. One
5 is the 10 percent -- let's think about the 10 percent
6 differential, which was arrived at 90, 95. That was
7 all urogenital disease and it was in the culture era.
8 And I think we have -- as to the -- as to the issues
9 which were raised before, you know, by Ned, I think
10 we're now -- we're now dealing with a lot of, you
11 know, rectal infections and pharyngeal infections in
12 the treatment -- in the treatment trials. And I think
13 those may call for a widened differential compared to
14 what we've seen before.

15 The other thing that I'd like to really
16 emphasize, too, is something that was mentioned
17 before. And that is how our loss to follow-ups
18 because of the intensive treatment analysis, how loss
19 to follow-ups treated. And those, you know --
20 certainly we have a -- you know, they're -- these are
21 challenging issues in our population. But if loss to
22 follow-ups need to be -- you know, how are they

1 evaluated and are they automatically designated as
2 treatment failures as they have been in some previous
3 studies? With these low differentials, I think that
4 really makes -- that really -- I think we really need
5 to have a discussion about how those are managed. And
6 I'll mute.

7 MS. WORKOWSKI: I'm going to go to
8 George Drusano next. And, George, if you can unmute?
9 And then we're going to pivot to something else.

10 George, can you unmute?

11 MR. O'BRIEN: I can come back to your
12 previous question, Kim, if you can hear me?

13 MS. WORKOWSKI: Oh, yes. You're there.
14 Okay, great.

15 MR. O'BRIEN: Yeah. I'm sorry, I'm
16 sorry. I've just -- I've switched from the phone to
17 the computer audio and I was having problems. I think
18 some of the responses to the questions have covered
19 the area. I think it does go back to -- and I don't
20 want to repeat what I said on the slides, but if --
21 you know, it's -- it's really what we're trying to
22 achieve from the study. And I think looking at

1 urogenital gonorrhoea and trying to have a study which
2 covers all the populations and the other sites,
3 oropharyngeal and rectal, it's -- we have the -- we
4 have the risk of using this non-inferiority -- of not
5 being able to select a therapy which would have value
6 in maybe something other than -- urogenital. So I
7 think -- I think that's an overall point I -- I -- I
8 would make.

9 I do think that how we're treating --
10 you know, actually Sue mentioned this in her study,
11 but if you look at the sort of valuable population and
12 more -- analysis for the studies that failed, the
13 actual cure rate for -- for -- also the test stages is
14 much higher and they would have qualified from the CDC
15 threshold, and they may have qualified for the --
16 margin.

17 And I think on the point around how to
18 deal with -- I think we still -- we still need to make
19 sure we have the analysis to sort of control bias, but
20 I think we maybe could consider that being a secondary
21 endpoint and moving more for a valuable population as
22 the primary endpoint.

1 I hope that would help with the
2 concerns in terms of moving away from an endpoint
3 which we know is there to control bias. It's sort of
4 classical microbiological variant of an ITT analysis.
5 I'll leave it there because I know you've got to move
6 on.

7 MS. WORKOWSKI: Okay. Thank you so
8 much. I think a couple of the things that have come
9 up, if you -- if the rest of you can put some
10 questions in the chat if it relates to this? I think
11 we want to move to a different area. And this one
12 relates to specifically what's written in question
13 number three. The impact of revised guidelines.

14 When we're trying to think about
15 clinical trial design and think about different
16 countries that have different treatment
17 recommendations, and how best to handle this.
18 Thoughts about how best to do this from the regulatory
19 standpoint of what the -- what you are doing in your
20 country guidelines, and how best to handle this when
21 now, as was previously mentioned, we have monotherapy
22 both for the US and for the UK.

1 So I will be looking for hands about
2 this -- thoughts about this going forward. I know
3 that Caroline Perry talked about the difficulties and
4 the challenges that it put for industry to be able to
5 do that, and all the administrative hurdles that had
6 to be done.

7 And so I'm really curious in terms of
8 what people's thoughts are on how we can kind of come
9 together. Is there any way we can come together with
10 a protocol that would be comfortable from multiple
11 different angles? And I'd be interested in particular
12 with the thoughts -- I know that there was a lot --
13 there was, you know, a great presentation from our
14 partners in the European Union. And thinking about
15 how they thought about this in terms of their
16 regulation and how they could potentially modify what
17 they are doing based on what the -- what industry
18 wanted to do or how they wanted to do these trials.

19 So, interested in comments.

20 MR. BOTGROS: I don't know if you can
21 hear me. It's Radu Botgros here from EMA. Well, I
22 think, I mean, we are -- we are well aware of -- of

1 what has been discussed and about all these
2 challenges. I think I tried to explain in my -- in my
3 intervention that, you know, in our guidance, actually
4 the preferred comparator is not -- you know, is not
5 defined as being one or the other. It's actually
6 something that we would be willing to discuss with
7 sponsors and, you know, with a -- with a good
8 justification I suppose. You know, we could accept
9 different options. That said of course, you know,
10 looking at the GSK presentation and about the really
11 variable, you know, doses that -- that have been --
12 have been presented there from the different
13 countries, I suppose that we would potentially have to
14 -- you know, to -- to limit ourselves to maybe, I
15 don't know, two doses or something like that, and test
16 those. And, you know, if -- if we can see evidence
17 for those, what would be acceptable and would be, you
18 know, the -- the data would be supportive. Then that
19 could be one of the way to do it. But of course, you
20 know, having too many -- too many regimens would be --
21 would make the interpretation quite difficult. Thank
22 you.

1 MS. WORKOWSKI: George Drusano, I see
2 your hand raised. Did you have a comment?

3 MR. DRUSANO: Yes, ma'am. I do.

4 MS. WORKOWSKI: Please unmute and share
5 with us. George, we can't hear you. I think we lost
6 George. I don't see a phone connection.

7 Anybody else have any comments about
8 how to do this from a regulatory standpoint?

9 MR. DRUSANO: I'm back.

10 MS. WORKOWSKI: Oh, you're -- okay.
11 Great, George. Yes.

12 MR. DRUSANO: Okay. I think this
13 essential problem is the fact that all of what's going
14 on is from studies -- studies that were ancient, to
15 all intents and purposes. And as Ned said, it may be
16 time to look at an outcome with NAAT. You know,
17 what's -- what's the downside of NAAT? Well, you've
18 got false positives because if you pick up just a
19 little bit of something that can be amplified, okay.
20 But that -- that will work itself out between whatever
21 is there.

22 I think that it's maybe time to accept

1 the NAAT, do a trial and see what, with NAAT, the
2 response rates are so that we don't have to bat our
3 heads against a wall, expecting you to have greater
4 than 95 percent at a lower confidence bound at 90.

5 I -- I think that, you know, doing
6 those sorts of things will -- will make things a lot
7 easier for developers. I'll -- I'll go back on mute.

8 MS. WORKOWSKI: Thanks so much, George.
9 I think that's an interesting point and I'd be
10 interested in hearing people's perspectives, in
11 particular Jeff Klausner on the master protocol. And
12 your thoughts about that and the use of a NAAT as a --
13 as a gold standard. Perhaps using several NAATs
14 together and one as an adjudicator.

15 Jeff, can you unmute?

16 MR. KLAUSNER: Sure. So we use the
17 master protocol with several different NAATs to, you
18 know, validate the pharyngeal and rectal molecular
19 assays for several manufacturers and for the, you
20 know, ultimate FDA approval of those assays. So, you
21 know, as opposed to just using one device compared
22 against some gold standard, we are going to use and

1 evaluate multiple devices in the same protocol.

2 So that's kind of a study design
3 innovation that potentially allows for, you know,
4 multiple, you know, molecular products or, you know,
5 antimicrobials to be studied at the -- at the same
6 time.

7 I mean, the issue of whether the NAAT
8 is an adequate, you know, clinical outcome, I think
9 that's a little bit separate. I think it certainly
10 could be. I mean, if you have a -- a comparison --
11 similar to what we do with syphilis studies, you know,
12 sometimes we look at six months' outcome and we just
13 say there's no difference. And that may be, you know,
14 sufficient to say, okay, well the clearance is the
15 same in terms of nucleic acid clearance in the same
16 group, and people agree that may be sufficient to
17 show, you know, non-inferiority.

18 MS. WORKOWSKI: Thanks, Jeff. I think
19 one of the other things that has come up that FDA
20 would like some advice on from our panelists is the
21 concern about using the urogenital endpoint as the
22 primary endpoint. And the rectal and pharyngeal

1 endpoint as secondary endpoints. And whether or not
2 there should be kind of non-comparative trials that
3 should focus on the rectal and pharyngeal site, versus
4 inclusion in a urogenital trial.

5 So thinking about trial design and how
6 should we have -- continued to have urogenital
7 endpoints as the primary endpoint and then the
8 secondary endpoint being rectal and pharyngeal, or
9 doing non-comparative trials just looking at the
10 rectal and pharyngeal sites.

11 Thoughts on that, please. Seamus, is
12 your hand raised again? Yes?

13 MR. O'BRIEN: Yes. I think this is a
14 really interesting question. So I -- I think
15 particularly for pharyngeal gonorrhoea, I do think we
16 need to think of a way maybe outside of the phase
17 three to address that. Because the way I -- the way I
18 think about this is that the bacteria is -- is --
19 particularly in symptomatic cases, is like a -- is
20 like a biomarker for the disease, but not so much in
21 pharyngeal gonorrhoea because we don't -- we don't know
22 yet the -- what are the complications of pharyngeal

1 gonorrhoea diagnosis.

2 And I think therefore, it doesn't
3 really align with the approach we take for urogenital
4 gonorrhoea, particularly systemic urogenital gonorrhoea.

5 So I -- you know, we heard some great
6 talks today from George Drusano and Magnus Unemo
7 around the PKPD models. I think we need to have -- I
8 know there's some good work going on in European
9 collaboration that GSK are involved in as well.

10 We need -- we need the sort of assays
11 and the science to move forward, but I think there's a
12 case for looking at the sort of totality of the
13 evidence approach for some of the populations and some
14 of the issues. Particularly, pharyngeal gonorrhoea, to
15 use more of the PKPD argument and less of the clinical
16 trial data requirement for -- for some label wording
17 for pharyngeal gonorrhoea.

18 And I think -- I also -- moving on from
19 there is to think about what actually are we trying to
20 achieve when we treat the pharyngeal gonorrhoea? Is it
21 clinical? Is it disease or is it transmission? If
22 it's transmission, you know, I don't -- I haven't got

1 -- how to assess that, but that's maybe something you
2 need to think about also.

3 So I do think there is sort of a sub
4 study approach more so than even a subgroup -- a way -
5 - a way forward. So I'll leave it there.

6 MS. WORKOWSKI: Thank you so much. I
7 think next is Matt. Did you have a question? If you
8 could unmute, please.

9 MR. GOLDEN: I have a comment. I think
10 that with the issue of the pharyngeal infections in
11 particular, it may be that that's not the trial
12 endpoint, but the trials need to be designed. Give us
13 some reasonable estimate of what is going to be
14 efficacy. And I -- I don't think that PKPD data is
15 going to be enough. We need clinical outcomes. And
16 ultimately, that will influence how we use the drugs,
17 even if it doesn't -- even if it's determinative in
18 FDA approval.

19 MS. WORKOWSKI: Thanks, Matt. Jeff, do
20 you have a comment?

21 MR. KLAUSNER: Sure. So I don't think
22 we need a one size fits all approach. I mean, I

1 think, you know, sometimes we have indication to treat
2 urogenital gonorrhoea and we'd like to have a reliable
3 urogenital gonorrhoea treatment. And sometimes we need
4 to treat pharyngeal or -- or rectal gonorrhoea. So --
5 and I would not, you know, want to create a barrier to
6 drug development that says, you know, your single drug
7 has to be equally efficacious at all -- at all
8 anatomic sites.

9 I agree with Matt that we do need to
10 know and, you know, what the efficacy is at those
11 anatomic sites, but you know, clinicians ideally
12 should have a big toolbox where, depending on what
13 we're treating, we can go to the most, you know,
14 reliable antimicrobial.

15 MS. WORKOWSKI: Thank you. George, can
16 you unmute?

17 MR. DRUSANO: Yes, ma'am. So one of
18 the things I just want to throw in for evaluation in
19 these kinds of decisions, particularly about
20 pharyngeal GC, is this site is a resistance generator.
21 And it's a resistance generator in two different ways.
22 And one is mostly with Bata lactam drugs. Something

1 where we're talking about, for instance, ceftriaxone.
2 Because of the commensals and you wind up getting
3 mosaic chromosomes. And so the rate at which that is
4 going to increase is something that probably should be
5 looked at over a number of the next couple years to
6 see if that's going to be continuing to be a bad
7 problem.

8 Because of penetration issues, it may
9 also be a resistance generator site just because not
10 enough drug is getting there in some instances. I'll
11 stop there.

12 MS. WORKOWSKI: Thanks so much for
13 everybody's comments. I think in the interest of
14 time, we're going to transition to the next question,
15 which I think Ned is going to lead. Ned?

16 MR. HOOK: Thank you, Kim. And I'll
17 ask everybody who has their hand up to lower it now
18 and we'll reset and start again with question number
19 four, which is the second one on our list.

20 Regarding -- considerations regarding
21 optimizing dose and regimen selection, specifically
22 how do people out there feel about the potential for

1 multiple -- multiple dose, whether that's two or five
2 days of therapy for treating uncomplicated gonorrhoea.

3 Also, how important are -- are animal
4 models for defining optimal dosing and dosing
5 strategies? Do they translate completely? Is that
6 ready for primetime? Where does that fit into our
7 development process?

8 And then finally, what about the phase
9 one trials? Does that -- how -- how much should we
10 rely on that? Dr. Drusano mentioned the issues of the
11 pharynx, but -- but do we even know where we kill
12 gonorrhoea within the throat, George? I don't know the
13 answer to that. So maybe I've stirred the pot a
14 little bit. I'm going to look for raised hands and
15 would welcome comments.

16 I'm not used to this group being this
17 quiet. There's one. Sue Cammarata, I see your hand.
18 Please tell us what you're thinking.

19 MS. CAMMARATA: Well, I -- it's getting
20 back to what I presented on those trials a couple
21 times. And I think that since I -- those trials were
22 in -- you know, five years ago that failed. And it's

1 clear that understanding PKPD is a key factor and
2 there's been a lot of work around animal models as
3 well as hollowfiber models. But we still don't quite
4 understand exactly what you just said, Ned. What
5 tissue -- what fluid do you need to have exposure in
6 and how high? So it's great to be evaluating those,
7 but unlike things like urine and skin infection where
8 we have an understanding and way to measure tissue or
9 fluid or a level, I -- I have not seen any data that
10 has been -- I know there's been studies that have been
11 proposed or I've seen that they started, but I've
12 never seen data that looked at -- is there a way to
13 even understand drug levels that are required for GC
14 besides dosing people and looking at clinical outcome?

15 You know, I know, Jonathan, you were
16 involved in something a while back, but I've never
17 seen any publications. So I don't know if people have
18 been successful in doing those studies. And that
19 would be my question for folks that have been involved
20 in this. Have you been able to look at tissue levels
21 that -- or fluid levels that could help developers
22 figure out the right dose?

1 MR. HOOK: Great point. Thank you so
2 much, Sue. I -- I called out George and in a
3 nanosecond, his hand went up. So, George how are you
4 feeling about this?

5 MR. DRUSANO: Well, as a card-carrying
6 member of the PKPD -- mafia, at the end of that
7 particular day, I'm going to flabbergast everybody by
8 completely agreeing with Dr. Klausner.

9 PKPD is great. It gives you a roadmap,
10 but the important part is you need to make predictions
11 from the PKPD and then you need clinical outcomes, and
12 you need to be able to show that your outcomes are --
13 are correctly predicted. You know, one of the things
14 that has to go on -- there are two things here. We've
15 been talking about hollowfiber units. We've been
16 talking about animal models.

17 Animal models are, you know -- they're
18 a completely different ball of wax and they're for
19 real because, you know, it's a living thing. On the
20 other hand, you have to be careful because animal
21 models can mislead you because mice in particular have
22 way different kinetics that -- that you see in just

1 about anything else, and way faster clearance and
2 shorter half-lives.

3 And actually, that can cause something
4 that's -- that's called driver switching. So when you
5 get to a certain short half-life, it goes from being -
6 - MIC to time above MIC. So you have to humanize
7 dosing when you want to use mice in that circumstance
8 and I -- I -- I'm absolutely certain that -- that our
9 colleagues from -- know all about that. So
10 hollowfiber systems, you know, are not alive and so
11 they're different. And they don't have an immune
12 system. And so the last little bit is when you get
13 your target out of either one of those approaches, you
14 now have to get PK involved in real people and that
15 you do Monte Carlo simulation. And that is what's
16 going to help you choose the right dose to optimize
17 dose and schedule. And when you have to give multiple
18 doses and when you don't. I'll -- I'll go back on
19 mute.

20 MR. HOOK: Great. Thank you very much,
21 George. I thought Lindley Barbee had a comment and I
22 know she's thought about the issue of pharyngeal

1 infections, and then I'll warn that I'd love to hear
2 from Jonathan Zenilman. Sue Cammarata mentioned that
3 Jonathan has led a number of PK studies and phase one
4 studies, which his thoughts would be of interest to
5 us.

6 But, Lindley, if you're on mute, please
7 unmute yourself and share your thoughts.

8 MS. BARBEE: Can you hear me?

9 MR. HOOK: Yes, we can hear you fine.
10 Thank you.

11 MS. BARBEE: Okay. I think my one
12 comment was just to Sue, who asked if there was any
13 data on the pharyngeal compartments. And I just
14 wanted to say that yes, Jonathan and I worked on a
15 study trying to get it pharyngeal fluid, and it really
16 wasn't predictive. Because as Ned eluded to in his
17 introduction to this question, we really still don't
18 know where the gonococcus is living and which is the
19 best predictive compartment.

20 And I think that's a real limitation to
21 be able -- at this point, to model what doses we're
22 going to need at the pharynx.

1 And just on that point, I think in the
2 last question Jeff said something about treating
3 urogenital separate from pharyngeal. And I think one
4 of the biggest issues, you know, in the clinic setting
5 is where we don't actually know who was infected at
6 the pharynx at the time of urogenital treatment.

7 And so we need to have drugs that are
8 effective at the throat at the same time they're
9 effective at the urogenital site.

10 So that's just something to keep in
11 mind why we need to prioritize making sure that we
12 have efficacious drugs for the throat. Thanks, Ned.

13 MR. HOOK: Great, thank you. Thanks so
14 much -- those are important observations.

15 Jonathan Zenilman, I told you I was
16 going to call on you. Do you want to come on and --
17 and follow-up?

18 MR. ZENILMAN: Sure. So I actually put
19 -- I put in the chat that Lindley led a study before -
20 - that we did actually. We also -- and I think -- I
21 want to reinforce the points that she made.

22 We also did some very similar work with

1 azithromycin. These were -- which actually yielded
2 actually some -- some interesting results as well. I
3 think the important points of these are -- these
4 studies are really intensive to do. They're phase
5 ones. They require a lot of support from PK labs with
6 assay validation and so forth. However -- and I think
7 we're at a very primitive place, but we're much
8 further along than we were years ago.

9 I think this is something that we
10 definitely need to work on with new drugs, identify
11 doses in the -- you know, in the tissue matrixes. If
12 we can -- you know, if we can develop these assays, I
13 think these are very informative.

14 MR. HOOK: Thank you, Jonathan. Let me
15 see if I can also solicit some -- some input from our
16 presenters on two other topics.

17 George Drusano said what about
18 combination therapy? And I'm interested in
19 perspectives of the presenters both and how you
20 evaluate combination therapies for -- for therapy and
21 also whether it's fair to compare a single new drug to
22 a combination of drugs as the comparator.

1 So there's a question that would be
2 great to hear something about. I'm looking for hands.
3 I don't -- I don't see it. Also, how do people feel?
4 Do you trust your patients to take one -- more than
5 one dose of medicine? Multiple dose therapies, would
6 that be okay? That seems to be the basis for instance
7 of EPP. So we trust people to deliver it. Should we
8 trust our patients to take the second or -- or
9 multiple doses of antibiotics?

10 MS. WORKOWSKI: So I'll just make a
11 comment. So we trust people to take multiple dose
12 therapy for chlamydia. So what's different about
13 chlamydia than gonorrhoea?

14 MR. HOOK: Fair enough. I see Sue.
15 Sue, is your hand still up or is this --

16 MS. CAMMARATA: Oh, I should put it
17 down, but I can make a comment. I mean, I -- I know
18 that in this -- the DELLA study, they had incredibly
19 reliable people because they were chosen to be
20 reliable. They had like 95 percent of the patients
21 come -- come back and everybody took meds. I mean,
22 they didn't have missing data. But that was very much

1 the investigators choosing patients. And so, I mean,
2 it seems like you may be able to choose those patients
3 that you think are higher risk to come back, and not
4 coming back or not taking their meds. It -- I'd be
5 curious about what you all think about that. That you
6 -- if you had different regimens, would that be
7 something that you could actually consider in your
8 patients?

9 MR. HOOK: Thank you, Sue. I see Matt
10 Golden says we should trust them. And Lindley Barbee
11 seems to feel the same way. So I think the -- the
12 sense in Seattle is they can trust Seattleites to take
13 -- take their medications.

14 Other comments and thoughts? Dr. Jang
15 says just until PKPD issues a result, the dose ranging
16 studies should be considered for dose optimization.
17 Is that a necessary first step for studies?

18 Dr. Drusano I think either left his
19 hand up or still has it up, but I don't see other
20 hands. So, George, take a shot.

21 MR. DRUSANO: I'm going to go back to
22 the combination therapy business. I just want

1 everybody to be aware that you have to be very careful
2 with combination chemotherapy. And for instance,
3 fluoroquinolones basically are antagonized horribly in
4 rate of kill by protein synthesis inhibitors. This
5 was shown by JT Smith [ph] in the '80s and in the late
6 '90s by Laura Pittick [ph] very, very mechanistically.

7 You know, here's one place where
8 something that had come up before is maybe you treat
9 the -- the GC first, get it out of the way, and then
10 you give the second drug.

11 It is -- you know, it's very difficult.
12 So, you know, combination chemotherapy is not easy.
13 You know, we worked it out now for tuberculosis, but
14 it is -- it is very, very difficult and very -- you
15 know, there can be times when it can turn around and
16 bite you. So I'll stop there.

17 MR. HOOK: So it sounds good on paper,
18 but it's not as easy as it sounds is my summary of Dr.
19 Drusano's comments.

20 Other comments and thoughts? I'm
21 looking for raised hands.

22 MS. WORKOWSKI: So we have to -- Ned,

1 that if we require pharyngeal eradication for every
2 new compound, too high a bar is set. It may not be
3 necessary.

4 MR. HOOK: Thank you, Kim. I feel -- I
5 see that Khalil Ghanem's hand is up and we haven't
6 heard from Khalil yet. We're sort of getting towards
7 the end of this topic, so this is almost a going,
8 going, gone situation. But Khalil, I don't know if
9 this will be the last one or not. Go for it.

10 MR. GHANEM: Thanks, Ned. Can you hear
11 me?

12 MR. HOOK: Yes, we can hear you well.

13 MR. GHANEM: My comment really is about
14 the comparator arm when we're talking about several
15 days' doses of medications. I think the -- the
16 problem is that while I agree with Lindley and Matt
17 that patients may take their medications and these are
18 reasonable, it becomes much more challenging when the
19 comparator arm is let's say ceftriaxone, 500
20 milligrams times one dose. Then you have to take into
21 account the point estimates and perhaps adjust --
22 adjust your approach with the point estimate of

1 efficacy.

2 So in those cases where you're actually
3 dealing with a -- with a regimen that is over several
4 days, instead of saying a 95 percent, for example,
5 efficacy, you would drop it down to say a 90 or an 85
6 percent efficacy. Take into account the differences
7 between the two arms.

8 MR. HOOK: So what we want is we want a
9 level playing field. That certainly sounds reasonable
10 to me.

11 I see two more hands up and then we'll
12 go -- let me start with Dr. Marrazzo who after passage
13 of the day is -- is ready to share more thoughts with
14 us. Jeanne?

15 MS. MARRAZZO: Ned, can you hear me
16 now?

17 MR. HOOK: Yes, we can hear you well.

18 MS. MARRAZZO: Great. Thanks. I just
19 wanted to make a quick comment about the sort of --
20 and this is getting into structural issues of what
21 happens when we stereotype patients and -- and all
22 kinds of structural challenges. I think that I have

1 been continually surprised at trying to predict who
2 and who will not be adherent to various interventions.
3 And I think it's very dangerous territory for us to go
4 down that path of saying this person will or will not
5 comply.

6 So I just wanted to put that out there
7 because I -- I think it really puts us in a position
8 where we're judging our patients in ways that we don't
9 want to put ourselves in.

10 MR. HOOK: That's a -- that's a great
11 and worthy comment. We need to be careful of judging
12 and making assumptions. That gets people into trouble
13 all too often.

14 Jeff Klausner says requiring a novel
15 drug to eradicate pharyngeal GC may be too high of a
16 bar. Jeff, do you want to elaborate on that? If so,
17 it'll be the last word. If not, I'm going to ask Kim
18 to go onto the next question.

19 MR. KLAUSNER: Yeah, no. It was just a
20 kind of a counterpoint. So I mean I think there are
21 populations with low -- low frequency of pharyngeal
22 GC, such as, you know, heterosexual men and there are

1 ways to exclude pharyngeal GC, such as with a nucleic
2 acid amplification test. So, you know, I think
3 something that we need to think about, there are
4 clinical strategies that can be deployed that we can
5 use drugs with different efficacies in different
6 sites, you know, smartly.

7 Similarly, with a drug with, you know,
8 a low 90 percent efficacy, maybe we would do a test to
9 cure. So CDC has recommended for years alternative
10 treatments. Please obtain a test of cure.

11 So I think, you know, we should be
12 openminded that there are different ways that drugs
13 can be used with different efficacy, different
14 anatomic sites with different strategic thinking.

15 MR. HOOK: Great. So one size does not
16 fit all. I think that's a great point and a great
17 point to sort of move onto our next question.

18 Dr. Workowski, do you want to sort of
19 take over the rodeo here?

20 MS. WORKOWSI: Sure. This next
21 question relates to safety considerations for new
22 products. And I think unfortunately my editorial

1 about this is that we're not seeing products that are
2 really being marketed for GC. They're being marketed
3 for something else. And they're coming to us with
4 potentially a GC activity.

5 So the question regarding the safety
6 database and collection of additional post-marketing
7 safety data. What comes to mind was what I first
8 mentioned that, you know, everybody's not jumping at
9 the top to -- to get a new GC drug. They just want it
10 for other things because of thinking about the cost of
11 drug development and things. So would be interested
12 in industry perspective on this, but also the issue of
13 the safety database in terms of some of the newer
14 products related to QT prolongation and the collection
15 of additional post-marketing data as patients may be
16 on other medications at the same time.

17 So I would be interested to see first
18 about what our industry colleagues have to say about
19 the safety database and post-marketing data.

20 So I'd be interested in thoughts from
21 the current trials that are undergoing phase three,
22 including zoli and "gepto" in terms of your thoughts

1 about that and how you're thinking about monitoring
2 post-trial and safety issues regarding to QTC in
3 particular is what I thought about.

4 Yes, Seamus? Please unmute.

5 MR. O'BRIEN: Yeah. I think
6 essentially we -- fairly comprehensive clinical
7 pharmacology package of studies include an authority
8 to -- which -- which was negative in terms of -- I
9 think what's probably more important is the use of the
10 drive-in certain population and you mentioned, Kim, in
11 terms of use of the drug in HIV patients. So I think
12 in our study currently, we have an exclusion for --
13 inhibitors and that's something we are working on in
14 terms of DDI study to look at the interaction with
15 drugs metabolized by that root.

16 So in terms of the outcome of that
17 study, then that'll be used to determine sort of
18 wording in the label and the use of the drug. And I
19 think -- I think -- as other people have said, I think
20 the reason -- the reason -- and there's an opportunity
21 actually I think to look at use of the different --
22 different drugs for different populations. And then

1 maybe within those populations, committing to having
2 post-marketing assessments.

3 So if you -- if you're able to go
4 forward maybe with a more limited -- if your drug was
5 more suited for a certain type of population, you
6 could -- you could connect to -- to demonstrating both
7 efficacy and safety if you have a limited database.

8 I think -- I think the database, as it
9 stands at the moment, I don't have any particular
10 concerns about that and I don't have really any
11 comments on whether it's relevant or not. I think
12 it's more -- it's more about the suitability of the
13 drug to be used in combination with other therapies
14 from particular patient groups.

15 And also -- and also in the context of
16 gonorrhoea and -- and related infection, it's -- it's
17 being able to demonstrate that if your drug needs a
18 little bit of a push to get greater efficacy of --
19 chlamydia, that's -- the drug that you were using,
20 combination, there are no -- there are no additive
21 issues around QT prolongation or -- or other safety
22 liabilities such as liver toxicity, etcetera.

1 So I think it's -- I think a thorough
2 evaluation has to be taken in parallel with -- with
3 the overall clinical -- parallel with the overall
4 clinical efficacy studies.

5 MS. WORKOWSKI: Thank you. And I think
6 one additional question before I go to Caroline is
7 that that has come up is these -- these medications
8 used in women, women that are at risk of pregnancy and
9 in pregnant women. And this brings to mind a
10 consultation that's happening next week regarding the
11 use of, you know, products and thinking about women in
12 clinical trials. And enrolling folks in clinical
13 trials and most of the trials are excluding pregnant
14 women and the concerns about pregnancy.

15 So we'd be interested in thoughts about
16 that as well. Caroline, I'm going to call on you now.

17 MS. PERRY: Okay. Thank you. So
18 similar to the comments that Seamus just sort of made
19 -- conducted authority TC study. We've also conducted
20 a number of DDI studies. So, you know, we're both
21 characterizing, if you like, the cardiac effects of
22 gepotidacin. And like you said, it's more the

1 interaction with other -- other medications that some
2 of these populations may be taking.

3 Now the -- says we allow HIV patients
4 in, but 50 percent of the total population are on some
5 form. Either they're HIV positive or they're taking
6 PREP. However, we do have ECG to enter the studies.

7 So they have to have an ECG, you know, 450 or below.

8 So it's a very controlled -- you know, it's very

9 controlled. Sort of once, you know, the drug's on the

10 -- on the market, how do we -- your question was how

11 do we gain more data? These -- these particular

12 individuals, they, you know -- they don't want to

13 spend more time in the clinic when they come in for

14 their sort of checkups. ECGs take a fair amount of

15 time to obtain. Also most of the STI clinics don't

16 have -- equipment. And so we're certainly sort of

17 looking to sort of see the advancement in the

18 wearables. They're getting much better and, you know,

19 I think there's probably a -- wearable that's now

20 available. Don't think it's fully on the market, but

21 it's -- but it's -- it's there from a research

22 perspective. We need to have better advancements in

1 some of this technology to help obtain information and
2 build a better post-marketing, post-approval
3 perspective of the safety of these new medications.

4 MS. WORKOWSKI: So I wanted to bring up
5 the issue about women again that was brought up
6 throughout the day in terms of, you know, one of the
7 barriers of women and adolescents to enrollment.

8 So any of the investigators that have
9 had a significant luck enrolling women and adolescents
10 of our clinical investigators would like to comment on
11 that?

12 MR. HOOK: Kim, while you're waiting
13 for that, if I could just go back to Caroline's
14 comments a little bit.

15 I'd also like to stir the pot a little
16 bit and can our presenters imagine putting a drug in
17 the STD treatment guidelines where you had to have an
18 EKG before you treated a patient for gonorrhoea?

19 MS. WORKOWSKI: No. That's a simple
20 answer for me. Jeanne, did you have a comment you
21 want --

22 MS. MARRAZZO: Yeah, Kim. I just

1 wanted -- sure, I just wanted to build on your
2 question about enrolling pregnant women.

3 It's not just pregnant women. It's
4 women at risk of conceiving, right? Because all of
5 these trials require often, they say, dual methods of
6 contraception. So a barrier method plus hormonal
7 contraception. And that essentially excludes a huge -
8 - of the representative and relevant population.

9 So the consultation next week's going
10 to be really important because we're going to talk
11 about that. Even if we could get women in who were
12 not contracepting to these trials and carefully
13 monitored them for pregnancy, it would be a huge win
14 and I think we really need to think about that.

15 MS. WORKOWSKI: Thanks, Jeanne.

16 MS. PERRY: So can I --

17 MS. WORKOWSKI: Caroline?

18 MS. PERRY: Yeah. Just a -- I mean,
19 from a different type of perspective, we have
20 conducted long clinical studies and participants --
21 women can come into the study as long as they have a
22 negative pregnancy test. They don't need to be on

1 contraception.

2 MS. DEAL: Well, that's great.

3 MS. WORKOWSKI: -- I -- I see -- are
4 you writing a comment or do you have a comment?

5 Jeanne, do you have another comment about --

6 MS. MARRAZZO: No, I'm sorry. I'll
7 lower my hand. Sorry.

8 MS. WORKOWSKI: Oh, okay. Any other
9 regulatory issues concerning this question that
10 anybody wants to bring up, or we'll move on?

11 Okay. Ned, do you want to go to
12 question one?

13 MR. HOOK? Sure, I'll be glad to.
14 Thank you very much, Kim.

15 So question one, which we're taking
16 fourth, has to do with the practicalities of clinical
17 trial enrollment. How to get to relevant patient
18 populations. Do people have thoughts about better or
19 new strategies to facilitate enrollment of women and
20 adolescents?

21 What are we going to do about
22 coinfections? I mentioned that in my comments and we

1 -- we very soon are going to have the technology to
2 screen our patients at the time of enrollment for a
3 variety of coinfections. And is that going -- what's
4 that going to do to clinical trials? As I mentioned
5 earlier, in the distant past, we would test for
6 chlamydia and if a person had a positive test, they
7 would get their chlamydial treatment five or six days
8 later.

9 And my recollection is there were -- we
10 never -- perhaps it was just luck, but we never had
11 complications -- incident PID in -- in those patients
12 who were brought back and -- and treated.

13 So what about patient enrollment
14 screening, how to move them forward in our studies?
15 I'd love to hear some comments.

16 Dr. Deal, you've got your hand up I
17 see. So, Carolyn, why don't you start?

18 MS. DEAL: Well, I was going to root
19 back, if it's okay, Ned, to what Caroline Perry just
20 said. Which was they require negative pregnancy
21 tests, but not contraception. And I'd really be
22 interested from our industry colleagues or our

1 regulatory colleagues what is the distinction about --
2 that they make when they design a study of the
3 characteristics of the products they're testing as to
4 whether contraception is required or not?

5 Because I think that's a very practical
6 consideration that gets to what Jeanne's point was
7 about a blanket requirement versus can it be more
8 tailored based on the product being evaluated.

9 MR. HOOK: Great question. Caroline,
10 would you like to comment on your thought process
11 there at GSK?

12 MS. PERRY: I have to apologize, both
13 Ned and Carolyn, I am not the expert in -- in
14 nonclinical, but we have conducted, you know, a series
15 of rodent studies that look at both contraception,
16 look at both maternal neonatal impacts. Those studies
17 allow us with a highly sensitive pregnancy test at the
18 beginning of the study, to allow patients that are not
19 on contraception predominantly because the duration of
20 treatment is so short.

21 And even if they enter into the study
22 and there is a fertilized embryo, it takes three days

1 before that implants and that you would then see -- a
2 positive response from a -- a pregnancy test. And
3 it's those three days before implantation where
4 there's no impact.

5 MR. HOOK: That's very interesting.
6 Thank you for sharing that. And again, I guess part
7 of your answer is that all drugs are not created
8 equal. That you had a great safety profile on your
9 drug as opposed to fluoroquinolones early on for
10 instance. There were concerns about their safety in
11 pregnancy.

12 So either we welcome more comments on
13 that or going back to the challenges of clinical trial
14 enrollment in regards to patient populations or
15 coinfections or other issues.

16 Also, let me just throw in, what about
17 international trials? Dr. O'Brien mentioned the
18 challenges of conducting trials at multiple nations
19 with different standards and different processes. I
20 know that doctors Klausner and Marrasso have a fair
21 amount of experience working internationally and in
22 multiple countries -- excuse me. And obviously

1 perhaps Dr. O'Brien or -- or someone else wants to
2 talk a little bit about multinational trials.

3 Seamus, your hand's up. Go for it.

4 MR. O'BRIEN: I just -- since you
5 mentioned -- I obviously can't speak for all the --
6 all the sites -- study, but I think -- what I would
7 say is it's sort of microbiological challenges are
8 common. It's the same bug wherever you -- wherever
9 you work. So I think that -- that's -- that's not --
10 that's not an -- sorry. That's a common issue, but
11 it's more accentuated because there's -- there's a
12 less -- any specialist knowledge in Neisseria
13 gonorrhoea.

14 And I think the other big challenge is
15 that the sites that we've been working on, many of
16 them come from an HIV background. That has a real
17 positive in terms of community engagement and their
18 engagement populations. But it's just moving towards
19 that -- that sort of particular aspect of
20 antibacterial study and -- particular gonorrhoea study.
21 The difference is what's unique to them in that sense.

22 And I think that's where the capability

1 bit comes around. And some of the comments -- you
2 know, some of the things that Caroline mentioned
3 earlier on her presentation, somewhat exacerbated as
4 well in -- in -- in those sites.

5 I think I would just move a little bit
6 more over to access to sort of the -- the currently
7 enrollment question you asked as well. I think there
8 was obviously some cultural sort of challenge. There
9 is definitely need to get community engagement --
10 women in -- in many countries. We've seen that
11 particularly in -- in South Africa. With a real can-
12 do attitude to try and -- and integrate -- integrate
13 the study into practice and to get community
14 engagement.

15 One of the issues we have seen is to
16 your point of -- infections is that, you know, we have
17 -- we have some sites that are really motivating doing
18 -- doing prescreening to include asymptomatic women,
19 but the problem is is that -- high end prevalence of
20 chlamydia on its own, or chlamydia in combination --
21 coinfecting with -- with -- with *Neisseria gonorrhoea*
22 and you -- you can't include those patients into the

1 study if you -- if you know they've got a chlamydia
2 infection prescreening. That's one of the problems.

3 So I don't know -- it does -- that's a
4 shame because you -- they could be -- they could be
5 suitable patients for therapy, but they can't go on
6 the study because you know -- you know it involves
7 some enrollment.

8 Thailand is very experienced site again
9 in HIV. It's just that getting up to speed and
10 understanding the particular nuances of an
11 antibacterial study and a particular gonorrhoea study.
12 But in terms of quality practice, good links with
13 ministry of health, good links with the local
14 community. They're all extremely positive.

15 And, you know -- been several --
16 particularly in South Africa, the challenges for --
17 for the site -- trying to setup a study, reactivate it
18 and run in COVID has been a massive challenge because
19 they've all been impacted at staff levels, but also
20 becoming involved in the community action around --
21 around managing the pandemic in terms of testing,
22 rollout of vaccines now as well. So just -- sort of

1 more of a different challenge, COVID, but also
2 possibly -- again in some of the countries that we've
3 been working in.

4 MR. HOOK: Thank you. That's very
5 helpful. Dr. Klausner has his hand up. And, Jeff, as
6 you make your comments, I don't know if it's part of
7 the plan or not, but I'd love to hear both your
8 comments or the comments of others about issues
9 regarding building infrastructure. Now you're talking
10 maybe Dr. Wi from WHO will want to make comments on
11 those same topics. The issues of differences in
12 infrastructure capacity from location to location.

13 Jeff, take it away.

14 MR. KLAUSNER: Sure. That's what I was
15 going to highlight. I mean, we've been doing clinical
16 trials now in South Africa, Botswana, you know, Peru
17 and now Vietnam. And, you know, there's been
18 investment in infrastructure and, you know, training
19 on staff and investment in equipment and, you know,
20 training with, you know, GCP, adherence and ethics,
21 and etcetera. So, I mean, I think it can be done and,
22 you know, certainly, you know -- you know, COVID was

1 more than a hiccup. You know, it was a retching
2 experience in terms of disrupting, you know, clinical
3 programs.

4 But, you know, the -- there's the post-
5 COVID in the future and, you know, we need to continue
6 to invest in those sites. I mean, we learned from
7 HIV, you know, that if you setup, you know, well
8 resourced trials, you work with, you know, community
9 partners, you can be very successful. And, you know,
10 people need to remember the first successful PREP
11 trial came out of Peru really. You know, and that was
12 a long time ago and a big investment. And other
13 clinical trials have been done in low- and middle-
14 income countries.

15 But it takes resources and it also
16 takes, you know, people, you know, believing in their
17 low- or middle-income country, you know, clinical
18 investigators that it can be done. But I've been, you
19 know, successful and still encouraged about, you know
20 -- you know, certain sites and the ability to get
21 things done, you know, outside in -- in low- and
22 middle-income country settings.

1 MR. HOOK: Thank you, Jeff. Perhaps
2 you want to -- I'm going to push you a little bit and
3 ask if you'd like to comment a little bit on the
4 difference between building infrastructure and
5 sustaining it? And then after you're finished, Dr.
6 Marrazzo has her hand up and I'd welcome her comments
7 as well.

8 MR. KLAUSNER: Sure. So, I mean, you
9 know -- you know, building it is a larger investment.
10 Sustaining it is, you know, a little bit smaller, but
11 does take, you know, continuous investment. So, you
12 know -- have sustained sites with, you know, smaller
13 NIH projects, also smaller kind of independent
14 projects with, you know, pharma or diagnostic test --
15 manufacturer just to kind of, you know, keep things
16 going. So it's important that, you know, when sites
17 are identified, there is an effort to maintain those,
18 you know, study sites with a variety of different, you
19 know, types of trials. From behavioral trials to, you
20 know, surveys, to clinical studies as well. And, you
21 know, I'm a fan of we should pick, you know, a dozen
22 or so key international sites and investigators and

1 invest them in those sites, you know, for the long
2 haul which is, you know, 10 to 20 years.

3 I mean, we've been in Peru since 1999.
4 It's been a fantastic investment in terms of
5 productivity.

6 MR. HOOK: Okay. So diversity is
7 important. Dr. Marrazzo?

8 MS. MARRAZZO: Sure, Ned. Just two
9 quick things. First of all, I think you or someone
10 raised the question of, you know, country specific
11 regimens and how do you handle that.

12 I think you -- you can't study new
13 regimens without taking into account what the standard
14 of care is locally. And I know that sounds obvious,
15 but if the standard of care locally is not something
16 that you think should be the standard of care, then
17 you've got to find a way to deal with that. And
18 whether that means having a comparative arm or working
19 with regulators and effaces in country and
20 stakeholders to sort of say look, way forward actually
21 is a better way than what your standard of care is. I
22 think that's the way you handle it.

1 The other huge lesson I would remind
2 people of our PREP trials in African women. Remember,
3 they did not take the study products that we -- or the
4 large majority of them did not take the study products
5 that we were studying for HIV prevention, right? They
6 didn't take their -- they didn't take Truvada. They
7 didn't use their vaginal -- gel. Yet 99 percent of
8 them stayed in the study. Why? They wanted
9 contraception and they wanted STI screening. STIs are
10 hugely important to these women and, you know, I think
11 we've -- we've put huge resources into HIV prevention
12 for these women and we've put a fraction of that into
13 STI prevention, yet that's what drove many of these
14 women to continue in the -- in the HIV prevention
15 studies because as Jeff notes, the studies provided a
16 care infrastructure for their sexual health needs. So
17 can't -- can't let that pass I think in thinking about
18 how we structure these trials and also really make
19 them relevant to people's desires and needs.

20 MR. HOOK: Great points and important
21 ones that hadn't been raised. Thank you so much. I'm
22 looking for other hands up. If I don't see them, I

1 think we're going to shift to our last question and --
2 and we're doing great time-wise, by the way. So after
3 we do this last question, we would sort of -- if we
4 have time left, we might take a few minutes to ask all
5 of our presenters what did we miss.

6 Kim, do you want to go on and take our
7 last question here, please?

8 MS. WORKOWSKI: Sure. So this is
9 really a solutions question. After we've had this
10 discussion with multiple issues that have come up, the
11 issue is what can we do to help facilitate clinical
12 trial conduct and overcome some of the challenges that
13 were presented?

14 One of the things that Jeanne just
15 touched on was differences in standard of care. And
16 do we need to conduct multi-country clinical trials
17 where there's differences in standard of care? And
18 the regulatory hurdles that have to be undergone
19 versus smaller trials. So that's kind of one
20 consideration.

21 What are -- what are some solutions
22 that people have in terms of trying to think of

1 designing these? As -- as -- when Dr. Wi was
2 presenting her data, looking at the tables of
3 antimicrobial resistance and looking about how much
4 azithromycin resistance there is and how much there
5 has been an increase in the last five years. And the
6 incredible geographic variation that there is. How do
7 we best give advice on how to -- thinking about what
8 our comparator arms are when there's differences
9 between countries.

10 So these are big issues, right? This
11 is a lot of money that goes into designing these
12 clinical trials. And so thoughts about continuing to
13 do what we're doing in terms of these big,
14 multinational countries with -- with all the
15 multinational trials, with all the regulatory and in-
16 country hurdles versus more targeted trials in -- in
17 comparison. We were talking about before having the
18 discussion about extragenital, have a secondary
19 endpoint or whether that just has to be a separate
20 trial.

21 So let's first think about what I first
22 mentioned was the kind of standard of care comparator

1 and thinking about the difference in antimicrobial
2 resistant in terms of geography, and what people's
3 thoughts are kind of going forward. If you were
4 advising some solutions to what we've all discussed
5 today, what would you -- what would you advise?

6 So and another way to frame it is that
7 if you had to do this over in terms of your trials.
8 So in particular, the two phase threes that are going
9 on now, what would you do different? And not talking
10 about COVID because COVID just changed everybody. But
11 thinking about the discussion we had today. Thinking
12 about kind of going forward to get regulatory approval
13 for your particular medication. What -- what would
14 you have done different thinking about how we might
15 change things for the future?

16 So thinking about -- I would like to
17 hear in terms of particular -- if Sue's still on,
18 because her comments about the trials that -- that
19 really had some data that maybe if we would have tried
20 a little harder or used an extra dose, we just kind of
21 looked at those trials and moved on.

22 So you -- you mentioned the issues that

1 we -- that we had. Should we go back? Should we look
2 again? Should we look at those drugs again or are
3 they just dead in the water?

4 MS. CAMMARATA: This is Sue. I'll go
5 ahead and comment since you just brought my name up.
6 I think the challenges -- and there's a lot of
7 challenges, and a lot of it is just the money involved
8 in doing these trials. They're complicated. And
9 people have brought up the issues around body sites
10 and patient populations.

11 And so from a treating physician point
12 of view, you want a treatment where you can say, you
13 know, high volume clinics where you don't want to
14 think about -- I'm assuming, you guys should comment,
15 that you don't really want to think about this is
16 where is the site of infection? I can treat all of
17 these versus the drug developers that say hey, we can
18 get something for your genital GC in maybe the lower
19 risk population, and then we can get something for
20 pharyngeal or for rectal. But it -- but it may not be
21 one treatment fits everybody. And that's been brought
22 up by some other folks.

1 But I don't know that that can work in
2 various -- in the -- in the treating -- for the
3 treating physicians. It's something that's a
4 challenge for the developers since we really don't
5 understand what tissue or fluid, we have to treat what
6 levels we need. And knowing that maybe two doses of a
7 drug, like those two products, I think for most people
8 they would have been cured with two doses. But it's -
9 - it's something now that we just -- it's hard to go
10 back and look at that now.

11 But is having different treatments for
12 different populations of patients or different sites
13 and infections, something that's really viable or is
14 it really -- you do need something that's one size
15 fits all? Because that will change how we would do
16 trials and potentially get approvals.

17 MS. WORKOWSKI: Any other thoughts
18 about that?

19 MR. O'BRIEN: Yeah. It's Seamus and
20 maybe I'll just -- I think for me the question is more
21 at the level of development overall, not just -- not
22 just the phase three. I think -- I think, you know,

1 you say looking back at the study, I -- I think it's -
2 - it is a challenge and I think we should consider
3 whether we should be including all the population into
4 one phase three. It's -- it's -- I think it's too
5 much of a challenge. It's too much diversity and --
6 which creates an issue in terms of getting -- result
7 which you can use and apply across those populations.

8 You know, I think there's -- I think --
9 I know this might be going against the -- our key
10 partner's WHO in this as well, but I think -- I think
11 there is a case for looking at really conserving your
12 safety and efficacy in a population which is more of a
13 straightforward urogenital population. And looking to
14 do some studies in parallel for the more difficult
15 treat populations. And you can't -- you can't -- you
16 can't get resistance data from a phase three. You
17 know, those of us who've got experience in looking at
18 -- you know, working in the -- area and also -- very
19 difficult to do that in -- phase three.

20 So, you know, resistance is not so much
21 of an issue now as Ned said earlier, but it's
22 something that is coming. And for resistance -- we

1 need to look at more -- more defined, more smaller
2 studies which will be non-comparative to really maybe,
3 you know, to -- to deal with --

4 And I just want to talk about the
5 comparator. You know, as I said in my -- I think for
6 me, the main issue with the comparator is the fact
7 that we're looking at all drugs versus an I am an all
8 comparator. From our study, the whole issue was
9 really changing comparators. We initially went from
10 ceftriaxone, ceftriaxone to azithromycin and then the
11 CDC guide change and there's no way you're going to
12 change it mid-study, but also because of the fact that
13 it's ceftriaxone and azithromycin is more recognized
14 globally still at the moment. Well, that -- that may
15 change.

16 So if it does change, I'm really
17 interested to see how that's really addressed at
18 different countries. I know that would be guided some
19 way by the WHO guidance, but I think it's still -- you
20 still have an issue when it comes to a study. If
21 you're -- if you're going to do a study -- a gonorrhoea
22 study, and even if you say that, you know, chlamydia

1 will be dealt with at the test of cure, you can --
2 therapy there, I -- I do think some investigators are
3 still going to say, well, we'd like to see some
4 coverage of chlamydia in this gonorrhoea study from the
5 get-go.

6 So I think we might still have some
7 push to have some sort of combination. If ceftriaxone
8 is not thought to be sufficient to -- chlamydia, I
9 just think that's something we need to consider. And
10 that goes to the point around what is the study about?
11 Is it about gonorrhoea or is it more about the syndrome
12 of infection?

13 MS. WORKOWSKI: So are you saying that
14 you would not in particular want to have pharyngeal GC
15 as a secondary endpoint? You'd rather do a
16 comparative -- you'd rather do a non-comparative study
17 in let's say a population of MSM that has a higher
18 prevalence of pharyngeal GC? Is that what your idea
19 is?

20 MR. O'BRIEN: Possibly. I -- I think -
21 - I do think -- I'm not going to come down -- directly
22 on that, but I think that's a way we could look at it,

1 but I'd take -- comments on that. But yeah, I think
2 within -- within the current phase three studies, I
3 think it's a key secondary endpoint, but it probably
4 doesn't get -- quite get the attention it does in that
5 -- in that -- in that sense.

6 I think if we -- if we need drugs
7 particularly for that, they may not be the drugs that
8 we need for the more broader and more straightforward
9 urogenital gonorrhoea population.

10 As others have said, maybe we need more
11 injectables for pharyngeal or -- not monotherapy --
12 sorry. More frequent dosing for -- for that
13 population.

14 It's getting late on a Friday here.
15 I'm getting a bit tired, so --

16 MS. WORKOWSKI: Yeah. It's late for
17 everybody. Matt, do you have a comment?

18 Thank you so much. That was great.

19 MR. GOLDEN: Now I think some of this
20 might have to do with the sequencing of the studies
21 and -- and how you make your investment. In the
22 delafloxacin study, if we had been more judicious in

1 retrospect and just tried to treat a few people in an
2 environment where there was widespread quinolone
3 resistance, you never would have done the phase three
4 study the way we did.

5 So there was --

6 MS. CAMMARATA: I was going to agree
7 with you, Matt. I think that -- yeah. I agree with
8 you. I think it's -- that was, to me, one of the
9 points to people that are doing trials in this area is
10 that they have to include those tougher to treat,
11 otherwise you will -- you know, if you have to have
12 one treatment that fits all, you need to know that it
13 fits all. And if you do a study that only has, you
14 know, 30 patients and they are 100 percent successful
15 in phase two, it doesn't necessarily mean it's going
16 to work in phase three.

17 It would have been good to have done
18 some type of study in a very challenging population to
19 know that it's going to go.

20 MS. WORKOWSKI: Thanks so much, Sue.
21 George, do you have a comment?

22 MR. DRUSANO: I do, just very quickly.

1 Some of the -- the commentary, I just think sells
2 treating physicians short. I -- I don't think that
3 one size necessarily needs to fit all. When it comes
4 to antimicrobial chemotherapy, physicians dealing with
5 serious infections choose different drugs for
6 different types of reasons all the time, and it's
7 often times backed up from the micro lab or from some
8 kind of, you know, testing. And as we pointed out
9 multiple times today, we're going to be entering into
10 a time when we're going to be getting multiple,
11 multiple pieces of information back for both
12 identification of pathogen as well as identification
13 of some resistance mechanisms.

14 I think that going forward, the data
15 will be there and I -- I think physician -- treating
16 physicians are perfectly adequate to make judgments
17 about what to employ. I'll stop there.

18 MS. WORKOWSKI: Thanks, George.
19 Carolyn?

20 MS. DEAL: Yeah. I think, Kim, you
21 asked I think particular to Sue about what might have
22 been done differently for delafloxacin and -- and

1 azithromycin. And I think if you look at the
2 timeframe of those trials, that's when very much all
3 developers were encouraged to only have single-dose
4 therapy.

5 And I do think -- and Sue please, you
6 know, weigh-in on this, I think you made the
7 suggestion that if the community has now moved to,
8 say, two dose is quite reasonable, you may have had a
9 different outcome in those trials.

10 And so the fact that for many newer
11 drugs, the -- what we're seeing and looking at what is
12 the toxicities that go along with what you would need
13 for a one-dose therapy versus -- that it would push
14 the toxicities too high that if you could have a two-
15 dose therapy, you may still achieve your time of 24
16 hours, you know, above the MICs with that two-dose
17 regimen, but not have the toxicity concerns.

18 And so, Sue, it'd be great if you could
19 just maybe comment on the two-dose and the difference
20 in the timeframes of when those studies were
21 conducted.

22 MS. CAMMARATA: So for both those

1 studies -- yeah. Those were both done, you know, five
2 years ago. And I think -- even if you read the FDA
3 guidance, I think it still says the preferred
4 treatment is a single dose. I don't think that's
5 changed. Maybe somebody at the FDA can confirm, but
6 the last time I looked, it still says one-dose therapy
7 is preferred.

8 And I think for the gepotidacin study
9 currently is two doses. I think that for the ID
10 community, there's always the concern about a single-
11 dose, the emergence of resistance. So if the treating
12 community is more comfortable with, you know, having
13 patients take more than one dose or a daily dose
14 overtime, that may be -- that's the signal they need
15 to get. Drug developers need to know that that's
16 something that you're willing to consider because I
17 think it does contribute to a higher likelihood that
18 these products will be successful and that we
19 hopefully would have a less emergence of resistance
20 than giving people that single dose and, you know,
21 pushing the dose for some of these has a lot of --
22 especially with the orals, a lot of GI toxicity.

1 There's a limit of how you can push them for single
2 dose. A two-dose therapy or two days of therapy,
3 might be more palatable and doable, but we have to
4 feel comfortable that's going to be acceptable to the
5 treating community.

6 I guess my other drug folks can comment
7 as well.

8 MS. WORKOWSKI: I see Anne has her hand
9 raised. Anne?

10 MS. JERSE: Hi. Thank you. Just
11 following that thought. I think one thing I was
12 really encouraged about today is hearing the recent
13 progress with the preclinical PKPD, including the
14 hollowfiber and the animal models. And I just wonder,
15 you know, if we could utilize that progress and that
16 new experience to analyze some of these prior drugs
17 and actually collect some of the preclinical data
18 retrospectively to look into things like more than one
19 dose and otherwise help pressure test some of those
20 new preclinical approaches to PKPD with some products
21 that have successful and, you know, perhaps less
22 successful for completely identified reasons. Drugs

1 that -- that have been in the clinic at any rate.

2 MS. WORKOWSKI: Yeah. That's an
3 interesting thought. I think that what came out
4 before is, you know, that we don't have many drugs.
5 So there's been a rush to try to get something out and
6 something we can look at, but as part of the
7 development, is that something that we should really
8 spend some time looking at? Thinking about the time
9 that it takes to do that versus kind of no drugs in
10 the pipeline, which is a little challenging.

11 So the other interesting thing I was
12 wondering about as George brought up the issue about
13 combination therapy, the question is anybody looking
14 at combination therapy in this model? Jeanne?

15 MS. MARRAZZO: I definitely wasn't
16 going to comment on that. I had another comment about
17 George's comment. So I can do that or I can wait
18 until someone answers your question.

19 MS. WORKOWSKI: No, go ahead.

20 MS. MARRAZZO: Yeah. I want --

21 MS. WORKOWSKI: I don't see George's
22 hand raised yet.

1 MS. MARRAZZO: It is related to this
2 concept of combination therapy and I just want to, not
3 pushback, but just comment on George's -- I think -- I
4 think correct comment that, yes, infectious disease
5 physicians are very good at mixing and matching and
6 reacting to antimicrobial susceptibilities and
7 crafting regimens. Who treats gonorrhea? It is not
8 the ID specialist. Number one, we don't have enough
9 ID specialists in this country, let alone the world.
10 And number two, when you look at who provides sexual
11 healthcare, you are not talking generally about
12 specialists, let alone MDs -- or MDs, let alone
13 specialists. So I think we have to think carefully
14 about the treating community as a very diverse group
15 of people. They may provide prenatal care. They may
16 provide sexual health. They may provide contraception
17 in a huge way. It's a huge audience, right? Family
18 planning. So I think it's an important concept that
19 maybe people who particularly are not in the world of
20 cure delivery, just -- just don't realize. And I
21 would say that it's a pretty widespread misconception
22 that it's mostly MDs who are treating our patients.

1 MS. WORKOWSKI: Thank you. Those were
2 great comments. Carolyn?

3 MS. DEAL: I just want to make sure
4 that we also have a bit of a caution about language.
5 Because I think when people use combination therapy --
6 and I know there's been confusion in the past -- what
7 is the actual meaning of that? Is it like it is in TV
8 where you have more than one drug to treat one
9 pathogen or are you talking about treating
10 coinfections where you're using two drugs, one for one
11 pathogen and one for the other? And I think
12 potentially as a community, we have to make sure that
13 we don't confuse which one we're talking about because
14 I think sometimes those two intents are used
15 interchangeably, the same term, and yet they have very
16 different meanings. And that was one of the things I
17 think our colleagues at CDC tried to clarify in the
18 new treatment guidelines.

19 MS. WORKOWSKI: Thanks, Carolyn.
20 George, you want to comment?

21 MR. DRUSANO: Please. A couple things.
22 Recognize that, you know, the vast majority of this is

1 empirical. And I completely agree with Dr. Deal in
2 the sense that yes, there are two different reasons
3 and we call them the same thing. But by the same
4 token, the vast majority of people aren't going to
5 have two pathogens simultaneously and the two drugs
6 can interact and actually can change the outcome for
7 the one single pathogen. So -- so that's number one.

8 The second thing is -- and this is very
9 quick and I'll shut up -- is giving the second dose.

10 It does not prevent emergence of resistance.

11 Actually, the shorter you go with pressure on the
12 organism, the less likelihood there is of emergence to
13 resistance.

14 For fluoroquinolones, it's the one thing
15 that is, if you will, the exception that proves the
16 rule. And that's because fluoroquinolones wind up
17 inducing error prone replication. And error prone
18 polymerases basically really push the organism into --
19 throwing out a lot of -- a lot of mutations. Most of
20 them are lethal, but you also have a higher
21 probability of hitting one of the, you know, hot spots
22 to give it a resistance mutation.

1 So, you know, you have to be very
2 careful. You know, the longer your therapy goes, the
3 worse it is for probabilities of emergence of
4 resistance. I'll stop there.

5 MS. WORKOWSKI: Thanks, George. I
6 don't see any more hands raised. So a number of other
7 issues. I think we've -- we've hit on everything.
8 Jeff has a comment that private developers are
9 unlikely to invest unless they can expect to be
10 included in the guidelines, not solely FDA approval.

11 So I think that I don't have any other
12 -- George, did you have your hand raised again?

13 MR. DRUSANO: No, ma'am. I'm sorry.

14 MS. WORKOWSKI: That's okay. Ned, do
15 you have any other comments? Any closing comments?

16 MR. HOOK: I think the hour's getting
17 late. I think the discussion has been quite rich as
18 the entire day, but I think it may be time. If
19 anybody has a final comment or two, we would invite
20 people to briefly succinct -- and succinctly mention
21 them in case we've missed something. And I'll ramble
22 for a moment or two while I look for raised hands.

1 But if there aren't any, I think we'll -- we may get
2 ready to close this discussion and -- and ask for
3 final closing comments from the sponsors of this -- of
4 this great meeting.

5 I think that's what we're going to do.
6 Thank you all for your participation, for your
7 questions, for enriching the conversation that we've
8 had. I'll stop now.

9 MS. NAMBIAR: Thank you, Dr. Hook.
10 This is Sumathi Nambiar. I hope you can hear me okay.
11 So I try and provide a high-level summary of the
12 workshop and at the onset, my apologies to each
13 speaker, I really will not be able to do justice to
14 your presentations. As -- has noted in her -- in the
15 chat box, all the meeting materials, the slides,
16 transcripts will be available on our website in the
17 upcoming weeks.

18 So what I'll try and do is just provide
19 some key highlights from each of the presentations and
20 I'll go through it rather quickly. I know everyone's
21 tired and want to get the workshop packed up quickly.

22 So Dr. Marrazzo set the stage for us

1 this morning when discussing the unmet need for
2 therapies to treat gonorrhoea, and also the challenges
3 facing antibacterial drug development. I think some
4 gaps identified included the importance of studying
5 pharyngeal infections, which represents a major --
6 infection and spread of AMR. The fact that there's no
7 universal option for oral therapy and the requirement
8 for pharyngeal therapy -- culture and slow uptake of
9 point of care testing.

10 Dr. Wi from the WHO provided her
11 perspective from a global policy standpoint and
12 highlighted the importance of access to new therapies,
13 appropriate use and the need for low-cost point of
14 care testing. Provided example of a minimal and
15 preferred -- from WHO perspective. And very
16 importantly highlighted some of the clinical trial
17 design considerations that are used to support the
18 development of gonorrhoea guidelines and clearly
19 outline the importance of randomized control trials as
20 a preferred source of evidence. The importance of
21 having data on all study participants and all study
22 outcomes. The populations to be diverse. And

1 important also to have information beyond
2 microbiologic -- clinical care. So information such
3 as complications, transmission to partners, quality of
4 life, etcetera.

5 Dr. Bachmann from the CDC provided
6 information on surveillance tools in the United States
7 for monitoring resistance to *Neisseria gonorrhoea*.
8 Noted that the susceptibility to -- remains low,
9 however there is elevated MICs -- azithromycin, and
10 that continues to increase. She reviewed with us the
11 revised treatment guidelines and the rationale for
12 doing so, including availability of more PKPD data,
13 emergence resistance and the importance of
14 antimicrobial stewardship -- stewardship. Sorry.

15 Doctors Unemo and Drusano provided an
16 overview of antimicrobial resistance in *Neisseria*
17 *gonorrhoea* and PKPD considerations. They noted the
18 limitations of the currently available tools and the
19 need for additional work. They presented some of the
20 PKPD work that they've done with new therapies and
21 development as discussion around the hollowfiber
22 infection model and the importance of predicting AMR

1 emergence with new antimicrobials. I think one of
2 their recommendations was that PK studies be ideally
3 included in all treatment studies and that we need to
4 improve our understanding of single versus multiple
5 dose -- monotherapy versus dual therapy.

6 Dr. Jerse discussed the work her lab
7 has done with the -- model of lower reproductive tract
8 infection, including its use to evaluate some drugs in
9 development. She also discussed the work on Neisseria
10 gonorrhoea of the reproductive tract infection and
11 Neisseria gonorrhoea/chlamydia coinfection models. And
12 highlighted the need to develop models for
13 extragenital and -- gonococcal infection.

14 Dr. Hiltke from DMID provided a summary
15 of the -- services provided by DMID to support
16 development of drugs for gonorrhoea that cover
17 preclinical and CMC aspects of product development.
18 And also noted potential funding for diagnostics and
19 point of care tests.

20 Dr. Duffy from CARB-X spoke about
21 funding at first to support gonorrhoea drug
22 development. We heard about the CARB-X supportive

1 programs, so products -- drugs and bacteria, including
2 the three active programs, the treatment of gonorrhoea,
3 for prevention and diagnostics. And Dr. Duffy also
4 noted that one of the key priority areas is
5 development of improved animal models of infection.

6 We heard from Dr. Reno and McNeil about
7 their viewpoint from providers in STI clinics and
8 their experience with two case studies -- the
9 challenges they face and successes they achieved. And
10 very importantly, they also highlighted the challenges
11 that the COVID pandemic has imposed and provided some
12 suggestions for greater engagement in clinical trials.
13 Some of the suggestions included use of innovative
14 clinic models and enhanced services, investment in the
15 long-term success of the study site to support current
16 and future research. They certainly highlighted the
17 importance of community engagement and engaging a site
18 champion and -- and potential role of telehealth in
19 the future.

20 In the public comment period, we heard
21 from Sarah Wang about the need for early education
22 about antibiotic resistant gonorrhoea among adolescents

1 and adults, and some ongoing work that she is doing
2 with high school students.

3 That took us to session two which
4 focused on trial design challenges and considerations.
5 The first -- regulators. We heard from FDA, EMA and
6 PMDA. And all three agencies recognized the unmet
7 need for therapies to treat gonorrhoea and expressed
8 their willingness to work with drug developers to
9 facilitate the development of such products.

10 And to great extent, there is alignment
11 between the regulatory requirements across the three
12 agencies; however, there are some differences. The
13 current PMDA recommendations separate out two clinical
14 conditions -- gonococcal cervicitis in women and
15 gonococcal urethritis in men. And the endpoints of
16 the two conditions are different. I do want to point
17 out that PMDA has noted that they are flexible and
18 recommend that the sponsors of clinical trials seeks
19 scientific advice that they would like to consider
20 appropriate study design based on the product
21 characteristics.

22 FDA and EMA recommendations with regard

1 to key aspects, which is trial design, trial
2 population and endpoints, are generally aligned. As
3 are the expectations for data packages including the
4 number of trials in support of indications.

5 We then heard from -- Dr. Cammarata
6 provided an overview and noted that while there are
7 some similarities between drug development for
8 gonorrhoea and antibacterial drug development programs,
9 there are some key differences, particularly with
10 regard to animal model, exposure at sites of
11 infection, challenges -- and challenges with PKPD
12 assessment. Dr. Cammarata discussed the delafloxacin
13 and azithromycin trials and noted that the overall
14 conclusion from both trials suggest there might have
15 been issues with those selections.

16 And Dr. Cammarata also noted that in
17 addition to the need for new methods with invitro and
18 in vivo dosing strategy, think it's important to have
19 funding in -- products to treat gonorrhoea.

20 We then heard from four different
21 developers. Dr. Chaves discussed afabycin, which is
22 Debiopharm's product. And the challenges from a

1 developer's perspective -- focusing on the preclinical
2 considerations such as emergence of resistant
3 *Neisseria gonorrhoea*, the importance of understanding
4 PKPD relationships and the unique transitional
5 challenges. The microbiology challenges with regard
6 to generating data in solid media rather than liquid
7 media, and the lack of appropriate models for
8 extragenital sites of infection.

9 Dr. Perry -- discussed the clinical
10 considerations and their experience with the
11 gepotidacin and solifenacin development programs
12 respectively. They covered a lot of the operational
13 challenges such as differences in standard of care,
14 the difficulties with using culture as primary
15 endpoint, access to local or regional labs that have
16 reliable culture and transfer conditions. The
17 difficulties with sample multiple body sites. The
18 difficulty with -- enriching trials for women or
19 adolescents, and the operational challenges with
20 multidose regimen.

21 In addition, they highlighted the
22 challenges due to the impact of COVID. Changing

1 priorities and considerations for telemedicine. Some
2 suggestions for making trial feasible, such as master
3 protocol, adaptive trials, role of clinical trial
4 networks, shared access to testing laboratories,
5 etcetera.

6 There was also discussion about
7 considering a syndromic approach in the future.
8 Endpoints of proposals about moving away from a
9 culture-based endpoint to potentially an -- based
10 clinic or using clinical endpoint including the use of
11 --

12 There was discussion around NI margin
13 considerations, whether there's flexibility in using
14 wider NI margin.

15 Dr. Gelone from Nabriva discussed
16 development considerations for a syndromic approach,
17 uncomplicated urethritis and cervicitis, rather than a
18 pathogen-specific approach, and discussed some
19 attributes of the nupharamine [ph] as it relates to
20 STI packaging.

21 Dr. Hook provided an investigator's
22 perspective and noted that clinical needs have evolved

1 over time because of the threat of resistance. The
2 need to rely on a single medication. And had some
3 very useful suggestions for us about the
4 considerations from clinical trial site perspective,
5 the type of infection, the trial participants and --
6 strategies -- methodologies to diagnosis and assess
7 outcomes.

8 And some suggestions in terms of future
9 -- to reconsider what is considered an optimal drug.
10 What do we do about rectal oropharyngeal infections --
11 don't want to pool them into one category of
12 extragenital infections because there are considerable
13 differences between the two.

14 Should we revisit outcome measurement
15 and also take into consideration -- studies on the
16 clinical -- of patient care.

17 So I think that's a very high level of
18 a quick summary of -- of all the presentations. And
19 certainly, the materials will be available in the
20 upcoming weeks if you'd like to review them in greater
21 detail.

22 So with that, I just want to thank

1 everybody on behalf of the Division of Anti-infectives
2 and the Office of Infectious Disease at the FDA, and
3 our federal partners, the CDC and NIH -- many times to
4 all of you for participating in today's workshop.

5 Special thanks to all the speakers, panelists and
6 moderators for making today's workshop a big success.

7 And also want to thank the participants for joining
8 today's workshop.

9 Special note of appreciation to Cindy
10 Tashukna [ph] and James Byrne [ph]. This workshop
11 would not have been possible without their hard work
12 in helping managing the logistics.

13 We certainly plan to consider all the
14 points raised today as we continue to refine our
15 approaches to developing drugs to treat gonorrhoea so
16 that safe and effective therapies are available to
17 meet patient needs.

18 With that, my sincere thanks and
19 appreciation to each one of you for joining us in
20 workshop today, and hope you have a good evening.

21 Thank you.

22 (Whereupon, the meeting concluded at

1 4:47 p.m..)

2

3

4

5

6

7

8

9

10

11

CERTIFICATE OF NOTARY PUBLIC

12

I, CARL HELLANDSJO, the officer before whom

13

the foregoing proceedings were taken, do hereby

14

certify that any witness(es) in the foregoing

15

proceedings, prior to testifying, were duly sworn;

16

that the proceedings were recorded by me and

17

thereafter reduced to typewriting by a qualified

18

transcriptionist; that said digital audio recording of

19

said proceedings are a true and accurate record to the

20

best of my knowledge, skills, and ability; that I am

21

neither counsel for, related to, nor employed by any

22

of the parties to the action in which this was taken;

1 and, further, that I am not a relative or employee of
2 any counsel or attorney employed by the parties
3 hereto, nor financially or otherwise interested in the
4 outcome of this action.

5 CARL HELLANDSJO

6 Notary Public in and for the

7 District of Columbia

8

9

10

11

CERTIFICATE OF TRANSCRIBER

12

I, JACOB EY RADTKE, do hereby certify that

13

this transcript was prepared from the digital audio

14

recording of the foregoing proceeding, that said

15

transcript is a true and accurate record of the

16

proceedings to the best of my knowledge, skills, and

17

ability; that I am neither counsel for, related to,

18

nor employed by any of the parties to the action in

19

which this was taken; and, further, that I am not a

20

relative or employee of any counsel or attorney

21

employed by the parties hereto, nor financially or

22

otherwise interested in the outcome of this action.

1
2
3
4
5
6
7
8
9
10

JACOB EY RADTKE