

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
JOINT MEETING OF THE ARTHRITIS  
ADVISORY COMMITTEE (AAC) AND THE DRUG SAFETY AND  
RISK MANAGEMENT ADVISORY COMMITTEE (DSaRM)

Virtual Meeting

Thursday, March 25, 2021

10:00 a.m. to 12:49 p.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Moon Hee V. Choi, PharmD**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**ARTHRITIS ADVISORY COMMITTEE MEMBERS (Voting)**

**Hetlena J. Johnson, EdS**

*(Consumer Representative)*  
Columbia, South Carolina

**Martha C. Nason, PhD**

Mathematical Statistician  
Division of Clinical Research  
National Institute of Allergy and  
Infectious Diseases  
National Institutes of Health (NIH)  
Rockville, Maryland

1 **Alyce M. Oliver, MD, PhD**

2 Joseph P. Bailey MD Chair in Rheumatology

3 Professor of Medicine

4 Medical College of Georgia at Augusta University

5 Augusta, Georgia

6

7 **David S. Pisetsky, MD, PhD**

8 Professor of Medicine and Immunology

9 Duke University Medical Center

10 Durham Veterans Affairs Medical Center

11 Durham, North Carolina

12

13 **J. Steuart Richards, MD**

14 Chief, Division of Rheumatology

15 Veterans Affairs Pittsburgh Healthcare System

16 Clinical Associate Professor of Medicine

17 University of Pittsburgh

18 Pittsburgh, Pennsylvania

19

20

21

22

1 **Jasvinder Singh, MD, MPH**

2 Professor of Medicine and Epidemiology with Tenure  
3 University of Alabama at Birmingham  
4 Birmingham, Alabama  
5

6 **ARTHRITIS ADVISORY COMMITTEE MEMBER (Non-Voting)**

7 **Marek J. Honczarenko, MD, PhD**

8 *(Industry Representative)*  
9 Senior Vice President, Clinical Sciences  
10 GlaxoSmithKline (GSK)  
11 Philadelphia, Pennsylvania  
12

13 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

14 **MEMBERS (Voting)**

15 **Karim Anton Calis, PharmD, MPH, FASHP, FCCP**

16 Director of Clinical Research and Compliance  
17 Office of the Scientific Director, Division of  
18 Intramural Research  
19 *Eunice Kennedy Shriver* National Institute of  
20 Child Health and Human Development, NIH  
21 Bethesda, Maryland  
22

1 **Marie R. Griffin, MD, MPH**

2 Professor of Health Policy, Emerita

3 Department of Health Policy

4 Vanderbilt University

5 Nashville, Tennessee

6

7 **Laurel A. Habel, MPH, PhD**

8 Associate Director, Cancer Research

9 Division of Research

10 Kaiser Permanente Northern California

11 Oakland, California

12

13 **Sonia Hernandez-Diaz, MD, MPH, DrPH**

14 Professor of Epidemiology

15 Department of Epidemiology

16 Harvard T.H. Chan School of Public Health

17 Boston, Massachusetts

18

19

20

21

22

1 **Collin A. Hovinga, PharmD, MS, FCCP**

2 Senior Vice President

3 Clinical and Scientific Development

4 The Institute for Advanced Clinical Trials

5 (I-ACT) for Children

6 Clinical Associate Professor of Pharmacy

7 University of Texas at Austin, College of Pharmacy

8 Austin, Texas

9

10 **Martin Kulldorff, PhD**

11 Professor of Medicine and Biostatistician

12 Division of Pharmacoepidemiology and

13 Pharmacoeconomics

14 Department of Medicine

15 Harvard Medical School and

16 Brigham & Women's Hospital

17 Boston, Massachusetts

18

19 **Steven B. Meisel, PharmD, CPPS**

20 System Director of Medication Safety

21 M Health Fairview

22 Minneapolis, Minnesota

1     **Lewis S. Nelson, MD**

2     Professor and Chair

3     Department of Emergency Medicine

4     Chief, Division of Medical Toxicology

5     Rutgers New Jersey Medical School

6     Newark, New Jersey

7

8     **Suzanne B. Robotti**

9     *(Consumer Representative)*

10    President, MedShadow Foundation

11    Executive Director, DES Action USA

12    New York City, New York

13

14    **TEMPORARY MEMBERS (Voting)**

15    **Edward Y. Cheng, MD**

16    Mairs Family Professor

17    Adult Reconstructive Surgery

18    Department of Orthopedic Surgery

19    University of Minnesota Medical School

20    Minneapolis, Minnesota

21

22

1 **Daniel B. Horton, MD, MSCE**

2 Assistant Professor of Pediatrics and Epidemiology

3 Rutgers Robert Wood Johnson Medical School

4 Center for Pharmacoepidemiology and

5 Treatment Science

6 Institute for Health, Health Care Policy and

7 Aging Research

8 Rutgers School of Public Health

9 New Brunswick, New Jersey

10

11 **Lee D. Katz, MD, MBA**

12 Professor Emeritus

13 Department of Radiology & Biomedical Imaging

14 Yale University School of Medicine

15 New Haven, Connecticut

16

17 **Joseph P. O'Brien, MBA**

18 *(Patient Representative)*

19 President, CEO, & Patient

20 National Scoliosis Foundation

21 Stoughton, Massachusetts

22



1 **Maria E. Suarez-Almazor, MD, PhD**

2 *(Acting Chairperson)*

3 Barnts Family Distinguished Professor

4 Department of Health Services Research

5 Section of Rheumatology and Clinical Immunology

6 University of Texas MD Anderson Cancer Center

7 Houston, Texas

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Billy Dunn, MD**

11 Director

12 Office of Neuroscience (ON)

13 Office of New Drugs (OND), CDER, FDA

14

15 **Eric Bastings, MD**

16 Deputy Director

17 ON, OND, CDER, FDA

18

19

20

21

22

1 **Rigoberto Roca, MD**

2 Director

3 Division of Anesthesiology, Addiction Medicine and  
4 Pain Medicine (DAAP)

5 ON, OND, CDER, FDA

6

7 **Silvana Borges, MD**

8 Deputy Director (Acting)

9 DAAP, ON, OND, CDER, FDA

10

11 **Cynthia LaCivita, PharmD**

12 Director

13 Division of Risk Management

14 Office of Medication Error Prevention and Risk

15 Office of Surveillance and Epidemiology

16 CDER, FDA

17

18 **Martin Ho, MS**

19 Associate Director

20 Office of Biostatistics and Epidemiology

21 Center for Biologics Evaluation and Research

22 FDA

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Maria Suarez-Almazor, MD, PhD	12
Introduction of Committee	
Moon Hee Choi, PharmD	12
Conflict of Interest Statement	
Moon Hee Choi, PharmD	20
Clarifying Questions (continued)	38
Charge to the Committee	
Rigoberto Roca, MD	50
Questions to the Committee and Discussion	53
Adjournment	153

P R O C E E D I N G S

(10:00 a.m.)

**Call to Order**

DR. SUAREZ-ALMAZOR: Good morning, and welcome. I would first like to remind everyone to please mute your line when you're not speaking.

For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Maria Suarez-Almazor, and I will be chairing this meeting. I will now call today's Joint Meeting of the Arthritis Advisory Committee and the Drug Safety and Risk Management Advisory Committee to order. Dr. Moon Hee Choi is the acting designated federal officer for this meeting and will begin with introductions.

**Introduction of Committee**

DR. CHOI: Good morning. My name is Moon Hee Choi. I am the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and your affiliation.

1 Ms. Johnson?

2 (No response.)

3 DR. CHOI: Ms. Hetlena Johnson?

4 (No response.)

5 DR. CHOI: Ms. Johnson, you might be on  
6 mute.

7 (No response.)

8 DR. CHOI: Okay. We'll come back to you.

9 Dr. Honczarenko?

10 DR. HONCZARENKO: Good morning. Dr. Marek  
11 Honczarenko, GSK industry representative,  
12 non-voting member.

13 DR. CHOI: Dr. Nason?

14 DR. NASON: Good morning. I'm Martha Mason.  
15 I'm a mathematical statistician at the National  
16 Institute of Allergy and Infectious Diseases.

17 DR. CHOI: Dr. Oliver?

18 (No response.)

19 DR. CHOI: Dr. Alyce Oliver?

20 (No response.)

21 DR. CHOI: Dr. Oliver, you might be muted.

22 DR. OLIVER: Good morning. This is Alyce

1 Oliver. I'm an adult rheumatologist at the Medical  
2 College of Georgia.

3 DR. CHOI: Thank you.

4 Dr. Pisetsky?

5 DR. PISETSKY: I'm Dr. David Pisetsky,  
6 professor of medicine and immunology, Duke  
7 University. I'm a rheumatologist.

8 DR. CHOI: Dr. Richards?

9 DR. RICHARDS: Good morning. John Steuart  
10 Richards. I'm an adult rheumatologist at the VA  
11 Pittsburgh Healthcare System.

12 DR. CHOI: Dr. Singh?

13 DR. SINGH: Good Morning. Jasvinder Singh,  
14 adult rheumatologist at the University of Alabama  
15 in Birmingham.

16 DR. CHOI: Dr. Calis?

17 DR. CALIS: Good morning. This is Dr. Karim  
18 Calis. I'm director of clinical research and  
19 compliance for the National Institute of Child  
20 Health and Human Development at the NIH, and chair  
21 of the Intramural Institutional Review Board at the  
22 NIH as well.

1 DR. CHOI: Dr. Griffin?

2 DR. GRIFFIN: Good morning. Marie Griffin.  
3 I'm the general internist and  
4 pharmacoepidemiologist at Vanderbilt University in  
5 Nashville, Tennessee.

6 DR. CHOI: Dr. Habel?

7 DR. HABEL: Good morning. This is Laurie  
8 Habel. I'm an epidemiologist at Kaiser  
9 Permanente's Division of Research.

10 DR. CHOI: Dr. Hernandez-Diaz?

11 DR. HERNANDEZ-DIAZ: Good morning. Sonia  
12 Hernandez-Diaz, professor of pharmacoepidemiology  
13 at the Harvard Chan School of Public Health in  
14 Boston.

15 DR. CHOI: Dr. Hovinga?

16 DR. HOVINGA: Collin Hovinga. I'm associate  
17 professor at the University of Texas at Austin,  
18 College of Pharmacy, and I am senior vice president  
19 of clinical and scientific development of a  
20 public-private partnership known as I-ACT for  
21 Children.

22 DR. CHOI: Dr. Kulldorff?

1 DR. KULLDORFF: Good morning. My name is  
2 Martin Kulldorff. I'm a biostatistician and  
3 epidemiologist in the Division of  
4 Pharmacoepidemiology at Harvard Medical School.

5 DR. CHOI: Dr. Meisel?

6 DR. MEISEL: Good morning. Steve Meisel,  
7 director of medical safety for M Health Fairview,  
8 based in Minneapolis Integrated Health System.

9 DR. CHOI: Dr. Nelson?

10 DR. NELSON: Good morning. Lewis Nelson.  
11 I'm the chair of the Department of Emergency  
12 Medicine and a medical toxicologist from Rutgers  
13 New Jersey Medical School in Newark, New Jersey.

14 DR. CHOI: Ms. Robotti?

15 MS. ROBOTTI: Good morning. Suzanne  
16 Robotti. I'm the president of MedShadow Foundation  
17 and the executive director of DES Action USA.

18 DR. CHOI: Dr. Cheng?

19 DR. CHENG: Hi. I'm Ed Cheng, and I'm a  
20 professor in the Department of Orthopedic Surgery  
21 at the University of Minnesota and practice in  
22 adult reconstructive surgery.



1 DR. CHOI: Dr. Horton?

2 DR. HORTON: Good morning. Dan Horton. I  
3 am a pediatric rheumatologist and  
4 pharmacoepidemiologist at Rutgers University in New  
5 Brunswick, New Jersey.

6 DR. CHOI: Dr. Katz?

7 DR. KATZ: Good morning. I'm Dr. Lee Katz,  
8 professor emeritus, Department of Radiology and  
9 Biomedical Imaging and Orthopedic Surgery and  
10 Rehabilitation at Yale University in New Haven,  
11 Connecticut. I'm a musculoskeletal radiologist.

12 DR. CHOI: Mr. O'Brien?

13 MR. O'BRIEN: Good morning. I'm Joe  
14 O'Brien, and I'm president and CEO of the National  
15 Scoliosis Foundation, and I am the patient  
16 representative.

17 DR. CHOI: Dr. Suarez-Almazor?

18 DR. SUAREZ-ALMAZOR: Maria Suarez-Almazor,  
19 rheumatologist and clinical epidemiologist,  
20 University of Texas, MD Anderson Cancer Center.

21 DR. CHOI: Ms. Johnson, are you back? Can  
22 you hear me? If so, can you please state your name

1 and your affiliation, please?

2 MS. JOHNSON: Yes. Hetlena Johnson,  
3 consumer representative, community health research  
4 and lupus advocate.

5 DR. CHOI: Thank you.

6 Dr. Billy Dunn?

7 DR. B. DUNN: Good morning. This is  
8 Dr. Billy Dunn. I'm the director of the Office of  
9 Neuroscience at the FDA.

10 DR. CHOI: Dr. Bastings?

11 DR. BASTINGS: Good morning. This is  
12 Dr. Eric Bastings. I am deputy director of the  
13 Office of Neuroscience at the FDA.

14 DR. CHOI: Dr. Roca?

15 DR. ROCA: Good morning. My name is Rigo  
16 Roca. I'm the division director in the Division of  
17 Anesthesiology, Addiction Medicine, and Pain  
18 Medicine, in the Office of Neuroscience. Thank  
19 you.

20 DR. CHOI: Dr. Borges?

21 DR. BORGES: Good morning. I'm Silvana  
22 Borges. I'm the acting deputy director in the

1 Division of Anesthesiology, Addiction Medicine, and  
2 Pain Medicine, in the Office of Neuroscience at  
3 FDA.

4 DR. CHOI: Dr. LaCivita?

5 DR. LaCIVITA: Good morning. This is  
6 Cynthia LaCivita. I'm the director of the Division  
7 of Risk Management in the Office of Surveillance  
8 and Epidemiology at FDA.

9 DR. CHOI: Dr. Ho?

10 DR. HO: Good morning. My name is Martin  
11 Ho. I am the associate director of the Center for  
12 Biologics Evaluation and Research, and I will be  
13 presenting on behalf of the Center for Drug  
14 Evaluation and Research. Thank you.

15 DR. SUAREZ-ALMAZOR: Thank you.

16 For topics such as those being discussed at  
17 this meeting, there are often a variety of  
18 opinions, some of which are quite strongly held.  
19 Our goal is that this meeting will be a fair and  
20 open forum for discussion of these issues and that  
21 individuals can express their views without  
22 interruption.

1           Thus, as a gentle reminder, individuals will  
2 be allowed to speak into the record only if  
3 recognized by the chairperson. We look forward to  
4 a productive meeting.

5           In the spirit of the Federal Advisory  
6 Committee Act and the Government in the Sunshine  
7 Act, we ask that the advisory committee members  
8 take care that their conversations about the topic  
9 at hand take place in the open forum of this  
10 meeting.

11           We are aware that members of the media are  
12 anxious to speak with the FDA about these  
13 proceedings, however, FDA will refrain from  
14 discussing the details of this meeting with the  
15 media until its conclusion. Also, the committee is  
16 reminded to please refrain from discussing the  
17 meeting topic during breaks or lunch. Thank you.

18           Dr. Moon Hee Choi will read the Conflict of  
19 Interest Statement for the meeting.

20                           **Conflict of Interest Statement**

21           DR. CHOI: The Food and Drug Administration  
22 is convening today's Joint Meeting of the Arthritis

1 Advisory Committee and the Drug Safety and Risk  
2 Management Advisory Committee under the authority  
3 of the Federal Advisory Committee Act of 1972.  
4 With the exception of the industry representative,  
5 all members and temporary voting members of the  
6 committee are special government employees or  
7 regular federal employees from other agencies and  
8 are subject to federal conflict of interest laws  
9 and regulations.

10 The following information on the status of  
11 this committee's compliance with federal ethics and  
12 conflict of interest laws, covered by but not  
13 limited to those found at 18 U.S.C. Section 208, is  
14 being provided to participants in today's meeting  
15 and to the public.

16 FDA has determined that members and  
17 temporary voting members of this committee are in  
18 compliance with federal ethics and conflict of  
19 interest laws. Under 18 U.S.C. Section 208,  
20 Congress has authorized FDA to grant waivers to  
21 special government employees and regular federal  
22 employees who have potential financial conflicts

1 when it is determined that the agency's need for a  
2 special government employee's services outweighs  
3 his or her potential financial conflict of interest  
4 or when the interest of a regular federal employee  
5 is not so substantial as to be deemed likely to  
6 affect the integrity of the services which the  
7 government may expect from the employee.

8           Related to the discussion of today's  
9 meeting, members and temporary voting members of  
10 this committee have been screened for potential  
11 financial conflicts of interests of their own as  
12 well as those imputed to them, including those of  
13 their spouses or minor children and, for purposes  
14 of 18 U.S.C. Section 208, their employers. These  
15 interests may include investments; consulting;  
16 expert witness testimony; contracts, grants,  
17 CRADAs; teaching, speaking, writing; patents and  
18 royalties; and primary employment.

19           Today's agenda involves the discussion of  
20 biologic license application, BLA, 761130,  
21 tanezumab subcutaneous injection, submitted by  
22 Pfizer Inc., for the proposed indication of relief

1 of signs and symptoms of moderate-to-severe  
2 osteoarthritis in adult patients for whom use of  
3 other analgesics is ineffective or not appropriate.

4 This is a particular matters meeting during  
5 which specific matters related to Pfizer's BLA will  
6 be discussed. Based on the agenda for today's  
7 meeting and all financial interests supported by  
8 the committee members and temporary voting members,  
9 no conflict of interest waivers have been issued in  
10 connection with this meeting.

11 To ensure transparency, we encourage all  
12 standing committee members and temporary voting  
13 members to disclose any public statements that they  
14 have made concerning the product at issue.

15 With respect to FDA's invited industry  
16 representative, we would like to disclose that  
17 Dr. Marek Honczarenko is participating in this  
18 meeting as a non-voting representative acting on  
19 behalf of regulated industry. Dr. Honczarenko's  
20 role at this meeting is to represent industry in  
21 general and not any particular company.  
22 Dr. Honczarenko is employed by GlaxoSmithKline.

1           We would like to remind members and  
2 temporary voting members that if the discussions  
3 involve any other products or firms not already on  
4 the agenda for which an FDA participant has a  
5 personal imputed financial interest, the  
6 participants need to exclude themselves from such  
7 involvement, and their exclusion will be noted for  
8 the record. FDA encourages all other participants  
9 to advise the committees of any financial  
10 relationships that they may have with the firm at  
11 issue. Thank you.

12           DR. SUAREZ-ALMAZOR: Okay. We will start  
13 the meeting now, but we have slightly changed the  
14 agenda. The sponsor has asked for 10 minutes to  
15 clarify some of the questions that were asked  
16 yesterday, so we will start by that. And there  
17 were a number of panel members that had raised  
18 their hands but did not get to ask their questions,  
19 so we will have another 10 minutes to continue with  
20 the clarifying questions to the sponsor from  
21 yesterday.

22           Please use the raised-hand icon to indicate



1 that you have a question, and remember to clear the  
2 icon after you have asked your question. When  
3 acknowledged, please remember to state your name  
4 for the record before you speak and direct your  
5 question to a specific presenter if you can. If  
6 you wish for a specific slide to be displayed,  
7 please let us know the slide number if possible.

8 Finally it would be helpful to acknowledge  
9 the end of your question with a thank you, and then  
10 your follow-up question with, "That is all for my  
11 questions," so we can move on to the next panel  
12 member.

13 So we'll start with the presentation from  
14 the sponsor and then we'll move immediately to the  
15 clarifying questions that were pending from  
16 yesterday. Thank you.

17 DR. WEST: Thank you. This is Christine  
18 West from Pfizer. As indicated, we'd like to just  
19 clarify and address some questions that were raised  
20 that we didn't have an opportunity to address  
21 yesterday.

22 Could I see slide AH-1? The first is some

1 discussion with Dr. Cheng around healthy joints and  
2 different conclusions drawn by the FDA versus  
3 Pfizer regarding this data. To try to add some  
4 clarity to this, we annotated slide 17 from the  
5 FDA's presentation, which is shown on this slide,  
6 to separate the occurrence of the primary composite  
7 joint safety endpoint in Kellgren-Lawrence grade 0  
8 and Kellgren-Lawrence grade 1, rather than  
9 including those together because they would not  
10 both be considered to be healthy joints.

11           Epidemiologic studies have shown that  
12 Kellgren-Lawrence grade 1 joints, which have  
13 osteophytic lipping, which would have some  
14 radiologic suggestion of osteoarthritis, are  
15 predictive of future progression and meniscal  
16 subluxation, so we think it's important to look at  
17 these two individually. We just made the  
18 separation; otherwise the data are as were shown by  
19 FDA. You can see, then, the occurrence of the  
20 events.

21           I point out that FDA's analyses of the  
22 composite joint safety endpoint, looking at the

1 occurrence in different grades of Kellgren-Lawrence  
2 grades, were based on only the affected joint, so  
3 not considering all joints that had these  
4 individual Kellgren-Lawrence grades.

5 If I could please have slide AH-2, that's a  
6 contrast to the way Pfizer has analyzed these data.  
7 We have considered all patients who have at-risk  
8 joints of Kellgren-Lawrence grade 0 or Kellgren-  
9 Lawrence grade 1. You can see in the top blue box,  
10 there's over 2,000 Kellgren-Lawrence grade 0 joints  
11 across our study population and over 1300 Kellgren-  
12 Lawrence grade 1 joints.

13 So what I'm showing you on this slide are,  
14 again, the orientation. Just to point out in the  
15 FDA slide, NSAIDs were on the left. I've now kept  
16 an orientation like Pfizer's slides have done, so  
17 NSAIDs are on the right now.

18 You'll see placebo, tanezumab 2.5 milligrams  
19 and NSAIDs broken down to the primary composite  
20 endpoint by Kellgren-Lawrence grade 0 and grade 1.  
21 You can see the percentage of patients who had at  
22 least one Kellgren-Lawrence grade 0 or 1 across the

1 treatment groups. You can see that that ranges  
2 from about 45 percent up to 69 percent across the  
3 treatment groups.

4 We've then shown the primary composite  
5 endpoint with the breakdown of the components of  
6 the endpoint and the occurrence of total joint  
7 replacement. You can see, one, placebo Kellgren-  
8 Lawrence grade 1 went to total joint replacement;  
9 2.5 milligrams, it was 0.3 percent in the Kellgren-  
10 Lawrence grade 0, so again those without  
11 radiographic evidence of osteoarthritis.

12 Those are then broken down into RPOA-1 and  
13 one osteonecrosis case. The patient with  
14 osteonecrosis had alcoholic liver disease, which  
15 could have predisposed the patient to developing  
16 osteonecrosis.

17 Within Kellgren-Lawrence grade 1, RPOA-1,  
18 all of the events with tanezumab 2.5 milligrams  
19 were RPOA-1. None of those joints went to total  
20 joint replacement. With the NSAIDs treatment  
21 group, there was one, the primary composite  
22 endpoint in the Kellgren-Lawrence grade 0, and that

1 was a subchondral insufficiency fracture. And  
2 lastly, Kellgren-Lawrence grade 1 NSAIDs joint, we  
3 have one RPOA-1 event.

4 So you can see from looking at the primary  
5 composite endpoint, it's 0.3 percent for tanezumab  
6 2.5 in Kellgren-Lawrence grade 0 and 0.1 percent in  
7 the Kellgren-Lawrence grade 0 NSAID.

8 If I could have slide AH-3, please, we then  
9 look at the risk difference using those individual  
10 incidences, and you can see now illustrated on the  
11 left the Kellgren-Lawrence grade 0 for the primary  
12 composite endpoint and all of the components. The  
13 risk difference versus NSAIDs is 0.1 percent, and  
14 no events of total joint replacement, and no events  
15 of RPOA type 2. On Kellgren-Lawrence grade 1, the  
16 risk difference is less than 1 percent relative to  
17 NSAIDs, 0.8 percent, all RPOA type 1 and no total  
18 joint replacement.

19 I'd now like to move to another topic.  
20 Slide up, please. Please pull up slide JS-712.  
21 I'd now like to move to the topic of whether the  
22 risk increases over time. I showed you some

1 different analyses yesterday to evaluate the  
2 occurrence of events over time, and we've done this  
3 analysis by looking at the occurrence of the events  
4 within the imaging intervals because obviously we  
5 can detect these events when the images are taken.  
6 These intervals represent those intervals, up to  
7 week 24, after week 24 through week 56, and after  
8 week 56.

9 In the table, I'm showing you the occurrence  
10 of the primary composite endpoint, so all of the  
11 components for the tanezumab 2.5 milligrams and the  
12 NSAID treatment group, and then the forest plot on  
13 the right shows you the risk difference, overall  
14 and by period.

15 If we follow those risks differences down on  
16 the far-right side of the slide, you can see that  
17 in the first period, its 1.0 risk difference,  
18 1.2 percent in the 24 to 56 week, and after week 56  
19 is when treatment has stopped. The risk difference  
20 is 0.9 percent.

21 I'd like to point out, in the week 24-  
22 through 56-interval, which is in the middle, you

1 can see both tanezumab 2.5 and NSAID, and that was  
2 the highest incidence of joint safety events. So  
3 the trend is very similar between these two  
4 treatment groups. That's why you're not seeing  
5 differences in the risk difference over time.

6 Right now, could I please have slide JS-46?  
7 There was quite a bit of discussion about the  
8 concordance between the adjudication committee and  
9 the central reader. As the FDA acknowledged, we  
10 have indicated, and I did in my presentation, the  
11 remit of these two groups were different. The  
12 central reader was designed to be very sensitive in  
13 their reading and surveil for events that may need  
14 follow-up and adjudication.

15 The adjudication committee's purpose was to  
16 review those events and determine the outcome.  
17 Much like is done in clinical practice, the  
18 adjudication committee reviewed the imaging as well  
19 as clinical information to make their  
20 determination.

21 This particular slide shows you on the left,  
22 if we look at the incidence of the events for the

1 primary composite endpoint and the individual  
2 components broken down for the central reader's  
3 assessment and on the right is the adjudication to  
4 be assessed, you can see the pattern is very  
5 similar.

6 The FDA indicated on page 180 of their  
7 briefing document that the conclusion about the  
8 joint safety risks associated with tanezumab  
9 treatment relative to placebo and NSAIDs does not  
10 change when looking at the assessments of the  
11 central reader versus the adjudication committee.

12 It was pointed out there were different  
13 numbers of events between the central reader and  
14 the adjudication committee. That's true; 241  
15 events versus 145. The adjudication committee had  
16 approximately 29 percent of those adjudicated as  
17 normal progression of OA and 13 percent  
18 adjudicated, the difference between the two. So  
19 I'm giving you percentages of the entire 241;  
20 13 percent, then, were other.

21 Again, other was a category that the central  
22 reader did not have available to them because they



1 did not have information about medical history and  
2 other things to consider other clinical information  
3 that the adjudication committee did. So on  
4 balance, we think that whether you look at either  
5 one of these assessments, the overall conclusions,  
6 we concur with FDA that the conclusions are the  
7 same.

8 I would now like to move to slide AH-6,  
9 please. I'd like to just circle back to a question  
10 Dr. Nason asked of me yesterday, and I provided  
11 some information but I did not have all of the  
12 details.

13 So we have gone back to look at the  
14 question, which was whether the at-risk set of  
15 patients used for our Kaplan-Meier analyses and  
16 other analyses of rapidly progressive OA type 1  
17 data included patients who would not have had an  
18 opportunity to have an RPOA type 1 event because of  
19 their baseline severity, whether it be Kellgren-  
20 Lawrence grade 4 or their joint space width was  
21 less than 2 millimeters, which is the definition  
22 for RPOA type 1.

1 I'd just like to clarify that any joint  
2 could be at risk for RPOA type 1, so we included  
3 patients, and all joints again could contribute to  
4 that. So it's not just the index joint potentially  
5 being at risk.

6 We went back and looked at our data, and  
7 there was only one patient who was in the tanezumab  
8 5-milligram treatment group who had severe enough  
9 osteoarthritis in all four major joints, so hips  
10 and knees, that would have precluded them from  
11 being able to have an RPOA type 1 event. So based  
12 on that, we don't think any new analyses need to be  
13 performed, and the denominator would be appropriate  
14 for the analyses we have done.

15 I'd like to ask Dr. Hickman to provide a few  
16 additional clarifying comments, please.

17 DR. HICKMAN: Yes. Thank you, Dr. West.  
18 And thank you for the opportunity to clarify our  
19 REMS program. We have high confidence in the REMS  
20 program. It is not just a surveillance program.

21 As Dr. Verburg mentioned in his introduction  
22 yesterday, we had almost finished a thorough

1 phase 3 program when the risk for RPOA emerged, the  
2 safety data from those studies to develop risk  
3 minimization measures, which were then used in the  
4 subsequent phase 3 through program. And now with  
5 input from external experts, we have adapted these  
6 measures to make them appropriate for real-world  
7 use.

8 I think it's important to note that with  
9 these risk minimization measures in the post-2015  
10 clinical trials, at an incidence of 0.4 percent for  
11 RPOA type 2, which wasn't different from the NSAID  
12 group, and an incidence of RPOA type 1 that was  
13 only 1 percent higher than the incidence with the  
14 NSAID group, no REMS can prevent all events, but  
15 our REMS is designed to do a number of very  
16 important things.

17 Most importantly during that, prescribers  
18 and patients were educated about RPOA, and the  
19 associated risk minimization measures is the key to  
20 minimizing RPOA. The required counseling of  
21 patients will also ensure that shared decision  
22 making has taken place.

1           If we can please bring up slide RE-5? This  
2 slide summarizes the key risk minimization measures  
3 that were successful in reducing risks for RPOA in  
4 the clinical trials and that were incorporated into  
5 the postmarketing measures. The REMS requires  
6 baseline radiographs of the knees and hips to  
7 identify pre-existing RPOA and risk factors for  
8 RPOA. This is to ensure that higher risk patients  
9 aren't treated.

10           Patients that don't have a satisfactory  
11 clinical response after receiving doses of  
12 tanezumab stopped treatment. This will help  
13 minimize unnecessary exposure. Prescribers need to  
14 know all of the data regarding NSAIDs.

15           Chronic use for greater than 90 days at full  
16 prescription strength led to an increased risk for  
17 RPOA, however, the educational materials will also  
18 provide clear guidance on the appropriate acute use  
19 of NSAIDs if needed for injury or illness. Use of  
20 NSAIDs for 10 days or less in an 8-week period was  
21 not associated with an increased risk for RPOA in  
22 data from both the pre-2015 and post-2015 studies.

1           Appropriate monitoring will ensure early  
2           identification of cases. Patients and prescribers  
3           should [inaudible - audio gap] know about this.  
4           About 30 percent of patients had symptoms before  
5           they had a diagnosed event. Early identification  
6           is important, as none of the patients that  
7           discontinued the RPOA-1 in the clinical trials  
8           progressed on to have bone damage or RPOA type 2.

9           Very importantly, if treatment is going to  
10          continue beyond one year, benefit-risk should be  
11          reassessed, including review of radiographs of the  
12          knees and hips. Prescribers must sign the patient  
13          continuation form, and they must attest that the  
14          discussion with patient has occurred about the lack  
15          of efficacy and safety data beyond one year.

16                 DR. SUAREZ-ALMAZOR: Dr. Hickman?

17                 DR. HICKMAN: Yes. I'm almost done.

18                 DR. SUAREZ-ALMAZOR: Okay, because you have  
19          exceeded the allocated time, and this is new  
20          material.

21                 DR. HICKMAN: Thank you.

22                 In these refractory patients, we would

1 anticipate that those still receiving  
2 [inaudible - audio gap] tanezumab at one year are  
3 receiving benefit, but if not, this is an  
4 opportunity to reassess whether they should  
5 continue treatment. All of these measures can be  
6 incorporated into the current standard of care for  
7 OA patients, and we'll work together to ensure the  
8 risk for RPOA is minimized. It's important to  
9 remember that we will also be assessing the REMS  
10 program shortly after initiation and can make any  
11 needed changes. Thank you for that opportunity.

12 **Clarifying Questions (continued)**

13 DR. SUAREZ-ALMAZOR: Thank you.

14 We will move now to clarifying questions.  
15 There were three panel members that had raised  
16 their hands. So we will answer their questions,  
17 and if we have time within 10 minutes, we may take  
18 some additional clarifying question.

19 Mr. O'Brien?

20 MR. O'BRIEN:.. No. My question has been  
21 answered. Thank you.

22 DR. SUAREZ-ALMAZOR: Dr. Singh?

1 DR. SINGH: This is Jasvinder Singh,  
2 University of Alabama, Birmingham. The clarifying  
3 question I had for the sponsor is, was there an  
4 analysis undertaken whereby the peripheral edema  
5 and the mild but self-limited neuropathy events  
6 were perhaps combined with RPOA-1, RPOA-2, and TKA  
7 or TDA, and a timed-event analysis done using the  
8 data from the NSAID study, which is a longer study?

9 I'm sorry if I missed that. I'm not sure if  
10 that was undertaken or if you have any thoughts  
11 about that.

12 DR. VERBURG: Thank you, Dr. Singh. This is  
13 Ken Verburg from Pfizer. We have not conducted an  
14 analysis that combines all of those components into  
15 one category or cluster and then run an analysis.  
16 But we have done components of it, evaluating the  
17 concordance or concurrence of patients that had  
18 both abnormal peripheral sensation of that, as well  
19 as a joint safety event. But those analyses are  
20 somewhat confounded by time. The events don't  
21 necessarily occur concurrently.

22 We've also assessed patients reporting an

1 adverse event of abnormal peripheral sensation and  
2 the occurrence of peripheral edema. So we have  
3 addressed some of these components, but to your  
4 suggestion, no, we did not have an analysis that  
5 takes all those factors into account in one  
6 announce.

7 DR. SINGH: Thank you. The reason I brought  
8 that up, Dr. Verburg, is despite the large sample  
9 size for the 1058 study that, compared to NSAIDs,  
10 the number of events in RPOA-1 and 2 had a separate  
11 category and TJA as a separate category, it is not  
12 large enough to, A, look at predictive factors that  
13 may be associated beyond the NSAID concurrently  
14 used that you concluded, based on the data from  
15 this and other studies; but not from this study,  
16 from other studies.

17 Therefore, when you increase the sample size  
18 with this outcome, which potentially has the same  
19 underlying mechanism, it might get some insights to  
20 finding factors that might predict this  
21 neuropathic, neurogenic blockade-associated adverse  
22 event. So that was the point behind that. Thank



1 you.

2 DR. SUAREZ-ALMAZOR: Dr. Pisetsky, you had a  
3 question yesterday?

4 DR. PISETSKY: Yes. This is perhaps  
5 speculative. I would appreciate from the sponsor  
6 an idea of what they think the mechanism is of the  
7 rapidly progressive disease.

8 Is this the target? Is it the fact that  
9 it's a biologic, so that the analgesia is  
10 prolonged? I think it's relevant in terms of  
11 developing a risk management strategy if you have  
12 some sense of the mechanism.

13 DR. VERBURG: Yes, thank you for that  
14 question. This is Ken Verburg again from Pfizer.  
15 As we indicated yesterday, given our clinical  
16 observations that rapidly progressive  
17 osteoarthritis was associated with both tanezumab  
18 and NSAIDs in our program, and the literature  
19 reports of similar associations with  
20 intra-corticosteroids, our working hypothesis is  
21 that pain relief results in altered joint  
22 mechanics, producing high biomechanical strains.

1 So our hypothesis is sort of central to  
2 biomechanics that really exceeds the properties of  
3 the tissue that leads to rapid destruction.

4           Could we please show slide JS-646, please?  
5 To give you a visual of what this looks like in  
6 terms of a diagram, exactly how this occurs remains  
7 unknown, but the change in joint mechanics or  
8 loading seem like precipitating factors that lead  
9 to joint damage directly, or more likely because of  
10 the patterns of joint safety events that we see in  
11 combination with joint specific factors.

12           One of those factors is the presence or  
13 absence of osteoarthritis. Another one could be  
14 the subchondral bone integrity, whether the patient  
15 has a SIF or has microfractures in the joint bones;  
16 or it could be just trauma that's not evident.

17           We don't have any evidence that the  
18 hypothesis of the joint damage with tanezumab  
19 treatment is the result of direct metabolic  
20 effects, cartilage turnover, or adverse effects on  
21 joint innervation. We studied this issue in  
22 preclinical in animal models, including non-human

1       primates, and have found virtually no evidence of  
2       any joint pathology in animals at very high  
3       multiples of the clinical dose for periods of  
4       duration [inaudible - audio gap] for quite some  
5       time. Slide off, please.

6               I'd just like to go to Dr. Schnitzer for  
7       just one minute to provide some perspective on this  
8       as well, as he's had a research history in this  
9       area.

10              Dr. Schnitzer?

11              DR. SCHNITZER: Thank you, Dr. Verburg.

12              I want to just say that while I've been  
13       compensated by the sponsor to be here today, I have  
14       no financial interest in the outcome of the  
15       meeting.

16              We did studies back, believe or not, in  
17       1993, looking at the effects of non-steroidal  
18       anti-inflammatory drugs in patients with OA in  
19       terms of case studies looking at loading. And what  
20       we showed very clearly was that 15 out of  
21       18 individuals who received NSAIDs increased  
22       loading in the medial compartment. Many of these

1 people had medial knee OA, a result of increased  
2 adduction moment that occurred due to the decrease  
3 in pain.

4           These studies have been now replicated at  
5 least half a dozen times. The major person  
6 involved in this was Tom Andriacchi, and there's no  
7 question that relieving pain at the knee in someone  
8 with osteoarthritis will significantly increase  
9 their loading.

10           So I think that's a very strong indication  
11 that the biomechanics are what's driving a  
12 significant aspect, particularly, of the RPOA-1  
13 events.

14           DR. PISETSKY: Can I ask, what is the  
15 implication for the patient if that's true?

16           DR. SCHNITZER: Well, I think the  
17 implications for the patients are really hard to  
18 know. I think there's a trade-off between pain  
19 relief and continued evolution of changes in the  
20 joint. I think we've seen this clearly in the  
21 anti-NGF programs, which is that the greater the  
22 pain relief you provide, the greater the incidence

1 of these events.

2 So I think the really critical issue is  
3 finding the sweet spot, finding the place where you  
4 can get enough pain relief to be clinically  
5 meaningful for patients and still end up with as a  
6 lower rate of these events as possible. We've seen  
7 these rates with non-steroidal anti-inflammatory  
8 drugs just as well as we do with anti-NGFs.

9 So the point is -- and I think this was well  
10 demonstrated with the indomethacin data roughly the  
11 same period of time; effective pain relief will  
12 drive this. There's just a trade-off, I think, and  
13 it's really critical, therefore, to find the right  
14 dose of an analgesic agent when we're dealing with  
15 this type of situation.

16 I think the other thing --

17 DR. SUAREZ-ALMAZOR: Okay.

18 Dr. Schnitzer, yes, we really need to --

19 DR. SCHNITZER: -- exclude people with  
20 pre-existing conditions to preclude that. So thank  
21 you.

22 DR. PISETSKY: Thank you. That is all for

1 my questions.

2 DR. SUAREZ-ALMAZOR: Yes. We need to get  
3 going. I'm only going to take two more questions,  
4 and the first one is from Dr. Richards, and the  
5 other one from Dr. Hovinga. Please, just a single  
6 question, and from the sponsor, a straightforward  
7 answer because we really need to move on.

8 Okay. Dr. Richards, first.

9 DR. RICHARDS: Thank you. John Richards. I  
10 may have missed this yesterday, but was there an  
11 explanation for why there wasn't a longer term  
12 extension open-label of Study 1058 going beyond the  
13 56 weeks in a drug that we're considering using for  
14 many years? Thank you.

15 DR. VERBURG: Yes. This is Ken Verburg from  
16 Pfizer again. I think the simple answer is when we  
17 discussed the components of the clinical  
18 development program with the FDA, following the  
19 release of the clinical hold in 2012, we discussed  
20 the length of the program in terms of duration of  
21 studies, and both parties agreed that that appeared  
22 to be acceptable at that time.

1           Of course in retrospect, and looking at the  
2           occurrence of the joint safety events now, it would  
3           have been very useful to have some additional data  
4           that goes out beyond multiple years. That's not  
5           uncommon in clinical development programs, and as  
6           Dr. Hickman mentioned yesterday, we're committed to  
7           do additional work to evaluate and characterize the  
8           longer term safety.

9           But in the meantime, we feel like the REMS  
10          program offers some confidence and some reassurance  
11          that patients undergoing treatment for multiple  
12          years of therapy will be thoroughly evaluated by  
13          their physician before doing so.

14          DR. RICHARDS: Thank you. That's all I  
15          have.

16          DR. SUAREZ-ALMAZOR: Dr. Hovinga?

17          DR. HOVINGA: Hello. This is Collin Hovinga  
18          from UT Austin, I-ACT for Children. I had a  
19          question about the REMS program in and of itself,  
20          and perhaps this was stated, but I wanted to  
21          clarify.

22          As individuals are participating and

1 receiving the medication, is there any formal  
2 documentation that has to be done? Is there any  
3 sense of accountability that people are -- besides  
4 just acknowledgement?

5 I think it was mentioned yesterday by the  
6 FDA that there might be concern from a practical  
7 sense, that even though people were advised to do  
8 this, there was really no way to ensure that people  
9 were staying within the bounds of the limitation.  
10 So I wanted to clarify if there was any anything  
11 that helps support the individuals or make sure  
12 that the REMS will be followed by the patient  
13 population. Thank you.

14 DR. VERBURG: Sure. I'm happy to answer  
15 that question. Yes, I'll turn it over to  
16 Dr. Hickman, and she can provide additional  
17 details.

18 DR. HICKMAN: Yes. Thank you very much.  
19 During the REMS program, the formal documentation  
20 occurs at the enrollment process where prescribers  
21 must sign the enrollment form, and patients must  
22 sign enrollment forms saying they understand the



1 requirements. And then, again, we have the formal  
2 form at one year, that they have to document that  
3 the additional benefit-risk counseling has been  
4 done and radiographs have been conducted.

5 So in between times, a patient will be  
6 required to be coming back in for each injection,  
7 and that will be the opportunity for the  
8 counseling.

9 Now, we don't have formal documentation of  
10 every visit, however, what we wouldn't be doing in  
11 our REMS assessment plan, which I can go into more  
12 detail if you'd like -- but during the REMS  
13 assessment plan, we will be able to assess using  
14 electronic healthcare data, whether the radiographs  
15 are being conducted. We will be able to determine  
16 whether NSAID prescriptions are being taken. We're  
17 going to audit the healthcare settings and find out  
18 if they're doing what they're supposed to be doing.

19 The other thing is that we're going to have  
20 surveys of both prescribers and patients to make  
21 sure they understand the requirements and that  
22 they're implementing them. So we do have a number

1 of evaluations that will go in, and those will be  
2 documented officially. We will be reporting back  
3 initially at 6 months to FDA, and then at  
4 12 months, and annually thereafter. So we do have  
5 a very thorough assessment plan that will be  
6 looking at these factors.

7 DR. HOVINGA: Thank you.

8 DR. SUAREZ-ALMAZOR: Okay. Thank you.

9 We will now proceed with a charge to the  
10 committee from Dr. Rigoberto Roca.

11 **Charge to the Committee - Rigoberto Roca**

12 DR. ROCA: Hi. This is Dr. Roca. Thank  
13 you, Dr. Suarez-Almazor.

14 Can we have the questions put up on the  
15 screen?

16 As I mentioned yesterday during my opening  
17 comments, what I had hoped, as you listened to the  
18 presentations, was that you would keep the two  
19 major items in the back of your mind with respect  
20 to what I was hoping to have a discussion about  
21 today. The two items are really related to whether  
22 the risk of the joint-related adverse reactions

1 have been adequately characterized.

2 That would be the first discussion item that  
3 I'm hoping to have you undertake, and within that,  
4 particularly the characterization of the risk over  
5 time, that will be part of the discussion, and also  
6 whether there's information regarding the long-term  
7 prognosis and the outcome of the patients who  
8 developed joint-related adverse reaction. Thank  
9 you.

10 So that would be discussion point one. The  
11 second item for discussion relates to the REMS,  
12 whether the strategies are effective in mitigating  
13 the risks and also whether you believe that the  
14 proposed risk mitigation measures are adequate to  
15 identify the adverse events; also, whether you feel  
16 that the strategies can be successfully implemented  
17 in routine clinical care; and lastly, whether there  
18 are any additional risk mitigation components that  
19 you think would be useful and could be added to  
20 reduce the incidence of structural joint damage.

21 So those are the two discussion items. The  
22 third is a voting question, and with this one we

1 try to write it up as a straight-up yes or no. And  
2 it relates to whether the REMS, which have been  
3 proposed by the applicant, will ensure that the  
4 benefits outweigh the risks.

5 After the vote is tallied, if you have voted  
6 no, we will be interested on any other studies or  
7 information that you think would be needed to  
8 address the risks of tanezumab.

9 One of the things I would like to point out  
10 is that we try to make this question relatively  
11 straightforward and, basically, a yes or no. If  
12 you happen to feel that you need some clarification  
13 on the question, I think that you can ask, but I do  
14 ask you this.

15 If you choose or you feel that you need  
16 clarification on this question, which again I think  
17 is relatively straightforward -- but if you feel  
18 that you need clarification, please make sure that  
19 any comments, or observations, et cetera, that you  
20 may make will not reflect how you intend to vote.  
21 Any comments or observations regarding the issues  
22 really should be discussed during items 1 and 2

1 when you're undertaking discussions about the  
2 issues that we would like to have discussion about.

3 So I just want to make sure that if you feel  
4 that you have to ask a question about question 3  
5 and the vote, to make sure you do not in any way  
6 reflect your thinking at that point as to how you  
7 intend to vote. Thank you.

8 Dr. Suarez-Almazor, I'll turn it back to you  
9 at this point.

10 **Questions to the Committee and Discussion**

11 DR. SUAREZ-ALMAZOR: Thank you, Dr. Roca.

12 The committee will now turn its attention to  
13 address the task at hand, the careful consideration  
14 of the data before the committee, as well as the  
15 public comments.

16 We will proceed with the questions to the  
17 committee. I would like to remind public observers  
18 that while this meeting is open for public  
19 observation, public attendees may not participate  
20 except at the specific request of the panel. After  
21 I read each question, we will pause for any  
22 questions or comments concerning its wording, then

1 we will open the question to discussion.

2 Question number 1. Discuss whether the  
3 applicant has adequately characterized the risk of  
4 joint-related adverse reactions that may be caused  
5 by tanezumab, A, characterization of the risk of  
6 destructive arthropathy over time, whether the risk  
7 continues to increase with ongoing tanezumab  
8 treatment, whether a risk ceiling is reached after  
9 a set duration of treatment; and evaluation of  
10 long-term prognosis and outcome in patients who  
11 develop a joint-related adverse reaction and  
12 subsequently discontinue tanezumab.

13 Are there any questions about the wording?

14 (No response.)

15 DR. SUAREZ-ALMAZOR: I don't see any hands  
16 raised. So if there are no questions or comments  
17 concerning the wording of the question, we will now  
18 open the question to discussion. For this  
19 particular question, I think we can group A and B  
20 together, as they seem to be quite interrelated in  
21 the discussion, so we can start now. Please  
22 remember to raise your hands.

1 Dr. Griffin?

2 DR. GRIFFIN: Marie Griffin. I really do  
3 feel like there's not -- because this is a drug  
4 that may be used for years, and I think we've  
5 learned about this from other drugs that are used  
6 for years, that we really don't know about the  
7 cumulative effects over time. One percent or  
8 2 percent sounds low, but when you add that up over  
9 5 or 10 years, that's a lot, and it may be more  
10 than that. So I think that's a concern.

11 As far as B, I think we don't know about  
12 whether these changes make getting a joint more  
13 complicated. If it were just the progression of a  
14 joint that was already very bothersome to the  
15 patient, that's one thing, and they're getting a  
16 procedure that they would get anyway. But some of  
17 these procedures are on other joints, and we don't  
18 know if the procedures are more complicated than  
19 they would have been without the drug. So I think  
20 those are two of my concerns. That's all.

21 DR. SUAREZ-ALMAZOR: Dr. Singh?

22 DR. SINGH: Jasvinder Singh, University of

1 Alabama, Birmingham. I think the discussion  
2 regarding 1A, we just recognize that, in  
3 retrospect, a longer study would have been probably  
4 more informative, but such data do not exist. It's  
5 not possible to address this concern.

6 At what rate does the risk keep going up  
7 after 52 weeks or 52 plus a handful of weeks in the  
8 observation period? Obviously, some of these  
9 processes take several years, if not decades, to go  
10 from a radiographic OA stage to a total joint  
11 replacement.

12 So to my knowledge, from the discussion of  
13 the data we've seen, we don't quite know if a risk  
14 ceiling is achieved, and we have no idea about the  
15 time that that's achieved and the rate of increase  
16 beyond 52.

17 Regarding the second one, I think that even  
18 though I think some data were presented by the  
19 sponsor with regards to discontinuation within a  
20 short span of a randomized-controlled trial and/or  
21 an observation period in the short extension, we  
22 don't know the long-term effects of discontinuation



1 on the progression of RPOA or joint-related adverse  
2 reactions.

3 I think somewhat related to that is the  
4 discrepancy between the adjudication and the  
5 central reader, where specific criteria were set  
6 up, yet there was some discrepancy that noted that  
7 the patterns are similar. That also brings up some  
8 challenges in interpreting these data. So I don't  
9 know whether a much longer study of several years,  
10 with some additional thinking and/or much larger  
11 samples, could perhaps address, but it would have  
12 to be very large.

13 There would have to some additional insights  
14 into what Dr. Pisetsky brought up with regards to  
15 the understanding of the pathophysiology and  
16 underlying biology of what leads to this RPOA, what  
17 factors shall we stratify people on, and what sort  
18 of patients do we need to get into those long-term  
19 studies. Along that needs to be, is there a  
20 spectrum between neuropathy and RPOA-1, RPOA2, and  
21 TJA, and those sort of things.

22 So I think there are several very important

1 questions brought up by these studies that remain  
2 to be answered and are concerns. Thank you.

3 DR. SUAREZ-ALMAZOR: Dr. Oliver?

4 DR. OLIVER: Hi. Alyce Oliver, Medical  
5 College of Georgia. Dr. Singh and Dr. Griffin  
6 essentially said the same thing that I was going  
7 to; that we only have data from one study,  
8 Study 1058, that showed the 7 subcutaneous  
9 injections and then time points a little bit after  
10 that 48 weeks. But we still don't know the  
11 cumulative risk of the drug on the osteoarthritis.

12 DR. SUAREZ-ALMAZOR: Dr. Cheng?

13 DR. CHENG: Thank you for the opportunity to  
14 comment on these discussion points. It's my  
15 opinion that the applicant did not adequately  
16 characterize the outcome of patients with the CJSE  
17 composite score events over time; that is, they  
18 only addressed whether or not the patients  
19 underwent a total joint replacement. Well, not  
20 only; that is one outcome metric they showed.

21 I have to say that as a surgeon, total joint  
22 arthroplasty is an outcome metric that is very

1 unreliable and flawed as a threshold for proceeding  
2 with a total joint replacement. It's highly  
3 variable, depending upon the patient,  
4 circumstances, surgeon's opinion, and the native  
5 culture, where the patient resides, as the  
6 applicant stated themselves.

7 I do think the FDA did show that the rate of  
8 events rises over time. The slope increases on  
9 their Kaplan-Meier plot, and it has not clearly  
10 plateaued at the end of the trial follow-up date.  
11 This was in the slide 15 and 16 that  
12 Dr. Pokrovnichka showed.

13 I appreciate the additional data that the  
14 applicant presented today, however, it is not  
15 actuarial data and not as reliable as the  
16 Kaplan-Meier plots presented by the FDA, which most  
17 would consider is the gold standard for reporting  
18 the outcome of a time-dependent factor. With a new  
19 class of therapy, which this represents, I'd  
20 recommend that we'd be cautious about making any  
21 approval statement.

22 In regards to part B, I don't think the

1 applicant or the FDA, either one, has adequately  
2 shown the long-term prognosis because of the  
3 limited time of the trials that are enforced and  
4 the limited follow-up as well. Thank you.

5 DR. SUAREZ-ALMAZOR: Mr. O'Brien?

6 (No response.)

7 DR. SUAREZ-ALMAZOR: Mr. O'Brien?

8 MR. O'BRIEN: Yes. Sorry.

9 I agree with all the comments that have been  
10 made so far for sure. I think my concern comes  
11 with the Catch-22 nature and the etiology of the  
12 adverse events, the RPOA, and the lack of dealing  
13 with that in terms of identifying the risk-benefit  
14 for the patient.

15 Patients are involved with two things, how  
16 they feel and how they function. So we have a  
17 situation that we have a drug that makes them feel  
18 better, so they're going to function more; yet that  
19 function causes more adverse events, which was  
20 expressed in the sponsor's responses today in terms  
21 of their working hypothesis of what's causing this  
22 RPOA.

1           Yet, I look in the literature, and I see  
2           that that hypothesis was actually around and  
3           published in the '90s, that same thing. And yet, I  
4           was disappointed that there was no attempt within  
5           the studies that I saw to identify those who, in  
6           fact, have increased loads on the joint. We're  
7           only looking at markers to see whether or not they  
8           have it. We're doing nothing, really, in a  
9           preventive nature to isolate whether or not the  
10          working hypothesis is real or not real.

11           So I'm very concerned about that in terms of  
12          identifying, because the nature of the patient is  
13          going to be, if I feel better, I'm going to  
14          function more. And if we're telling them that,  
15          inevitably, you're going to end up, therefore, with  
16          the surgery you're trying to avoid, then we really  
17          have a Catch-22 here.

18           DR. SUAREZ-ALMAZOR: Dr. Hernandez-Diaz?

19           DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.

20          Regarding A, I think we have seen the  
21          characterization for the duration of the trials,  
22          and we have seen how there is a higher rate or

1 number at the beginning of the follow-up probably  
2 because of those susceptible are going to have the  
3 events at the beginning. And we see that the rate  
4 attenuates over time, but that the cumulative risk  
5 is still ongoing, at least until the end of the  
6 trial. So as Dr. Griffin was saying, I think with the  
7 data we have, we will expect that the numbers might  
8 still be accumulating over time, perhaps.

9           Regarding B, I would like to add that one  
10 aspect that may be important to discuss the REMS  
11 later is that for the long term, once the  
12 radiographic deterioration is identified,  
13 discontinuation of the treatment might not reverse  
14 the damage, and I think that's going to be  
15 important for the REMS.

16           I would love my radiologists,  
17 rheumatologists, and colleagues on the team to  
18 comment on that, that once a radiographic  
19 deterioration is identified, whether  
20 discontinuation is going to reverse it.

21           Ones last point regarding the biomechanical  
22 hypothesis, which I found fascinating. I wonder

1       how can we explain that since there is no  
2       difference in pain and relief with NSAIDs, why  
3       would the treatment have not better pain control,  
4       but more outcomes due to the increased movement and  
5       due to the reduction in pain. Thank you.

6               DR. SUAREZ-ALMAZOR: Dr. Kulldorff?

7               DR. KULLDORFF: Hi. This is Martin  
8       Kulldorff. I think that the applicant has made a  
9       very thorough study of this drug. Both the  
10       applicant and FDA have done a very thorough  
11       analysis and representations of both the efficacy  
12       and adverse reactions.

13               It's very clear that there's an increased  
14       risk for joint adverse reactions from the drug, but  
15       we can't necessarily expect to know every detail  
16       about the adverse reactions. I think compared to  
17       many other advisory committee meetings, we know  
18       more about this drug than in many situations. In  
19       terms of whether or not it will be here, I think  
20       that is the question mark. Thank you.

21               DR. SUAREZ-ALMAZOR: Dr. Honczarenko?

22               DR. HONCZARENKO: Marek Honczarenko. Thank

1 you. I would like to provide a certain industry  
2 perspective, obviously from the point of view of  
3 how we conduct the clinical trials, and what is  
4 possible and what is not possible.

5 For us, always, proper examination of the  
6 events with very low incidence, I have to tell you  
7 that, essentially, the safety events are of very  
8 low incidence, especially the difference between  
9 standard of care and incidence of rapidly  
10 progressing OA-1 of tanezumab versus NSAIDs. It's  
11 2.3 versus 1.1 percent. This obviously is even  
12 lower for probably more significant rapidly  
13 progressing OA-2, which is 0.4 for tanezumab and  
14 0.1 for NSAIDs.

15 We have significant limitations, obviously,  
16 how we can design and how long we can conduct the  
17 trials in the rheumatology field. It's not a  
18 cardiovascular disease, when we can enroll tens of  
19 thousands of patients.

20 But having said that, this program  
21 obviously, historically, is a massive program with  
22 17,000 patients across 39 studies, which were



1 enrolled, and almost 10,000 patients treated in the  
2 context of well-controlled phase 3, with over  
3 thousands of patients having long-term follow-up.

4 I think in the context of clinical  
5 development, this is a certainly well-controlled  
6 program. Also, considering the patient population,  
7 which is a very high unmet need, which tanezumab is  
8 proposed for treatment, it's not the first line.  
9 And in the context of the risk management program  
10 and potentially postmarketing trials, I think from  
11 a purely industry clinical development perspective,  
12 this program is as well controlled as we can ever  
13 design for rheumatology indications. Thank you.

14 DR. SUAREZ-ALMAZOR: Thank you.

15 Dr. Nason?

16 DR. NASON: Martha Nason. Thank you. I  
17 agree with my colleagues who have expressed the  
18 need for longer term data and that there's no clear  
19 evidence, to me, that a risk ceiling has been  
20 reached rather than that it continues.

21 But the one question maybe I should have  
22 asked the sponsor, or maybe I'm just suggesting to

1 the sponsor is if it is possible to get any longer  
2 term follow-up on the participants who were  
3 enrolled in the post-2015 studies. It wouldn't be  
4 the first time that participants from previous  
5 studies were reached out to and asked if they'd be  
6 willing to join a follow-up study or even just  
7 provide their medical records or some updates.

8 I think that could be really reassuring  
9 potentially, or illuminating anyway, if there was a  
10 way to find out what had happened to those  
11 participants or as many of them as you could find  
12 since their inclusion in the study.

13 DR. SUAREZ-ALMAZOR: Dr. Nason, you're done  
14 with your comment?

15 DR. NASON: Yes, sorry. I'm done. Thank  
16 you.

17 DR. SUAREZ-ALMAZOR: Okay. Thank you.

18 I believe Pfizer wanted to make a comment.  
19 Please keep it brief and with no slides or  
20 additional materials.

21 DR. VERBURG: Yes, very quickly. Ken  
22 Verburg from Pfizer. We wanted to make a comment

1 about the interpretation or the analysis of the  
2 Kaplan-Meier plot. I'm going to go to Dr. Glenn  
3 Pixton to provide just a comment or two on that.

4 MR. PIXTON: Sure. Thank you, Dr. Verburg.

5 This is Glenn Pixton, Pfizer statistics. We  
6 do agree that the Kaplan-Meiers are a good way to  
7 look at data over time. We just wanted to point  
8 out the issue that we have with our NSAID is that  
9 the events we are finding are usually found through  
10 imaging, so we know when an event was detected but  
11 not necessarily when it started.

12 So our presentation of the three time  
13 periods, showing our data over the three time  
14 periods, is an attempt to try to group that  
15 Kaplan-Meier data into imaging-related intervals to  
16 make the data more interpretable in that sense.  
17 And I guess what we see is that in both the  
18 Kaplan-Meier and these period analyses, they  
19 indicate that both tanezumab and NSAIDs have the  
20 events in all of the time periods, and that there's  
21 also a lower rate for the treatment groups, for all  
22 of the treatment groups in that final off-treatment

1 period. Thank you.

2 DR. SUAREZ-ALMAZOR: Thank you.

3 Dr. Pisetsky?

4 DR. PISETSKY: With respect to the first  
5 point, I have concern about how much we know about  
6 this destructive arthropathy and the risk of  
7 adjunctive therapy. Most people with  
8 osteoarthritis are going to receive something else,  
9 even if it's an NSAID, particularly with no  
10 selective joint injections, other agents. And if  
11 the mechanism is just reduction of pain, other  
12 adjunctive medicines may worsen this, and I think  
13 that information would be very important in the  
14 design of any REMS as to what would be the  
15 allowable or not allowable therapy.

16 But with respect to the second, it seems  
17 that we're focusing on radiographs as opposed to  
18 patients' symptoms. And it seems that when you  
19 have an effective analgesia, some of the symptoms  
20 that may be associated with the arthritis get  
21 attenuated, which you have good analgesia. What I  
22 would be interested in is what happens when you

1 stop tanezumab in those people who have a  
2 radiographic change. This is a relatively short-  
3 term measure. Do they have more pain than they  
4 started with, and do they have more pain in other  
5 joints because there's been pressure?

6 So while total joint replacement may wait  
7 several years, increased pain, however, may occur  
8 very soon. And I think if there are data available  
9 to say what was the outcome at the discontinuation  
10 of tanezumab, that would be very helpful in  
11 evaluating the potential REMS. That's all for my  
12 comment.

13 DR. SUAREZ-ALMAZOR: Thank you.

14 May I remind the panel members, if you have  
15 already made your comment, if you could lower your  
16 hand after, because some of them are still raised  
17 up, and I don't know if you have another comment or  
18 not. So please remember to lower after you have  
19 spoken.

20 Dr. Meisel?

21 DR. MEISEL: Thank you. Steve Meisel from  
22 Fairview in Minneapolis; a couple of thoughts here.

1 There's been some discussion and lack of clarity as  
2 to whether this joint destruction is related to  
3 increased function -- so it comes at that Catch-22  
4 cycle that Mr. O'Brien referred to before -- or  
5 whether it's chemical.

6           It seems to me that hasn't been well  
7 differentiated, but as I think about Study 1058,  
8 the efficacy between NSAIDs and this drug, there  
9 were no differences. But the risk of destructive  
10 arthropathy was clearly higher with tanezumab,  
11 which suggests to me that this is a chemical issue  
12 more than it is a functional issue.

13           Knowing that the chemical, when you inject  
14 it, is going to sit around for a while, the  
15 long-term effect of that I think is something we  
16 shouldn't dismiss. Even if you stop it, the  
17 chemical is going to be there for a while, chemical  
18 being the drug itself.

19           The fact that there is, at least, some  
20 impact on healthy joints higher than what otherwise  
21 would be predicted, I don't think this has been  
22 that well characterized, but I think we ought to be

1 thinking about the frame of reference, that this  
2 destructive arthropathy is not totally because of  
3 improved function, but it's because there's  
4 something pathophysiological that's going on with  
5 this drug itself in terms of its mechanism of  
6 action. Thank you.

7 DR. SUAREZ-ALMAZOR: Dr. Nelson?

8 DR. NELSON: Thank you. It's Lewis Nelson  
9 from Rutgers Jersey Medical School in Newark. I  
10 appreciate the presentations and all of the  
11 questions. I think we've been talking about this  
12 drug on and off for about a decade now, and the  
13 point in this is not more long-term data, as has  
14 been already commented on.

15 I mean, we could go back and look at that  
16 respectively, but it probably should have been  
17 looked at in an ongoing fashion by the sponsor.  
18 Many people received these drugs in the initial  
19 trials before 2015, and they can certainly look  
20 back and see what's happened to those people, to  
21 those patients, subsequently, or those subjects.

22 This is especially true, given that the

1 Kaplan-Meier curves really do not seem to flatten.  
2 And even if they want to be interpreted as  
3 flattening, it's certainly not clear that there's  
4 not a secondary later developing adverse effect  
5 that we would probably be able to better predict if  
6 we had an understanding of the biological  
7 plausibility of risk, and benefit as well.

8           There does remain, I think, just too many  
9 unknowns at this point. As has been mentioned, we  
10 don't know what happens when you stop the drug,  
11 whether it's for-cause or just because it's not  
12 effective. But certainly the for-cause one is  
13 probably most concerning and something we'll be  
14 talking about a little bit later. But the lack of  
15 understanding of the mechanisms of joint  
16 destruction and whether it regresses or progresses  
17 does have a lot of implications downstream for the  
18 continued use of the drug. Thank you.

19           DR. SUAREZ-ALMAZOR: Dr. Horton?

20           DR. HORTON: Yes. Dan Horton from Rutgers  
21 University in New Brunswick, New Jersey. In terms  
22 of point A, one of the things that struck me about



1 Study 1058 was that MRIs were routinely collected  
2 in follow-up I guess for those with more advanced  
3 osteoarthritis, but they were not always  
4 interpreted. I think it was triggered by changes  
5 on plain radiographs.

6 I guess it's a question of whether -- given  
7 that the FDA presentation suggested the MRI is more  
8 sensitive, as we see in many other joint  
9 conditions, even in the time period that was  
10 studied -- the risks of arthropathy, even mild  
11 arthropathy, or early progression was  
12 underestimated by not reviewing all the MRIs  
13 obtained.

14 I'll also just comment with regard to the  
15 hypothesis of the improved benefit leading to worse  
16 joint progression, that it would have been nice to  
17 show even data, again, from the study population  
18 that those who got more benefit were also at higher  
19 risk for developing RPOA, and I don't recall seeing  
20 those data. Thank you. That's all.

21 DR. SUAREZ-ALMAZOR: Okay. I don't see any  
22 more hands raised.

1           Is there any more discussion on the topic at  
2 all?

3           DR. VERBURG: This is Ken Verburg from  
4 Pfizer. We have some information about the  
5 interpretation of MRIs from Study 1058, if that  
6 would be useful to see.

7           I also want to point out and remind the  
8 committee that Dr. West yesterday talked about, or  
9 showed you, a slide that examined the progression  
10 after treatment was stopped, and we could show that  
11 slide again, too, if that would be useful.

12           DR. SUAREZ-ALMAZOR: Okay. Don't show the  
13 slide you've already shown, but if you want to make  
14 a comment about the interpretation of the MRI, keep  
15 it short, under one minute, so we can move on.

16           DR. VERBURG: Okay.

17           Dr. West, you could go to the screening MRI  
18 assessment first.

19           DR. WEST: Thank you.

20           DR. SUAREZ-ALMAZOR: One minute, please.

21           DR. WEST: Yes. We did look for differences  
22 in the findings on MRIs, looking at bone marrow

1 edema; cartilage morphology; meniscus morphology,  
2 presence of root tears; and synovitis, and we did  
3 not find anything that was predictive and  
4 identified patients who were more at risk.

5           When looking at post-baseline findings, FDA,  
6 you correctly point out, made mention of bone  
7 marrow edema being present on patients with RPOA  
8 events, and that is correct. But we did some  
9 analyses looking at matched controls in which we  
10 matched for gender, treatment, number of  
11 subcutaneous doses, and KL grade, and we also see  
12 increases in those patients during the timing of  
13 doses and, again, not specific to treatment.

14           So we don't think that the presence of bone  
15 marrow edema is predictive, as that's commonly seen  
16 in patients with OA. As different flares increase,  
17 you might see increases in bone marrow edema. So  
18 we're, again, indicating in our REMS that if there  
19 are any lesions, increases in pain,  
20 disproportionate pain to x-ray, any equivocal  
21 findings, our recommendation is that MRI should be  
22 used to evaluate the joint more fully. Thank you.

1 DR. SUAREZ-ALMAZOR: Okay. Thank you.

2 Anymore questions or comments?

3 (No response.)

4 DR. SUAREZ-ALMAZOR: No? Okay. I will  
5 summarize what has been discussed.

6 There is general appreciation of the  
7 thorough analysis of the data that was performed by  
8 Pfizer and the FDA, and there's also recognition of  
9 the difficulty of adequately evaluating low-rate  
10 adverse events, however, there were many concerns  
11 brought up by the panel.

12 First, the drug might be used for many  
13 years, but the longer study just had 56 weeks.  
14 There's no evidence on cumulative effects with  
15 longer use, but there are some signals within the  
16 short periods of time that were covered in the  
17 trials that the risk seems to increase during  
18 follow-up, even with the short duration.

19 There were also concerns that follow-up  
20 after discontinuation was too short. The effects  
21 on other joints were considered to be important,  
22 including possibly increased pain for which no data

1 was presented and also future joint replacement in  
2 these joints with little damage to start with; and  
3 no data on whether subsequent surgery on joints  
4 with rapid progressive arthropathy would be more  
5 challenging.

6           There was a discrepancy in reading the  
7 x-rays that also rendered interpretation of the  
8 data challenging. And finally, several committee  
9 members had comments on the lack of knowledge about  
10 etiology that raised concerns about long-term  
11 effects.

12           The biomechanical hypothesis does not  
13 explain why there is no joint damage with the use  
14 of other analgesics alone. Also, if this  
15 hypothesis were to be true, that would raise  
16 concerns about the use of concomitant medications  
17 that could also worsen the joint damage.

18           Okay. Any more comments or questions on my  
19 summary?

20           (No response.)

21           DR. SUAREZ-ALMAZOR: No? Okay. We will  
22 then move to question 2. The discussion point is

1 as follows.

2           Considering the risk mitigation strategies  
3 using the post-2015 studies with tanezumab, A,  
4 discuss whether these strategies are effective in  
5 mitigating the risk of destructive arthropathy;

6           B, discuss whether the proposed risk  
7 mitigation measures are adequate to identify  
8 tanezumab-mediated adverse events on the joint  
9 prior to radiographic evidence of joint damage;

10           C, discuss whether these strategies can  
11 successfully be implemented in routine clinical use  
12 as part of a REMS; and

13           D, discuss whether there are additional risk  
14 mitigation components that could be added to  
15 prevent or reduce the incidence of structural joint  
16 damage.

17           First, let me ask if there are any questions  
18 about the wording of question number 2?

19           (No response.)

20           DR. SUAREZ-ALMAZOR: No clarifications  
21 needed? Okay. We will move on to the discussion  
22 then.

1           For these questions, there are four  
2 different points. We will discuss each of these  
3 points separately, so we'll start with A, discuss  
4 whether these strategies are effective in  
5 mitigating the risk of destructive arthropathy.

6           DR. CHOI: Dr. Suarez-Almazor, can you  
7 please check the textbox and let me know if you are  
8 receiving my messages?

9           DR. SUAREZ-ALMAZOR: Oh, okay. Sorry. I  
10 was reading, and I -- okay.

11           Dr. Dunn from the FDA would like to comment.

12           DR. S. DUNN: Hi. This is Somya Dunn from  
13 the Division of Risk Management. I apologize to  
14 take us back to question 1 just for a second. I  
15 just wanted to clarify something from what the  
16 sponsor had brought up about the REMS program.

17           There was very limited data, according to my  
18 understanding from the clinical review team,  
19 regarding MRI. MRI was not used in the clinical  
20 program to evaluate or mitigate RPOA, and the  
21 amounts of information that came through from the  
22 clinical data was very limited. And although the

1 clinical team has considered it as a possibility  
2 for something that might be more sensitive or  
3 specific for RPOA, it just wasn't something that  
4 was evaluated.

5 Furthermore, it was not proposed in the REMS  
6 at all and incorporated in the REMS program at all.  
7 We did note that the sponsor included some MRI  
8 recommendations in their REMS slides for the AC,  
9 however, we haven't received any materials or any  
10 requests, or amendments, or proposals that  
11 incorporate MRI.

12 DR. SUAREZ-ALMAZOR: Thank you, Dr. Dunn.

13 Okay. We will move then to discussion of  
14 question 2, point A.

15 Dr. Cheng?

16 DR. CHENG: Yes, thank you. As I alluded to  
17 yesterday in my comments, I believe, as I  
18 understand the REMS program proposed by the  
19 applicant and described by Dr. Hicks, it's going to  
20 track and screen for the developments of the  
21 adverse effects, but it does not mitigate the  
22 adverse effects.



1           The adverse effects of the joint destruction  
2 subchondral insufficiency fracture and  
3 osteonecrosis, while no studies have been done on  
4 the natural history of those in this trial, I can  
5 tell you that those entities, those are  
6 irreversible changes in the chondral structure of  
7 the knee or hip, or whichever the affected joint  
8 is.

9           But these are irreversible changes, and as I  
10 heard someone say -- I think it was  
11 Dr. Pokrovnichka yesterday -- that once these  
12 changes occur, the statement was made, "You'd have  
13 a joint replacement around the corner," well, I  
14 don't know if it's around the corner, but it likely  
15 is inevitable if you want pain relief and you live  
16 long enough.

17           So I do not think -- it would be important  
18 to mitigate these adverse effects, but,  
19 unfortunately, the REMS program, as designed,  
20 doesn't do that. So that then raises the question  
21 of how do you do that? That's a legitimate  
22 question, and perhaps the applicant is doing its

1 best as can be done. That's part B, C, and D, I  
2 believe, of these questions.

3 As was just mentioned a few minutes ago, MRI  
4 examination in general will detect chondral damage  
5 sooner than radiographs because the radiographs are  
6 dependent upon the positioning of weight-bearing of  
7 the joint being imaged.

8 Also, the chondral damage may occur in  
9 different parts of the joint surface. It may not  
10 always be in profile with the routine standard  
11 inter-posterior and lateral views. So that's why  
12 things like the Rosenberg view or a PA radiograph  
13 with weight bearing and 45-degree knee flexion is  
14 sometimes used because it's more sensitive for  
15 detecting and demonstrating the chondral loss that  
16 may occur.

17 There is standard MRI examination using the  
18 mood sensitive or T2 star techniques may be  
19 helpful. In addition, there are some research  
20 sequences that can be used, but those are not in  
21 routine clinical practice, so it's not practical  
22 for a REMS program. But the MRI, in general, will

1 look at the three-dimensional structure of the  
2 joint much better than a radiograph would do. But  
3 still, it's looking for irreversible damage, or  
4 it's looking for damage, structural damage, to the  
5 joint. And once that happens, it is irreversible.

6 The one situation where it may not be  
7 irreversible is in osteonecrosis. When you have a  
8 very small lesion or infarct in the bone, it may  
9 spontaneously resolve. We have reported that. But  
10 that's for classical osteonecrosis, like related to  
11 steroid usage, which is a little bit different  
12 than, I believe, that's being reported in these  
13 patients. We didn't see images, so I can't say  
14 that with certainty, but that's my understanding.

15 So in these patients when there's  
16 subchondral bone loss, or subchondral fracture, or  
17 chondral damage, those changes are irreversible,  
18 and we have to remember that. Thank you.

19 DR. SUAREZ-ALMAZOR: Dr. Hernandez-Diaz?

20 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.

21 I think the REMS aim to mitigate risk by either  
22 selecting a population that is not at risk or

1 stopping at first symptoms, or stopping at  
2 progressing to reverse. And in this particular  
3 case, I think that selecting a population at no  
4 risk is not possible because we don't know the risk  
5 factors of who is going to develop the condition  
6 other than using NSAIDs.

7 Stopping at first symptoms cannot be done  
8 because for most patients, the processes are  
9 symptomatic or silent. And when we stop at  
10 radiographic deterioration, as Dr. Cheng said, it's  
11 too late because it is not reversible.

12 So I think the sponsor is proposing as much  
13 as they can do, but I don't see how this is going  
14 to mitigate the risk. And I think we need to keep  
15 in mind that increasing awareness is not mitigating  
16 the risk. Reducing the number of patients that are  
17 going to use the drug without identifying a group  
18 at a lower risk is not mitigating the risk.

19 Identifying the damage is not mitigating the risk,  
20 and of course stopping using it if it does not work  
21 is not risk mitigation.

22 So despite I think a very comprehensive plan

1 that the sponsor is proposing, I don't see how it  
2 is going to successfully reduce the risk of  
3 destructive arthropathy. Thank you.

4 DR. SUAREZ-ALMAZOR: Okay. Dr. Singh?

5 DR. SINGH: Jasvinder Singh, University of  
6 Alabama at Birmingham. I won't repeat many of the  
7 points just made by our colleagues. I'll just  
8 maybe add a couple other things that are concerns.

9 Diagnosing destructive arthropathy is  
10 perhaps possible with several caveats that go to  
11 point B, C, and D that were discussed; that I agree  
12 with the two speakers before me that diagnosing  
13 destructive arthropathy, RPOA-1 or RPOA-2, only  
14 leads to a diagnosis. It does not reduce the risk,  
15 it does not moderate the risk, and it does not stop  
16 perhaps the risk of further progression, which can  
17 only be known with long-term studies.

18 Also, I think despite the best efforts of  
19 the sponsor, made within the context of the study,  
20 we do not know of pre-RPOA-1 lesions, and perhaps  
21 RPOA-1 is pre-RPOA-2. But I think a lot is already  
22 lost to RPOA-1, because of the subsequent impact of

1 that or the natural history of that that is also  
2 not known very well.

3 So in the absence of known clinical risk  
4 factors, in the absence of clear knowledge whether  
5 MRI is a more sensitive tool to detect this ahead  
6 of [indiscernible] of radiographs, and in the  
7 absence of a definition and an understanding of a  
8 pre-RPOA-1 lesion, I'm not sure it's possible to  
9 mitigate destructive arthropathy. Thank you.

10 DR. SUAREZ-ALMAZOR: Thank you.

11 Dr. Calis?

12 DR. CALIS: Yes. Thank you. So needless to  
13 say, I think we're all kind of dwelling on the same  
14 issues. Question 2 is obviously the key to our  
15 discussion today. I think we're sort of reflecting  
16 on it a lot because of the fact that I think the  
17 REMS program addresses just a portion of what it's  
18 really meant to address, and I think that's what  
19 some of the speakers before me have said.

20 So really, other than missing a great  
21 opportunity for long-term follow-up with the  
22 research participants, which is a major, major

1 limitation, the sponsor's kind of done really an  
2 admirable job in trying to characterize the  
3 destructive arthropathy. And like with many other  
4 adverse events, sometimes, unfortunately, it's not  
5 possible to elucidate the precise mechanisms of  
6 these serious adverse events and all the key risk  
7 factors that can inform risk mitigation, which is  
8 really the key here.

9           So the proposed REMS would certainly, to my  
10 mind, successfully limit indiscriminate use of  
11 tanezumab, but my concern would be that we'd still  
12 have to be cautious that use in a real-world  
13 setting could expose patients to greater risk than  
14 that seen in the controlled clinical trials.

15           So the overall concern is not with risk  
16 evaluation; I think the REMS will really inform  
17 clinical safety. My concern is for the patients  
18 that will continue to receive this drug long term  
19 and my lack of confidence in the REMS program in  
20 terms of the risk mitigation. So I think that  
21 that's really central to our discussion today.  
22 Thank you.

1 DR. SUAREZ-ALMAZOR: Dr. Kulldorff?

2 DR. KULLDORFF: Thank you. This is Martin  
3 Kulldorff. I agree with Dr. Hernandez-Diaz and  
4 others, that for the REMS to work, either two  
5 things have to be there. Either we have to be able  
6 to identify ahead of time those that would have  
7 developed the joint adverse reactions, and I have  
8 not seen evidence that that can be done; or it has  
9 to be monitored closely so that we quickly can find  
10 when somebody's developing that before there is  
11 damage, and I haven't seen evidence for that  
12 either.

13 DR. SUAREZ-ALMAZOR: Thank you.

14 Anyone else? No more questions?

15 DR. VERBURG: This is Ken Verburg from  
16 Pfizer. Could I have --

17 DR. SUAREZ-ALMAZOR: Yes, just a second.  
18 There are a couple of panel members that --

19 DR. VERBURG: Thank you.

20 DR. SUAREZ-ALMAZOR: -- have questions.

21 Mr. O'Brien, your hand is up. I don't know  
22 if you have another comment or that's from before.



1 Mr. O'Brien?

2 MR. O'BRIEN: Hello? Can you hear me?

3 DR. SUAREZ-ALMAZOR: Yes. Go ahead.

4 MR. O'BRIEN: Joe O'Brien. I just wanted to  
5 say, in following up what I had said in the first  
6 discussion, I agree with everything that's been  
7 said in terms of it seems to be, from a patient  
8 perspective, that the strategy that was used in  
9 regard to A, it reduced down the number of people  
10 but it didn't mitigate the risk.

11 My concern is that in looking at the REMS,  
12 again, if the sponsor truly believes that this is  
13 not a chemical issue, that it is a function, that  
14 puts it on the part of the patients. So we have  
15 within the REMS telling them that they cannot take  
16 NSAIDs, and now we're going to tell them that they  
17 can't function. But that's not addressed in the  
18 REMS whatsoever.

19 So I become very concerned in terms of the  
20 capability, going down to D. That would have to be  
21 added, and I don't know how that's practical to  
22 tell them because that's the very reason why

1 they're taking the drug in the first place.

2 DR. SUAREZ-ALMAZOR: Ms. Robotti?

3 MS. ROBOTTI: Hi. Suzanne Robotti. I think  
4 the major and most important issues were well  
5 covered already and I won't mention them. One that  
6 was, at first, very important to me was the issue  
7 of the patient's preference and the willingness of  
8 the patient to take on the level of risk.

9 You know, informed patient consent, the REMS  
10 program is supposed to be the cornerstone of that  
11 for entry, high-risk drugs. For people who are in  
12 pain today, and if other drugs have failed and  
13 their doctor is recommending or suggesting this  
14 product, they may not feel that a 1 or a 3 percent  
15 possible increase in total knee replacement is a  
16 big risk, but it is, particularly over the tens of  
17 thousands of people that might be offered this  
18 drug.

19 While patient preference information is  
20 important, I don't think it's the keystone to  
21 making a decision, or there'd be little use for the  
22 FDA. Patient preference for antibiotics, for

1 example, for common flu, is quite high, yet it's  
2 inappropriate. So I just wanted to add that  
3 potentially minor point of view. Thanks.

4 DR. SUAREZ-ALMAZOR: Okay.

5 Dr. Meisel?

6 DR. MEISEL: Thank you. Steve Meisel from  
7 Fairview in Minneapolis. I agree with most of the  
8 previous comments, particularly those of Dr. Cheng.  
9 One element of the proposed REMS I think would be  
10 helpful as a risk mitigation strategy, although I  
11 don't think it's practical, is to limit the amount  
12 of NSAIDs.

13 Now, we know that chronic use of NSAIDs in  
14 combination with tanezumab increases the risk, and  
15 if we tell people not to use the NSAIDs, that's  
16 going to mitigate that increased risk. That said,  
17 I'm not sure how practical that is on a real-life  
18 basis because we know that people will continue to  
19 have pain and issues even if they take this  
20 tanezumab. And we can tell them to limit their use  
21 to one tablet every 5 days or whatever; that's not  
22 terribly practical in the real world. But if that

1 were to be applied and operationalized in a way  
2 that people adhere to that, that is one minor  
3 element of the REMS program that I think could have  
4 a risk mitigation success. Thank you.

5 DR. SUAREZ-ALMAZOR: Okay. I see a couple  
6 of hands that are still raised. I don't know if  
7 anyone has an additional comment to add, or if not,  
8 please lower your hand.

9 Dr. Cheng, do you have another comment?

10 DR. CHENG: Yes. It's a new comment. Thank  
11 you.

12 DR. SUAREZ-ALMAZOR: Okay. Go ahead.

13 DR. CHENG: I just wanted to put in  
14 perspective this risk of the joint destruction.  
15 Most of us are talking about the index joint or the  
16 targeted joint. But I think the greater risk,  
17 really, is the non-index joint because most of  
18 these patients do have other articular symptoms.  
19 So those that are at the higher KL grade,  
20 obviously, the sponsor has shown they're at higher  
21 risk for problems.

22 So the real risk, in my opinion, has to do

1 with the non-indexed joints because if you're  
2 willing to take -- I mean, after all, as people  
3 have said, you can take a steroid shot. That has  
4 risk, too, for joint destruction. We know that  
5 biologically and in the laboratory. Yet, people do  
6 this all the time, and it's widely acceptable as  
7 standard treatment.

8           Yet, here we're concerned about the risk  
9 with this drug is. You may feel that's unfair.  
10 Yet, the storage has a local treatment. This is a  
11 systemic treatment, and we're talking about risk to  
12 other joints that maybe isn't warranted or we'd  
13 rather not see. So that's the difference here.  
14 Thank you.

15           DR. SUAREZ-ALMAZOR: Okay.

16           Dr. Pisetsky?

17           (No response.)

18           DR. SUAREZ-ALMAZOR: Dr. Pisetsky, do you  
19 have your hand raised?

20           (No response.)

21           DR. SUAREZ-ALMAZOR: No?

22           Okay. I will let Pfizer respond to some of

1 these comments, just one minute, no slides, no new  
2 data.

3 DR. VERBURG: Thank you. Let's go directly  
4 to Dr. Wilkins, who will provide some additional  
5 detail on the REMS.

6 DR. WILKINS: Hi. My name is Jamie Wilkins  
7 with worldwide safety at Pfizer. We wanted to make  
8 one clarification about REMS for the committee;  
9 that while REMS are intended to mitigate a risk,  
10 and while many REMS do have interventions that may  
11 prevent a risk from occurring, there are a  
12 significant proportion of approved REMS that are  
13 designed to mitigate via other methods.

14 This could include interventions that can  
15 prevent a risk from becoming worse should it  
16 actually occur, or a REMS can monitor for a risk so  
17 that prescribers can have a patient-specific  
18 conversation with their patients regarding the  
19 benefit-risk of actually continuing therapy with  
20 the product.

21 There are several FDA approved REMS and  
22 programs that achieve such goals and mitigate

1 serious issues such as PML, visual acuity loss, or  
2 valvular heart disease, that do not actually  
3 prevent these risks from occurring but mitigate  
4 through the other important goals that were just  
5 mentioned. So that was a clarification we wanted  
6 to make for the committee. Thank you.

7 DR. SUAREZ-ALMAZOR: Okay. Thank you.

8 Dr. Pisetsky?

9 DR. PISETSKY: Yes. The issue I wanted to  
10 bring up was the dose response, which I think is  
11 quite striking. There really is a difference  
12 between 2.5 and 5 milligrams, yet you would expect  
13 in a population of patients, there would be a  
14 distribution of weight and that some of the risk  
15 could be weight-based in terms of milligrams per  
16 kilogram with dosing.

17 We haven't seen discussion of that, and I  
18 was just wondering whether there's any potential of  
19 mitigating risk by doing weight-based adjustment of  
20 dosing.

21 DR. SUAREZ-ALMAZOR: Okay. Thank you.

22 DR. VERBURG: I can respond to that.

1 DR. SUAREZ-ALMAZOR: Dr. Cheng, your hand is  
2 raised.

3 Okay. Go ahead, very quickly.

4 DR. CHENG: I'm sorry.

5 DR. VERBURG: Yes. So the question was  
6 really regarding, I believe, exposures on an  
7 individual patient basis at the dose of 2.5 and how  
8 much overlap there is with the 5-milligram dose.  
9 I'd like to go to Dr. Scott Marshall real quickly  
10 for an explanation and description of those.

11 DR. SUAREZ-ALMAZOR: No more than one  
12 minute, please; no more than one minute.

13 DR. S. MARSHALL: Sure.

14 Thank you, Dr. Verburg.

15 Yes, we have studied the pharmacokinetics of  
16 tanezumab extensively across the program, and like  
17 all monoclonal antibodies, the variability in the  
18 PK is low to moderate, a 30 percent coefficient of  
19 variation. And that means that there's very little  
20 overlap in the exposures between 2.5 milligrams and  
21 5 milligrams. So in essence, the two doses are  
22 fairly distinct with respect to the exposure.



1 Thank you.

2 DR. SUAREZ-ALMAZOR: Okay.

3 Dr. Pisetsky, your hand is raised. Do you  
4 have another comment?

5 DR. PISETSKY: It's just that another  
6 monoclonal -- there's been a discussion of the  
7 impact of obesity, for example, on dosing. And one  
8 might expect in the patient population with  
9 osteoarthritis, there would be a certain amount of  
10 obesity, and that was the reference to my question  
11 about weight.

12 DR. SUAREZ-ALMAZOR: Okay. Thank you.

13 If there are no more comments, I'll just  
14 summarize what was discussed. The sponsor, it was  
15 felt, had done a thorough job trying to  
16 characterize arthropathy, but unfortunately there  
17 is no sufficient data to better inform the REMS.  
18 One of the approaches that was felt to be useful is  
19 limiting NSAIDs, but that was about it with respect  
20 to risk factors.

21 There was a concern that the REMS screens  
22 for arthropathy but does not mitigate the risk, as

1 once the lesions are detected, they are  
2 irreversible. Many lesions are initially  
3 asymptomatic, so discontinuing treatment when  
4 symptoms increase is not likely to be an adequate  
5 enough measure.

6           There was a concern about the use of  
7 standard x-rays alone, as the views that are  
8 normally used may not be sensitive enough to detect  
9 early changes. It is not possible with current  
10 evidence to identify those at risk before they  
11 start receiving treatment or to identify lesions  
12 early enough so they can be reversible. There was  
13 also some concern about information provided to  
14 patients on the REMS in relation to the risks and  
15 also in relation to patient preferences.

16           Okay. No comments.

17           We will then move to question 2B. Discuss  
18 whether the proposed risk mitigation measures are  
19 adequate to identify tanezumab-mediated adverse  
20 events on the joint prior to radiographic evidence  
21 of joint damage. We have discussed some of this,  
22 but if someone wants to address it more

1 specifically, please raise your hand.

2 (No response.)

3 DR. SUAREZ-ALMAZOR: There was a comment as  
4 to whether symptoms could be used to identify early  
5 damage, and it was felt that this is probably  
6 insufficient.

7 Does anyone have any comments about that?

8 (No response.)

9 DR. SUAREZ-ALMAZOR: No?

10 (No response.)

11 DR. SUAREZ-ALMAZOR: Okay. So we will move  
12 to point C. Discuss whether these strategies can  
13 successfully be implemented in routine clinical use  
14 as part of a REMS?

15 There were some comments earlier as to  
16 whether x-rays alone would be sufficient and the  
17 need for MRIs, which would not be easy to implement  
18 and has not been proposed as part of the regular  
19 program.

20 Dr. Nelson?

21 DR. NELSON: Yes. Thank you. I have some  
22 serious concerns about the ability of a REMS, the

1 proposed REMS in particular, to be effective to  
2 reach its stated goal. Given the attentiveness to  
3 the adverse effects within the study population,  
4 and then the known limitations of even ironclad  
5 REMS that we currently have, not having the  
6 intended outcome that we can anticipate, I don't  
7 know how we're going to really be able to predict  
8 the real-world outcome.

9           It will certainly be less optimal than it  
10 was in the research world, but will it be  
11 sufficient to screen, identify risks, and actually  
12 act in a way to positively impact that risk?

13           Requiring low sensitivity testing, meaning  
14 x-rays, and not very expensive and difficult to  
15 obtain MRIs at defined intervals, or even if done  
16 for-cause, seems a little less like risk mitigation  
17 and more like damage control. We really won't have  
18 any ability to impact, it appears at least, the  
19 ongoing effects that the patient is having. So I  
20 am concerned, in addition, that we don't have an  
21 adequate system to assure that all of the steps in  
22 the REMS will be accomplished as stated.

1           There's a lot to be said for educating  
2 patients, and nobody would argue against doing  
3 that, but we don't really know what happens behind  
4 closed doors. We don't know what happens when  
5 somebody goes to a pharmacy or goes to get an  
6 x-ray.

7           There are just too many potentials for  
8 missteps. And again, these ironclad REMS that have  
9 really put very strict boundaries, strict  
10 guard rails, on processes have failed., and I think  
11 this one is a little bit looser, certainly, than  
12 most of those have been, and still are.

13           When you think about even the  
14 radiology-related issues, how consistent are  
15 radiologists' interpretation of real world? I  
16 mean, we've spoken a bit about this already, but  
17 we're talking about millimeter changes in joint  
18 width. I know that the sponsor and others will  
19 provide help in getting this done, as have other  
20 sponsors of REMS in the past.

21           Often these requests or supports have been  
22 rejected, or ignored, or even, in a very benign

1 way, just not followed. But remember, we will not  
2 have presumably musculoskeletal radiologists  
3 reading most of these actually, but rather more  
4 general radiologists. We may not have  
5 technologists performing the x-rays who are highly  
6 skilled and understand the details of how a film  
7 has to be performed, as Dr. Cheng commented earlier  
8 about the specifics of weight bearing, and angles,  
9 and things like that.

10 We haven't heard that these have really been  
11 studied in a real-world setting and whether or not  
12 the sensitivity/specificity that they have for  
13 these studies, for these findings, in the research  
14 world will apply out in the real world. So I think  
15 there are a lot of concerns.

16 Then there's the overriding concern about  
17 indications risk, as we see with many drugs that  
18 are approved, and how this is going to impact  
19 people who might have different forms of this  
20 disease or different diseases altogether and wind  
21 up getting this drug, as we know happens with many  
22 others. There's really no guard rail on that

1       happening either, based on the REMS. And even in a  
2       REMS that have those guard rails in place, there's  
3       still indications risk.

4               So again, I've been involved with these REMS  
5       programs for a number of years, as has many people  
6       on this call. And I think while they're good, they  
7       just have so many holes and so many limitations,  
8       and they're almost unenforceable in most  
9       conditions, that I do have some concerns that this  
10      will not be very effective when it's put out in the  
11      real world.

12             DR. SUAREZ-ALMAZOR: Dr. Cheng?

13             DR. CHENG: Thank you. As the sponsor is  
14      already doing as much as they reasonably can, and  
15      we on the committee have not been able to provide  
16      substantial improvements to the proposed REMS, I  
17      guess I don't think the modest benefit outweighs  
18      the risks. So what's needed is more demonstrated  
19      evidence of higher efficacy. So this last question  
20      is basically getting at the approval question, I  
21      think is what the FDA is asking us in a kind of  
22      indirect way.

1 I concur with the sponsor's contention that  
2 there's an unmet need for efficacious treatment of  
3 osteoarthritis. And more so in both my own  
4 practice and in the testimonies presented that we  
5 heard yesterday, I really hear the plea from  
6 patients with chronic arthritis pain looking for  
7 relief.

8 Unfortunately, however, this drug,  
9 tanezumab, does not fulfill this need. As it has a  
10 similar clinical efficacy and is clinically  
11 comparable to existing therapies, it's really no  
12 better than taking aspirin or an ibuprofen, and  
13 does not avoid or delay total joint arthroplasty,  
14 as imperfect a metric that is; that I just stated  
15 earlier it's not better than an anti-inflammatory;  
16 in fact, in some ways it might be worse, as some of  
17 the data has shown. It only offers another option  
18 for people but has a higher risk profile, which is  
19 what some of the slides showed.

20 So why would we approve a drug treatment for  
21 osteoarthritis that's minimally better than  
22 placebo; no better than existing therapies like



1 aspirin and anti-inflammatories; has a worse risk  
2 profile than placebo and existing therapies, to the  
3 point that we're tussling with this REMS program,  
4 which more accurately, in my opinion, is a  
5 postmarketing surveillance program; and it poses  
6 risks to non-target joints, resulting in  
7 irreversible damage, and I'm sure it's going to be  
8 costly. Furthermore, it's a new class of therapy,  
9 which I stated we should be cautious about because  
10 we don't know the longer term safety profile in the  
11 larger real world.

12           So this treatment, one could say, is  
13 targeting those patients that cannot take an  
14 anti-inflammatory, like the patient Robert that we  
15 heard about yesterday. But there are other  
16 options; non-pharmacologic, for example. There is  
17 radiofrequency ablation. There's embolization and  
18 the old standby of steroid injections.

19           So while I would support approval of a drug  
20 if the efficacy was strong enough to be considered  
21 a game changer, this unfortunately is not a  
22 game-changer drug. We should keep in mind that

1 this is not the only chance for tanezumab to be  
2 approved for usage. It is being studied as a  
3 non-opioid analgesic for low-back pain, metastatic  
4 bone disease, and perhaps other indications I'm  
5 unaware of.

6 So I conclude that we should be careful and  
7 would not approve this drug based on its current  
8 safety and efficacy profile. Thank you.

9 DR. SUAREZ-ALMAZOR: Okay. Dr. Chen, we are  
10 not supposed to discuss our vote before the vote,  
11 actually. We were discussing point C, which is  
12 whether these strategies can be successfully  
13 implemented in routine clinical use. So I don't  
14 know if you had any comments about that particular  
15 question, rather than on the overall vote.

16 DR. CHENG: And I'm sorry. I thought that's  
17 what the broad collection was. I apologize.

18 DR. SUAREZ-ALMAZOR: Yes, implementation in  
19 routine clinical use.

20 Okay. So no comments on routine clinical  
21 use? No?

22 DR. CHENG: No more comments.

1 DR. SUAREZ-ALMAZOR: Okay. Thank you.

2 Dr. Griffin?

3 DR. GRIFFIN: Marie Griffin, Nashville,  
4 Tennessee. I was just going to reiterate again, I  
5 think the REMS, you think it's a good idea that  
6 people are getting education every 8 weeks about  
7 not using NSAIDs. We see a similar thing with  
8 warfarin. People go in for their PT once a month  
9 and get educated about what they're not supposed to  
10 use, but, again, I remain concerned that people  
11 still use NSAIDs, and that this combination is  
12 really dangerous, and it makes this a much worse  
13 risk.

14 So I think education about NSAIDs will not  
15 be sufficient to prevent their use. That's all.  
16 Thank you.

17 DR. SUAREZ-ALMAZOR: Yes. And if I may make  
18 a comment, I agree with that, especially, because  
19 NSAIDs are over the counter. and very often  
20 patients are not clear as to what is an NSAID and  
21 what might be just an analgesic such as  
22 acetaminophen.

1 Dr. Katz?

2 DR. KATZ: Thank you. Lee Katz, Yale  
3 University. I guess as a musculoskeletal  
4 radiologist, I've been listening, and I think I  
5 probably should make a few comments. I would have  
6 a tendency to agree with the other speakers about  
7 having non-musculoskeletal radiologists monitoring  
8 the progression adequately. And more importantly,  
9 the training of the technologists taking the  
10 imaging is very important.

11 In addition, a comment was made about MRI I  
12 guess by Dr. West, and I think I should say a few  
13 things certainly about bone marrow edema, which is  
14 a difficult topic in itself in terms of its  
15 presence, the initiating factor, how long it's been  
16 there, et cetera.

17 But usually we don't see bone marrow edema  
18 associated with osteoarthritis unless there's been  
19 full thickness articular cartilage loss, of which  
20 usually joint fluid then is extending into the  
21 subchondral bone, or if overuse, we will see edema.  
22 But other issues, including subchondral

1 insufficiency fracture and osteonecrosis, are other  
2 possibilities.

3 I think more work would probably need to be  
4 done associated with rapidly progressive  
5 osteoarthritis in bone marrow edema; but again, I  
6 don't think that would be mitigation following the  
7 diagnosis.

8 I guess finally, there's one other comment.  
9 Again from some of the sponsor's presentation, they  
10 talked about articular cartilage loss and meniscal  
11 damage. I think it's interesting to point out that  
12 we need to recognize that with osteoarthritis,  
13 we're talking essentially about articular cartilage  
14 disease, but menisci are also a form of cartilage.  
15 It's fibrocartilage. I'm not sure whether the  
16 meniscal damage is due to extrusion from  
17 progression of osteoarthritis or, in fact, could  
18 there be influences on the cartilage of the menisci  
19 from the sponsor's drug. Thank you very much.

20 DR. SUAREZ-ALMAZOR: Okay. I don't think  
21 there are any more questions, so let me summarize,  
22 and I'm summarizing on point C, routine clinical

1 use. There were some concerns about the ability of  
2 the proposed REMS to be implemented.

3 DR. CHOI: Dr. Suarez-Almazor?

4 DR. SUAREZ-ALMAZOR: Yes?

5 DR. CHOI: I'm sorry. It looks like we have  
6 one more hand.

7 DR. SUAREZ-ALMAZOR: Yes.

8 Dr. Pissetsky, please go ahead.

9 DR. PISETSKY: Yes. I think one issue that  
10 has not been brought up is who takes care of  
11 patients with osteoarthritis in terms of  
12 implementing any strategies. There care is  
13 variably divided among general internists;  
14 rheumatologists; orthopedic surgeons. I think  
15 implementing any kind of REMS really just has to  
16 take into account who's caring for the patients and  
17 what their relative expertise is in; disease  
18 managers, also radiological assessment, and in the  
19 real world, rheumatologists are also reading joint  
20 x-rays. Thank you.

21 DR. SUAREZ-ALMAZOR: Okay. Thank you.

22 Yes, there were some concerns about the

1 ability of the proposed REMS to be implemented. It  
2 was mentioned that other --

3 DR. CHOI: Dr. Almazor, the sponsor would  
4 like to provide some comments [inaudible - audio  
5 gap].

6 DR. SUAREZ-ALMAZOR: Okay.

7 DR. VERBURG: Sure. Actually, I'm going to  
8 turn this over to Dr. Schnitzer to just briefly  
9 talk about the trade-off of the benefits here and  
10 [inaudible - audio gap] brought up earlier.

11 Dr. Schnitzer?

12 (No response.)

13 DR. VERBURG: You may be on mute.

14 DR. SUAREZ-ALMAZOR: Yes. And

15 Dr. Schnitzer, please --

16 DR. SCHNITZER: Sorry --

17 DR. SUAREZ-ALMAZOR: Yes. Dr. Schnitzer,  
18 please keep it short to one minute only. Okay?

19 Thank you.

20 DR. SCHNITZER: Yes.

21 Let me talk about this critical issue of  
22 benefit-risk and how it's best assessed, and by

1 whom. There's a general consensus today that this  
2 is best assessed in the context of shared decision  
3 making. This was defined by Dr. Marshall in her  
4 presentation yesterday, nicely, the collaborative  
5 decision process considering scientific evidence  
6 and patient values and preference.

7 "The role of the FDA, with the help of the  
8 sponsor and this committee, is to ensure the  
9 integrity of the adequacy of this scientific  
10 evidence. Engagement with the patient is the  
11 province of the clinician, educated and trained to  
12 assess if a particular treatment is appropriate,  
13 given the patient's clinical status, their values,  
14 and preferences."

15 Now, I sort of feel, whether due to hubris  
16 or leftover paternalism, regulatory bodies have  
17 often felt that they should be making these  
18 decisions in the absence of the patients. In  
19 today's world, that's not really proper nor  
20 desirable.

21 I would encourage the committee to endeavor  
22 to make sure that the data are adequately clarified



1 and scientifically rigorous, and to trust the  
2 clinicians and patients have the wisdom and insight  
3 that will allow for the best and the proper use of  
4 this important new treatment option. Thank you.

5 DR. SUAREZ-ALMAZOR: Okay. Any more  
6 comments before I continue summarizing what was  
7 said? I don't see any hands raised.

8 (No response.)

9 DR. SUAREZ-ALMAZOR: No?

10 (No response.)

11 DR. SUAREZ-ALMAZOR: Okay. So I'll  
12 summarize again. There were concerns about the  
13 ability of the proposed REMS to be implemented in  
14 clinical practice. It was mentioned that other  
15 tighter REMS have not been as successful, and these  
16 were felt to be a little looser.

17 There was general concern about  
18 radiologists' evaluation of progression in the real  
19 world, as there's no data presented on the real  
20 world studying evaluations. There were concerns  
21 about not only these radiologists being able to  
22 measure joint space narrowing far away, but also

1 other lesions, and even meniscal lesions.

2           There is no guard rail in the REMS for  
3 inappropriate indications, and there was a concern  
4 about the use of concomitant NSAIDs, and that  
5 perhaps education alone may not be enough, and this  
6 would be a very deleterious problem if patients  
7 were to use NSAIDs at the same time as they receive  
8 tanezumab.

9           It was also felt that there are many  
10 different specialties that take care of patients  
11 with OA, and that the REMS should address these  
12 practice patterns that vary across clinical  
13 settings.

14           Any comments or additions? No?

15           (No response.)

16           DR. SUAREZ-ALMAZOR: Okay. We can then move  
17 to D. Discuss whether there are additional risk  
18 mitigation components that could be added to  
19 prevent or reduce the incidence of structural joint  
20 damage.

21           Does anyone have any comments on what  
22 components could be added?

1 Dr. Richards?

2 DR. RICHARDS: Good morning. John Richards  
3 from the VA in Pittsburgh. I think we keep coming  
4 back to the lack of long-term data, and I think  
5 without knowing that, it limits our ability to come  
6 up with additional risk mitigation strategies.

7 I think if we had longer term data and knew  
8 if the drug was stopped at time X, and there was no  
9 further progression, theoretically you could enroll  
10 patients who had significant OA of one joint and  
11 their other weight-bearing joints did not have OA,  
12 and then you monitored those joints, potentially,  
13 you could mitigate the risk of joint damage there.

14 The other thing is that I think the design  
15 of the study really didn't look at activity of the  
16 patient. The WOMAC functional index is really  
17 looking at activities of daily living and not  
18 recreational activities, which I think the sponsor  
19 was alluding to, and may be responsible for joint  
20 damage. So I think those things kind of limit us  
21 from coming up with different strategies that may  
22 aid in risk mitigation. Thank you.

1 DR. SUAREZ-ALMAZOR: Okay.

2 Dr. Pisetsky, I believe --

3 DR. PISETSKY: No.

4 DR. SUAREZ-ALMAZOR: No. Okay.

5 Dr. Honczarenko?

6 DR. HONCZARENKO: Thank you. Marek

7 Honczarenko. I would like to add that this is an  
8 incredible opportunity, considering the incidence  
9 of adverse events, to really look into the  
10 opportunity for us to advance the whole field of  
11 research in osteoarthritis and try to identify  
12 either complementary or predictive diagnostics of  
13 adverse events.

14 You know, with these incidence rates, we can  
15 hope for having potential biomarkers with very high  
16 predictive correlation. I'm obviously aware of the  
17 sponsor's work on the serum and other imaging  
18 biomarkers.

19 For heterogeneous disease like  
20 osteoarthritis, we have this opportunity here to  
21 identify in the postmarketing setting the  
22 biomarkers based on the genetic polymorphism, and I

1 believe that this could be something which can be  
2 relatively easily implemented and correlated with  
3 the rest of the components of the REMS and actually  
4 advance the field as a whole; because, obviously,  
5 unfortunately, for the whole field of rheumatology,  
6 it is very disappointing [indiscernible] that we  
7 are not able to come up with any predictive  
8 biomarkers.

9           And here, we already have a science that  
10 could help; and not only that, but essentially in  
11 the long term could help to identify the patients  
12 who are at higher risk of total joint replacement  
13 and potentially use this science to prevent total  
14 joint replacement or delay total joint replacement  
15 in these patients. Thank you.

16           DR. SUAREZ-ALMAZOR: Dr. Hernandez-Diaz?

17           DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.  
18 I recognize the benefits of REMS for education and  
19 awareness after the discussion we had today, but I  
20 am still concerned about the implementation when  
21 the marketing aspects come in, and it is presented  
22 as an NSAID or not for everyone. Not to be

1 paternalistic, but I think that is going to be  
2 interpreted as, if NSAIDs are not controlling your  
3 pain, this drug is going to control your pain.  
4 Well, we have seen in the clinical trials that  
5 there was a period that the probability of the  
6 benefit was the same for NSAIDs and the new drug.

7 But thinking about these additional risk  
8 mitigation components, I wonder if in their  
9 awareness and education, the indication for this  
10 treatment would be restricted to those patients for  
11 whom NSAIDs are contraindicated; not that the  
12 NSAIDs are not working only, but they cannot take  
13 NSAIDs. Perhaps these are GI [indiscernible]  
14 conversations in the past or something like that.

15 I think that will further restrict the group  
16 of patients to those that really cannot take NSAIDs  
17 and not only based on a promise of efficacy. Thank  
18 you.

19 DR. SUAREZ-ALMAZOR: Okay. Any more  
20 comments about additional risk mitigation  
21 components?

22 (No response.)

1 DR. SUAREZ-ALMAZOR: Okay. Our next  
2 question will be the voting question. Before we  
3 move ahead, does anyone else have a general comment  
4 with respect to question 1 or question 2 that has  
5 not been addressed? Now will be the time.

6 Mr. O'Brien?

7 MR. O'BRIEN: Yes. I would just like to  
8 address the comment that we just heard with  
9 Dr. Schneider [sic] as it relates to the REMS, too.

10 Again, it seems to me that when we're  
11 talking about a new class of drugs with the risks  
12 that are here, the only two mitigations are really  
13 to understand the etiology and whether or not it  
14 would be a biological chemical, or whether or not a  
15 matter of function in loads, increased loads, that  
16 the patient puts on their self as they go forward.  
17 Those are the two that have to be addressed, which  
18 I don't see either one of them addressed within the  
19 REMS.

20 And yes, it is true, as Dr. Schneider says,  
21 that clearly we want shared decision making at the  
22 end of the day between the patients and themselves.

1 I am a patient who has had, is on actually, NSAIDs,  
2 both Tylenol, and Advil, and Eliquis, and  
3 OxyContin. I've had gabapentin, Celebrex,  
4 et cetera. And with each one of them, I have had a  
5 shared decision. But yet at the end of the day, 3,  
6 5, 10 years later, we find out that in fact the  
7 risks that we thought, are more than we thought,  
8 and we're always backtracking on it.

9 Here, we're talking about a particular new  
10 case of drug that has the potential, systemically,  
11 to affect a patient later on. There's no doubt  
12 about it. I have an 89-year-old mother that can't  
13 take shots anymore, who shoulders the shot and just  
14 doesn't want a surgery at this point in time.  
15 There's nothing for her for that.

16 Clearly, we want an issue, and rightfully  
17 so, we need something that is non-addictive pain  
18 medication. But we can't be putting on additional  
19 risk to patients that we don't understand, because  
20 we're only going to be dealing with it five years  
21 later. And I just don't see how these risks -- if  
22 they don't address those two issues, then we really



1 can't identify it going forward.

2 DR. SUAREZ-ALMAZOR: Dr. Hovinga?

3 DR. HOVINGA: Collin Hovinga. In looking at  
4 the feasibility of the REMS and looking at patients  
5 and whatnot, oftentimes the financial aspects of  
6 completing obligations are a really important  
7 factor.

8 Will the burden of the tests and other  
9 procedures that will need to be done be on the  
10 patients? Because of compliant issues, I wanted to  
11 know if it has to be covered by insurance or  
12 whatnot. Thank you.

13 DR. SUAREZ-ALMAZOR: Is that a question that  
14 you're posing to the sponsor or just a comment?

15 DR. HOVINGA: I guess either the sponsor, or  
16 if there's a patient advocate that's in the mix  
17 that can weigh in on that perspective, that has the  
18 condition or knows more. Thank you.

19 DR. SUAREZ-ALMAZOR: Okay. Let's see if any  
20 of the patient advocates in the panel would like to  
21 respond to that.

22 Mr. O'Brien?

1 MR. O'BRIEN: Sorry. I didn't realize my  
2 mic was on.

3 I'm sorry. If you could just rephrase the  
4 question. Was it regarding insurance?

5 DR. HOVINGA: Yes. I guess there are two  
6 things, I think, really when you come from a  
7 patient's perspective. One, is this going to be  
8 perceived as feasible and acceptable for patients  
9 to do the follow-up for the REMS? Would adding  
10 more items to the REMS be problematic, both from a  
11 patient visit perspective, but also financially?

12 MR. O'BRIEN: Well, I guess if you  
13 look -- my answer would be this. Yes, insurance  
14 affects a lot of things, but REMS in itself, from  
15 the beginning of unfolding a 15-page document that  
16 comes with the drug when you get it, I don't know  
17 any patients that really take the time to sit there  
18 and read it to get all of the warnings.

19 In a real-world situation, again, depending  
20 on where they're getting the drug, there's less  
21 than five minutes that are there. And we've found  
22 that in many cases that what we hope happens in

1 terms of a provider, in fact, doesn't happen. So I  
2 don't think you can guarantee that or rely on that.  
3 Yes, in the most part, everybody's good and they're  
4 provided directions or whatever, but in a real  
5 world, that happens; so people don't get access to  
6 it.

7 We tell people now, you certainly shouldn't  
8 drink alcohol if you take oxycodone, but they do.  
9 We have many different warnings that we've provided  
10 to them, and education that we've provided, and  
11 that we have evidence over and over again that's  
12 just not complied with. Patient compliance, it's  
13 very little that we can rely upon. We can rely  
14 upon their need. That is sure, that's there. But  
15 I don't think we can place a whole lot of emphasis,  
16 at the end of the day, on patient education or  
17 compliance at that level. The more we add on, the  
18 less it's likely to happen.

19 DR. HOVINGA: Thank you.

20 DR. SUAREZ-ALMAZOR: Thank you.

21 Dr. Singh?

22 DR. SINGH: Hi. Jasvinder Singh, University

1 of Alabama at Birmingham. One of the questions I  
2 have, perhaps for Dr. Schnitzer or anybody from the  
3 sponsor team, is this issue that was brought up  
4 that less pain with this particular product may  
5 perhaps lead to more joint loading, which had been  
6 noted with some NSAID studies in the early '90s as  
7 well.

8 One of the potential interpretations of that  
9 for common masses, for people, and primary care  
10 providers may be, A, less pain is not that  
11 desirable because it will lead to a total joint  
12 replacement sooner, which flies right in the face  
13 of CDC recommendations for physical activity, and  
14 flies in the face of known association of weight  
15 gain with osteoarthritis progression and the known  
16 slow-down of the OA progression with weight loss,  
17 which are both pretty substantially replicated  
18 findings in osteoarthritis epidemiology.

19 But it also brings in the issue, with a  
20 product like this, that physical activity should  
21 not go up because it would lead to more joint  
22 loading and a sooner TKA. Well, what about the

1 other consequences of more weight gain that leads  
2 to more progression of OA and other unintended  
3 consequences, its effect on metabolic syndrome,  
4 diabetes, heart disease, hypertension, and also  
5 perhaps other consequences of not being active.

6 So does the sponsor have any thoughts on,  
7 even during a REMS program for this medication, if  
8 they were to pass on this message, how is that  
9 going to be balanced against the unintended  
10 consequences of don't load your joint more because  
11 pain is less? Thank you.

12 DR. VERBURG: We'd be happy to respond.  
13 Let's go to Dr. Schnitzer for a quick response.

14 DR. SUAREZ-ALMAZOR: Okay.

15 Dr. Schnitzer, again, please only one minute  
16 for the response.

17 DR. SCHNITZER: I would just say that I  
18 think that increased activity is a desirable end.  
19 And then typically what happens in osteoarthritis  
20 is if you relieve the pain, people increase their  
21 activity, and often back to the same level of pain  
22 they were at that was limiting them before, but now

1 in a much more active state. So instead of walking  
2 2 blocks, they walk 8 blocks.

3 So all in all, I think this is a good thing.  
4 And I would just remind the panel that the  
5 incidence of RPOA-2, that's with destructive  
6 arthropathy, which RPOA-1 is not, it was  
7 0.4 percent across the entire program, the  
8 post-2015 program. This is not a high rate in  
9 people who already had major changes to their  
10 joints to start with and probably were going to  
11 progress to joint replacement soon. Thank you.

12 DR. SUAREZ-ALMAZOR: Thank you,  
13 Dr. Schnitzer.

14 Okay. I don't see any more hands raised, so  
15 let me summarize what was discussed in relation to  
16 additional risk mitigation components. Some of the  
17 points that were brought up was possibly enrolling  
18 patients with osteoarthritis in a single joint to  
19 try to avoid damaging the other joints, or  
20 unaffected joints, or mitigate damage I should say.

21 Then there was a fair amount of discussion  
22 on patient activities and whether they could have

1 an impact on the development of RPOA, but not  
2 enough information to inform patients on the REMS  
3 and what to do. It was just brought up as well  
4 that asking patients to engage in activities is  
5 good for them, and increasing activity would have a  
6 deleterious effect.

7           There were some comments on providing  
8 additional information on NSAIDs and possibly  
9 patient selection around the use of NSAIDs as well.  
10 There was a comment on the follow-up studies, that  
11 they should continue to be done, but using  
12 additional information such as the use of  
13 biomarkers to try to understand better the adverse  
14 event on joints.

15           There were also some concerns raised around  
16 whether patients would be able to adequately and  
17 comprehensively read the REMS. The patient  
18 education components, they tend to be quite  
19 lengthy, and patients don't typically read them.  
20 There were also concerns about how much of a burden  
21 on patients the additional testing from additional  
22 x-rays could cost, and also from an insurance

1 perspective if they weren't well insured.

2 Any comments or additions? No discussion?

3 DR. VERBURG: Dr. Suarez-Almazor, this is  
4 Ken Verburg again. I have just one follow-up  
5 consideration or question --

6 DR. SUAREZ-ALMAZOR: Yes --

7 DR. VERBURG: -- and it's discussion of the  
8 suitability of the REMS. And it was --

9 DR. SUAREZ-ALMAZOR: Dr. Verburg, this is  
10 not related to any questions, so we need to move  
11 on.

12 DR. VERBURG: It is related to a question  
13 though --

14 DR. SUAREZ-ALMAZOR: We have let you  
15 participate in the discussion. Yes, but no. No.  
16 We need to move on to question number 3. Thank  
17 you.

18 Okay. We will now move on to question  
19 number 3. This is a voting question, so Dr. Moon  
20 Hee Choi will start by providing the instructions  
21 for the voting.

22 DR. CHOI: Dr. Suarez-Almazor, would you be



1 able to read the question into the record --

2 DR. SUAREZ-ALMAZOR: Oh, yes.

3 DR. CHOI: -- and then ask if there is any  
4 question.

5 DR. CHOI: Yes, absolutely. I thought you  
6 were going to do the instructions first. I'll read  
7 the question first, then.

8 This is a vote question. Will the REMS  
9 proposed by the applicant ensure that the benefits  
10 of tanezumab outweigh its risk? If you voted no,  
11 comment on what other studies or information would  
12 be needed to address the risks of tanezumab and/or  
13 modify the risk mitigation program.

14 Are there any questions on the wording? And  
15 again, you're not supposed to say how you're going  
16 to be voting, but if you have any questions about  
17 question number 3, now is the time, on the wording  
18 only.

19 Dr. Meisel?

20 DR. MEISEL: Hi. Thank you. Steve Meisel  
21 from Fairview; just clarity for this question here.  
22 Often we are asked either a supplementary second

1 question, or whatever, that asks for our advice as  
2 to whether or not a drug should be -- we recommend  
3 approval or not.

4 Is this question -- and maybe the question's  
5 for the agency -- akin to that? So if we vote,  
6 say, no, then we're recommending that the drug not  
7 be approved; and if we vote yes, we're recommending  
8 that the drug is approved; or are we being asked to  
9 think about this in a different way? I just want  
10 some clarity about the frame of reference for the  
11 question. Thank you.

12 DR. ROCA: Hi. This is Dr. Roca. I think  
13 you should interpret the question just the way it  
14 is written; nothing more, nothing less.

15 DR. SUAREZ-ALMAZOR: Okay. No further  
16 questions?

17 (No response.)

18 DR. SUAREZ-ALMAZOR: Dr. Choi, do you want  
19 to give instructions to the panel?

20 DR. CHOI: Yes. Thank you.

21 We will now move voting members to the  
22 voting breakout room to vote only. There will be

1 no discussion in the voting breakout room.  
2 Question number 3 is a voting question. Voting  
3 members will use the Adobe Connect platform to  
4 submit their votes for this meeting.

5 After the chairperson has read the voting  
6 question into the record and all questions and  
7 discussion regarding the wording of the vote  
8 question are complete, the chairperson will allow  
9 that voting will begin.

10 If you are a voting member, you will be  
11 moved to a breakout room. A new display will  
12 appear where you can submit your vote. There will  
13 be no discussion in the breakout room. You should  
14 select the radio button. That is the round,  
15 circular button in the window that corresponds to  
16 your vote; yes, no, or abstain. You should not  
17 leave the "no vote" choice selected.

18 Please note that you do not need to submit  
19 or send your vote. Again, you need only to select  
20 the radio button that corresponds to your vote.  
21 You will have the opportunity to change your vote  
22 until the vote is announced as closed.

1           Once all voting members have selected their  
2           vote, I will announce that the vote is closed.  
3           Next, the vote results will all be displayed on the  
4           screen. I will read the vote results from the  
5           screen into the record.

6           Next, the chairperson will go down the  
7           roster and each voting member will state their name  
8           and their vote into the record. You can also state  
9           the reason why you voted as you did if you want to,  
10          however, you should also address any subparts of  
11          the voting question, if any.

12          Are there any questions about the voting  
13          process before we begin?

14          (No response.)

15          DR. CHOI: We will now move voting members  
16          to the voting breakout room to vote only. There  
17          will be no discussion in the voting breakout room.

18          (Voting.)

19          DR. CHOI: The voting has closed and is now  
20          complete. The vote results will be displayed. I  
21          will read the vote totals into the record. The  
22          chairperson will go down the list, and each voting

1 member will state their name and their vote into  
2 the record. You can also state the reason why you  
3 voted as you did if you want to, however, you  
4 should also address any subparts of the voting  
5 question, if any.

6 DR. SUAREZ-ALMAZOR: Thank you. I do not  
7 see the list on the screen yet.

8 DR. CHOI: It will be displayed momentarily,  
9 Dr. Suarez-Almazor.

10 DR. SUAREZ-ALMAZOR: Okay.

11 (Pause.)

12 DR. CHOI: For the record, we have 1 yes,  
13 19 no, and zero abstentions.

14 DR. SUAREZ-ALMAZOR: Thank you. We will now  
15 go down the list and have everyone who voted state  
16 their name and vote into the record. You may also  
17 provide justification for your vote if you wish to,  
18 however, please remember to address any of the  
19 subparts of the question that correspond to your  
20 vote.

21 We will start with Dr. Singh.

22 DR. SINGH: Jasvinder Singh, University of

1 Alabama at Birmingham. My vote is no, and my  
2 comment is that there is limited information with  
3 regards to early recognition of pre-lesions for the  
4 joint destruction and the radiographic adverse  
5 event outcomes.

6 Without the knowledge of that and also  
7 unclear aspects of concurrent NSAID use, over-the-  
8 counter, topical and oral, and the valued  
9 radiographs for detecting lesions prior to  
10 destructive lesions, there are some very unique  
11 challenges with assessing the risks and whether  
12 they outweigh the benefits. And the risk  
13 mitigation program, there's an issue with that.

14 That's the end of my comment. Thank you.

15 DR. SUAREZ-ALMAZOR: Dr. Oliver?

16 DR. OLIVER: Alyce Oliver, Medical College  
17 of Georgia. I voted no. I do believe that there  
18 is not enough information on the systemic effects  
19 of the injection, as well as needing long-term data  
20 for both active treatment -- so we know that  
21 osteoarthritis is a chronic condition, and that  
22 it's possible that people could be on this drug for

1 years. What would be the outcome data for that?  
2 Then, there's follow-up imaging on individuals who  
3 have stopped the drug, but longer term imaging data  
4 to see if there's an effect. Thank you.

5 DR. SUAREZ-ALMAZOR: Dr. Meisel?

6 DR. MEISEL: Thank you. Steve Meisel from  
7 M Health Fairview in Minneapolis. I also voted no.  
8 I think the REMS program is not practical. As  
9 Dr. Cheng pointed out, it's not preventive; its  
10 diagnostic. It will not be followed very well by  
11 patients or providers. The risks of this drug  
12 outweigh the relatively modest benefits regardless  
13 of the REMS.

14 I'm kind of reminded of what kind of  
15 conversation we would be having if this was a drug  
16 for, say, angina, and we had a drug that said,  
17 "Well, we could reduce the likelihood that you'd  
18 have an anginal attack, but in doing so, you're  
19 more likely to have bypass surgery. Yes, if you  
20 take nitroglycerin for the anginal attacks, that  
21 will help but will further increase the risk of  
22 bypass surgery, so cut down on the nitroglycerin

1 use."

2 We wouldn't even be having this conversation  
3 because it would be so obvious that the risks  
4 outweigh the benefits. And I see this drug really  
5 in that light, where you've got a drug with very  
6 modest clinical improvement, impact, a high risk  
7 that really can't be mitigated regardless of the  
8 REMS. So that risk-benefit ratio really doesn't  
9 weigh positive, and I don't see any way to modify a  
10 REMS to change that balance. Thank you.

11 DR. SUAREZ-ALMAZOR: Mr. O'Brien?

12 MR. O'BRIEN: Yes. Joe O'Brien, National  
13 Scoliosis Foundation. I voted no. I echo the  
14 sentiments of the previous voters and my  
15 colleagues. And I would say, echoing myself  
16 actually, that I recognize the need for this drug  
17 and actually weigh out the risks-benefits of  
18 looking at the potential of a total joint  
19 replacement and how that compares to the opposite  
20 in terms of my potential for bleeding, my potential  
21 for death and addiction, et cetera. I think that  
22 that outcome, when I weigh it, it is less.



1           However, as I indicated, in previous drugs  
2 when we were looking at them, as it turns out, the  
3 side effect actually ended up becoming the major  
4 issue. And I am concerned that in this particular  
5 drug, the side effect, while it may seem small to  
6 some people, may in fact become the -- we just  
7 don't know enough whether or not patients will be  
8 dealing with a side effect, and in fact becomes the  
9 primary issue with multi-joint destruction later  
10 on.

11           I think until such time as we do that -- and  
12 a REMS can't approach that. And I think that's  
13 part of the additional data that we need, is a  
14 better understanding of the mechanism of what the  
15 RPOA is as it relates to tanezumab.

16           DR. SUAREZ-ALMAZOR: Dr. Habel?

17           DR. HABEL: Yes. This is Laurel Habel. I  
18 also voted no. The reason's stated by others. In  
19 addition to the additional data that people have  
20 suggested would be good to have, I think it would  
21 be also helpful to have more patient preference  
22 data that incorporates the risk to healthy joints

1 and that also doesn't have the forced-choice  
2 answers.

3 I would also like to have some more  
4 information on when patients are on this drug and  
5 their pain is improved, but it's not sufficiently  
6 managed, what are their options for managing their  
7 pain, and what would be the safe options for doing  
8 that. That's all.

9 DR. SUAREZ-ALMAZOR: Dr. Katz?

10 DR. KATZ: Lee Katz, Yale University, School  
11 of Medicine in New Haven, Connecticut. I voted no.  
12 For me, one of the basic principles of medicine is  
13 first do no harm. And although I appreciated the  
14 sponsor's presentation and the public's comments  
15 from yesterday, I'm concerned about the long-term  
16 side effect of the sponsor's drug.

17 Although the knee and the hip are a target  
18 site, the systemic effects of the drug have not  
19 been adequately explored. For example, imaging of  
20 the shoulder or shoulders was obtained, but the  
21 results were not really adequately presented. In  
22 addition, there are other non-weight-bearing joints

1 such as the wrist or the hands, which are a very  
2 common site for osteoarthritis. According to  
3 multiple graphs, the occurrence of rapidly  
4 progressive osteoarthritis appears to progress  
5 following the conclusion of the study period, but  
6 additional monitoring is required.

7 Finally, I'm concerned that  
8 non-musculoskeletal radiologists may not be  
9 adequately trained to monitor the progression of  
10 osteoarthritis following the initiation of the  
11 sponsor's drug. Thank you.

12 DR. SUAREZ-ALMAZOR: Dr. Cheng?

13 DR. CHENG: Thank you. Ed Cheng from the  
14 University of Minnesota. I'm sorry to say that I  
15 voted no as well. I was hoping that we would see a  
16 drug that had a greater benefit, where the benefit  
17 would outweigh the risk, and unfortunately I don't  
18 think that's the case.

19 The sponsor, I do believe, is doing as much  
20 as they reasonably can, and I don't think we've  
21 been able to modify appropriately to the point that  
22 we make it acceptable. So while I think another

1 therapy is sorely needed for these patients, I  
2 don't think it's this drug.

3 DR. SUAREZ-ALMAZOR: Dr. Richards?

4 DR. RICHARDS: John Richards, the VA  
5 Pittsburgh Healthcare System. I also voted no, and  
6 I did so being at the VA hospital where I see a lot  
7 of elderly patients with comorbidities who have a  
8 lot of osteoarthritis.

9 There certainly is need for another  
10 therapeutic option but, unfortunately, I think for  
11 the reasons previously stated and lack of longer  
12 term data, that may demonstrate whether there's a  
13 plateauing effect once this drug is stopped in  
14 terms of the rapidly progressive osteoarthritis,  
15 really limits me from approving this as written.

16 I think without that data, it prevents us  
17 from rally coming up with a risk mitigation  
18 strategy despite, I think, the best efforts of the  
19 sponsor.

20 DR. SUAREZ-ALMAZOR: Dr. Nason?

21 DR. NASON: This is Martha Nason from the  
22 National Institute of Allergy and Infectious

1 Diseases, NIH. I voted no for many of the reasons  
2 that have already been stated. I won't rehash  
3 them, except to say that I think the long-term  
4 data -- both on the people who are taking it for a  
5 longer time but also have stopped it, and seeing  
6 what's happened to them -- is really important in  
7 order to allow us to look at the risk-benefit  
8 balance; although I would have also, similar to my  
9 colleagues, have hoped for higher efficacy in order  
10 to be a successful balance against some of the  
11 risks we have seen already.

12 DR. SUAREZ-ALMAZOR: Dr. Kulldorff?

13 DR. KULLDORFF: My name is Martin Kulldorff.  
14 I voted no. To have a REMS program that would  
15 ensure the benefits of tanezumab would outweigh the  
16 risks, one would have to have either or both of two  
17 things. Either there needs to be some way to  
18 determine who are the ones who are at highest risk  
19 for the joint adverse reactions or there has to be  
20 a monitoring system that one can pick those up  
21 early enough before the damage is done. And  
22 neither of those is currently in the REMS program,

1 so I voted no. Thank you.

2 DR. SUAREZ-ALMAZOR: This is Maria  
3 Suarez-Almazor. I voted no. I think it is  
4 counter-intuitive to use a drug for osteoarthritis  
5 that actually makes osteoarthritis worse. I think  
6 longer term data that models what would be actually  
7 used in real-world settings for at least a couple  
8 of years is really needed to understand the risk of  
9 this drug. And until this data is available, it's  
10 going to be very difficult to develop a plan for a  
11 REMS that can actually mitigate rather than just  
12 bring [indiscernible].

13 Dr. Calis?

14 DR. CALIS: This is Karim Calis from the  
15 NIH, and I voted no as well. Briefly, I would just  
16 say that the risk-benefit equation is heavily  
17 tilted toward risk. In this case, we're missing  
18 critical information from long-term follow-up of  
19 the study participants that can potentially inform  
20 risk mitigation strategies.

21 I don't think it's unreasonable to ask the  
22 sponsor to attempt a follow-up study of individuals

1 who previously participated in the tanezumab  
2 clinical trials. Ideally, this should have been  
3 done earlier. There certainly was an opportunity  
4 following resumption of research, after lifting of  
5 the clinical hold.

6 So I wish that had been done, but I think at  
7 this point you asked the question, what additional  
8 information, and I think it would not be  
9 unreasonable to attempt a follow-up study. Thank  
10 you.

11 DR. SUAREZ-ALMAZOR: Dr. Hovinga?

12 DR. HOVINGA: This is Collin Hovinga from  
13 University of Texas, Austin, I-ACT for Children. I  
14 voted no for many of the reasons others commented  
15 earlier, but I will emphasize that the risk-benefit  
16 of the drug wasn't clearly demonstrated in the data  
17 that was presented. I think the inability to  
18 document who might be sufficiently at risk for  
19 developing the long-term toxicities, as well as  
20 ways to better earlier detect it, weren't stated.

21 I think the other thing -- that was very  
22 much challenging, and I appreciate the lack of

1 feasibility in taking this project forward -- would  
2 be to literally look at the longer term safety of  
3 the medication in this population, given  
4 particularly the low clinical benefit that was  
5 demonstrated in the data that was presented. Thank  
6 you.

7 DR. SUAREZ-ALMAZOR: Ms. Johnson?

8 MS. JOHNSON: Hetlena Johnson, a consumer  
9 representative and also a community health advocate  
10 and researcher. I voted yes. I voted yes because  
11 I also felt that the REMS needed more time. With  
12 60 to 65 percent of many drugs that are introduced,  
13 they're not given enough time and enough  
14 recognition in terms of finding that solution for  
15 patients that are suffering right now.

16 With the REMS, I felt that the patients were  
17 advised of what was going on and that they are  
18 getting a benefit. But it cannot be completed. It  
19 cannot actually get past the risk without getting  
20 enough time, and even more, to be studied. So  
21 that's one of the reasons why I voted yes.  
22 Although I see some things that could be improved,



1 I really felt that a yes would improve it. Thank  
2 you.

3 DR. SUAREZ-ALMAZOR: Dr. Nelson?

4 DR. NELSON: Hi. It's Lewis Nelson from  
5 Rutgers New Jersey Medical School in Newark, New  
6 Jersey. I voted no. A lot of the reasons that  
7 have already been stated certainly factored into  
8 that decision. I focused a lot on the REMS program  
9 itself.

10 I think we should know a bit more about how  
11 REMS work out in the real world by now from the  
12 programs that have been implemented in the past.  
13 And I think that the program that's been put forth  
14 is a bit too porous and probably not going to be  
15 very effective. There are too many unknowns.  
16 There's a lot of expense that's going to be  
17 unloaded onto patients. There are some questions  
18 about whether or not we'll be able to be consistent  
19 in detecting and identifying radiographic changes  
20 based on some of the technologists- and  
21 radiologists-related issues.

22 I think that REMS work best when the risk is

1 significant or at least is able to be mitigated  
2 sufficiently. And it's not clear to me that  
3 identifying a risk -- or, I'm sorry, identifying a  
4 problem at this point is going to mitigate that  
5 problem. I think that because of that, the REMS is  
6 probably going to be ineffective even if it did  
7 work; but identify the problem. And I'm not clear  
8 at all that it will be able to do that.

9 So for those reasons really related to the  
10 REMS program itself, I voted no. Thank you.

11 DR. SUAREZ-ALMAZOR: Ms. Robotti?

12 MS. ROBOTTI: Hi. Suzanne Robotti. I voted  
13 no because I feel strongly that anticipated chronic  
14 use requires that we have a good idea of the  
15 long-term problems with this drug. We need at  
16 least to show a leveling and a dropping of adverse  
17 events, and we don't have that.

18 The effect on healthy or near healthy joints  
19 is very troubling. As the consumer representative  
20 on the Drug Safety and Risk Management Committee,  
21 informed patient consent is very important to me.  
22 If the choice to the patient was as simple as a

1 1.1 percent risk of needing a joint replacement or  
2 a 2.2 percent risk, then I could buy into it. The  
3 patient should determine the risk that he or she is  
4 willing to take.

5 But in this case, it's not that simple.  
6 Patient preference information is important, but  
7 the charge to the FDA is that the benefits must  
8 outweigh the risks. When the risk-benefit ratio is  
9 out of balance, the patient in the real world,  
10 without the benefit of the depth of information  
11 that we have, or the time to study the drug that  
12 we've taken, and under the pressure of pain, cannot  
13 be expected to be in position to make an informed  
14 decision. The risks are just not clear yet.

15 Importantly, the patient has multiple other  
16 options that are equal in efficacy. A new drug  
17 with risks must have much better efficacy. And if  
18 it isn't understood why this drug is working, I  
19 don't know how you're going to mitigate its effect.  
20 I don't know how you're going to find risk  
21 mitigation components that would prevent or reduce  
22 the incidence of joint damage.

1           One never knows all the risks of medicines  
2 when they're first approved. We accept that risk.  
3 And it can take years to figure it all out.  
4 However, in this case, we know what we don't know,  
5 and those questions are significant and  
6 life-altering. Thanks.

7           DR. SUAREZ-ALMAZOR: Dr. Hernandez-Diaz?

8           DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.

9 I voted no. I would like to clarify that I agree  
10 that there is an unmet need and that we need  
11 alternatives, particularly for opioids. I would  
12 like to congratulate the sponsor for their research  
13 and all the studies that they have conducted in  
14 this area; however, I still voted no because I  
15 don't think this is the game-changer. Therefore,  
16 the marginal benefits do not outweigh the risks,  
17 even with the REMS.

18           I will not repeat what others have said, but  
19 in terms of modifying the risk mitigation, maybe  
20 proposing that the group of patients is really  
21 restricted to those that have contraindications for  
22 NSAIDs, then maybe in that group the risk may be

1 lower than the potential benefits. But we cannot  
2 base that decision of identifying the group of  
3 patients based on promising benefits when the  
4 NSAIDs fail because the clinical trials do not  
5 support that this drug is going to work better in  
6 terms of reducing pain than NSAIDs. Thank you.

7 DR. SUAREZ-ALMAZOR: Dr. Griffin?

8 DR. GRIFFIN: Yes. Hi. This is Marie  
9 Griffin from Vanderbilt. I voted no, and I don't  
10 think that any modification of the REMS program  
11 would really help. I think maybe it would be  
12 possible to identify a patient population with a  
13 different, more optimistic benefit-risk ratio, and  
14 I think the sponsor tried to do that, and so did  
15 FDA. But unfortunately, I don't think we know of a  
16 population where the benefit-risk ratio is good for  
17 this drug. Thank you.

18 DR. SUAREZ-ALMAZOR: Dr. Horton?

19 DR. HORTON: Yes. Thanks. Dan Horton,  
20 Rutgers University, New Brunswick, New Jersey. I  
21 voted no for many similar reasons as others. I  
22 agree that the REMS program does not seem adequate

1 to ensure use by the target population, or to  
2 identify patients who are at higher risk for harms,  
3 or to prevent serious harms from this agent.

4 I do worry that if it were approved, even  
5 with the REMS proposed in place, that the harms  
6 seen in the trial would be magnified in the general  
7 population. We would see long-term use, and the  
8 data presented offered hints that there could be  
9 cumulative harms over time and also lead to use by  
10 patients with osteoarthritis who were ineligible  
11 for the trials, who might be subject to more or  
12 different harms. We'd also see off-label use for  
13 people without osteoarthritis. And again, this  
14 comes back to the risks seen in potentially normal  
15 joints.

16 So in my opinion, these potential harms  
17 outweigh the potential benefits. I agree that  
18 long-term follow-up data would be very useful, as  
19 would data from the MRIs performed as part of the  
20 trial, but not interpreted; though I don't  
21 necessarily think that that would be feasible in  
22 implementing a REMS strategy. Thank you.

1 DR. SUAREZ-ALMAZOR: Dr. Pissetsky?

2 DR. PISETSKY: This is Dr. Pissetsky from  
3 Duke. I also voted no for the reasons indicated by  
4 others. But also I think we simply don't know  
5 enough about targeting this particular molecule.  
6 It would be a new target, so there are lots of  
7 unknowns in terms of the basic biology.

8 But the other is this is a biologic, and  
9 therefore it has a different duration of action  
10 than a potential small molecule, and I don't think  
11 that enough is really known about the consequences  
12 of prolonged inhibition -- we're talking  
13 weeks -- in comparison to other therapies. Other  
14 therapies can be dose adjusted, even something like  
15 selective joint injection as the discretion about  
16 use and whether you should or should not do  
17 injection.

18 There are a lot of options for tailoring the  
19 program to the patient: fixed dose; biologic; let  
20 alone, mechanism of action. I think there are just  
21 so many unknowns at this point, that it would be  
22 difficult to develop the REMS strategy

1 appropriately.

2 DR. SUAREZ-ALMAZOR: Thank you.

3 I will briefly summarize the justifications  
4 brought by the panel for the vote. Firstly, I  
5 would like to say that there was recognition by the  
6 panel of the unmet need for treatment of  
7 osteoarthritis, so that was clearly recognized.  
8 However, overall it was felt that benefit did not  
9 outweigh the risk.

10 There was not enough information on long-  
11 term data for both those patients receiving active  
12 treatment and also those who discontinued treatment  
13 and no data on how to identify patients before  
14 irreversible lesions happen. There were concerns  
15 on the potential effect on healthy joints, lack of  
16 knowledge about the biology of the drug and its  
17 effect, and also there were concerns about  
18 implementation of a REMS program that only  
19 identifies but doesn't mitigate risk.

20 There were doubts about the effectiveness of  
21 this program given the lack of evidence on the  
22 long-term use of the drug and also because it was



1 largely dependent on x-ray evaluation by community  
2 radiologists.

3 Before we adjourn, are there any last  
4 comments from the FDA?

5 DR. ROCA: Hi. This is Dr. Roca. I just  
6 would like to thank the entire advisory committee  
7 panel for a full discussion with respect to the  
8 issue. We certainly do appreciate your thoughts  
9 and the time you took to discuss them. Thank you.

10 **Adjournment**

11 DR. SUAREZ-ALMAZOR: We will now adjourn the  
12 meeting. Thank you.

13 (Whereupon, at 12:49 p.m., the meeting was  
14 adjourned.)

15

16

17

18

19

20

21

22