

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ARTHRITIS ADVISORY COMMITTEE (AAC) MEETING

Virtual Meeting

Thursday, May 6, 2021
10:00 a.m. to 5:11 p.m.

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ARTHRITIS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Mara L. Becker, MD, MSCE**

10 *(Chairperson)*

11 Vice Chair, Faculty

12 Department of Pediatrics

13 Division of Pediatric Rheumatology

14 Duke University School of Medicine

15 Duke Clinical Research Institute

16 Durham, North Carolina

17

18 **Paul F. Dellaripa, MD**

19 Associate Professor of Medicine

20 Harvard Medical School

21 Brigham and Women's Hospital

22 Boston, Massachusetts

1 **Hetlena J. Johnson, EdS**

2 *(Consumer Representative)*

3 Columbia, South Carolina

4

5 **Martha C. Nason, PhD**

6 Mathematical Statistician

7 Division of Clinical Research

8 National Institute of Allergy and Infectious

9 Diseases, National Institutes of Health (NIH)

10 Rockville, Maryland

11

12 **Alyce M. Oliver, MD, PhD**

13 Joseph P. Bailey MD Chair in Rheumatology

14 Professor of Medicine

15 Medical College of Georgia at Augusta University

16 Augusta, Georgia

17

18 **David S. Pisetsky, MD, PhD**

19 Professor of Medicine and Immunology

20 Duke University Medical Center

21 Durham Veterans Affairs Medical Center

22 Durham, North Carolina

1 **J. Steuart Richards, MD**

2 Chief, Division of Rheumatology

3 Division of Rheumatology

4 Veterans Affairs Pittsburgh Healthcare System

5 Clinical Associate Professor of Medicine

6 University of Pittsburgh

7 Pittsburgh, Pennsylvania

8

9 **Jasvinder Singh, MD, MPH**

10 Professor of Medicine and Epidemiology with Tenure

11 University of Alabama at Birmingham

12 Birmingham, Alabama

13

14 **Margrit Wiesendanger, MD, PhD**

15 Associate Professor of Medicine

16 Division of Rheumatology

17 Department of Medicine

18 Icahn School of Medicine at Mount Sinai

19 New York, New York

20

21

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Sean P. Curtis, MD, MPH**

4 *(Acting Industry Representative)*

5 Senior Vice President, Global Regulatory

6 Affairs and Clinical Safety

7 Merck Research Laboratories

8 Rahway, New Jersey

9
10 **TEMPORARY MEMBERS (Voting)**

11 **Elizabeth J. Brant, MD**

12 *(Patient Representative)*

13 Assistant Professor of Medicine and Nephrology

14 Dartmouth-Hitchcock Medical Center

15 Geisel School of Medicine

16 Lebanon, New Hampshire

17

18

19

20

21

22

1 **Sharon A. Chung, MD, MAS**

2 Associate Professor of Clinical Medicine

3 Rheumatology

4 Associate Director

5 Clinical and Translational Medicine

6 Immune Tolerance Network

7 University of California, San Francisco

8 San Francisco, California

9

10 **Seoyoung C. Kim, MD, ScD, MSCE**

11 Associate Professor of Medicine

12 Divisions of Pharmacoepidemiology and

13 Rheumatology

14 Harvard Medical School/Brigham and

15 Women's Hospital

16 Boston, Massachusetts

17

18

19

20

21

22

1 **Walter K. Kraft, MD**

2 Professor of Pharmacology, Medicine and Surgery

3 Department of Pharmacology and

4 Experimental Therapeutics

5 Sidney Kimmel Medical College of Thomas

6 Jefferson University

7 Philadelphia, Pennsylvania

8

9 **Julia Lewis, MD**

10 Professor of Medicine

11 Division of Nephrology

12 Vanderbilt University Medical Center

13 Nashville, Tennessee

14

15 **Susanne May, PhD**

16 Professor of Biostatistics

17 Director of the UW Clinical Trials Center

18 University of Washington

19 Seattle, Washington

20

21

22

1 **Pamela Shaw, MS, PhD**

2 Associate Professor of Biostatistics

3 Department of Biostatistics, Epidemiology and
4 Informatics

5 University of Pennsylvania Perelman School of
6 Medicine

7 Philadelphia, Pennsylvania

8

9 **C. John Sperati, MD, MHS**

10 Associate Professor of Medicine

11 Director, Nephrology Fellowship Training
12 Program

13 Division of Nephrology

14 Johns Hopkins University School of Medicine

15 Baltimore, Maryland

16

17

18

19

20

21

22

1 **Ravi I. Thadhani, MD, MPH**

2 Professor of Medicine, Harvard Medical School

3 Academic Dean for Mass General

4 Brigham, Harvard Medical School

5 Chief Academic Officer

6 Mass General Brigham

7 Boston, Massachusetts

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Julie Beitz, MD**

11 Office Director

12 Office of Immunology and Inflammation (OII)

13 Office of New Drugs (OND), CDER, FDA

14

15 **Nikolay Nikolov, MD**

16 Director

17 Division of Rheumatology and Transplant

18 Medicine (DRTM), OII, OND, CDER, FDA

19

20 **Rachel L. Glaser, MD**

21 Clinical Team Leader

22 DRTM, OII, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Suzette Peng, MD

Medical Reviewer

DRTM, OII, OND, CDER, FDA

Yura Kim, PhD

Biostatistics Reviewer

Division of Biometrics III

Office of Biostatistics

Office of Translational Sciences (OTS)

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Mara Becker, MD, MSCE	13
5	Introduction of Committee	
6	Moon Hee Choi, PharmD	13
7	Conflict of Interest Statement	
8	Moon Hee Choi, PharmD	22
9	FDA Opening Remarks	
10	Rachel Glaser, MD	26
11	Applicant Presentations - ChemoCentryx, Inc.	
12	Introduction	
13	Pirow Bekker, MD, PhD	31
14	Efficacy	
15	Peter Merkel, MD, MPH	34
16	David Jayne, MD	40
17	Safety	
18	Pirow Bekker, MD, PhD	44
19	Clarifying Questions for Applicant	57
20		
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
FDA Presentation	
FDA Summary Presentation	
Rachel Glaser, MD	91
Clarifying Questions for FDA	116
Open Public Hearing	148
Charge to the Committee	
Rachel Glaser, MD	203
Questions to the Committee and Discussion	208
Adjournment	333

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. BECKER: I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Dr. Mara Becker, and I'll be chairing this committee. I will now call the May 6, 2021 Arthritis Advisory Committee meeting to order. Dr. Moon Hee Choi is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. CHOI: Good morning. My name is Moon Hee Choi, and I am the acting designated federal officer for this meeting. All voting members have confirmed via email that they have viewed the prerecorded presentations for today's meeting in their entirety. When I call your name, please introduce yourself by stating your name, and

1 affiliation, and I confirm.

2 Dr. Becker?

3 DR. BECKER: Hi. I'm Mara Becker. I'm a
4 pediatric rheumatologist with additional training
5 in clinical pharmacology. I'm an associate
6 professor of pediatrics and vice chair for faculty
7 in the Department of Pediatrics at Duke University
8 School of Medicine. I confirm that I viewed the
9 FDA and ChemoCentryx's prerecorded presentations in
10 their entirety.

11 DR. CHOI: Dr. Curtis?

12 DR. CURTIS: Hi. Good morning. My name is
13 Sean Curtis. I'm a senior vice president in charge
14 of regulatory affairs at Merck Research Labs. I am
15 acting as the industry representative today. Thank
16 you.

17 DR. CHOI: Dr. Dellaripa?

18 DR. DELLARIPA: Yes. My name is Paul
19 Dellaripa. I'm an adult rheumatologist at the
20 Brigham and Women's Hospital in Boston, and I have
21 reviewed all of the appropriate slides from the FDA
22 and Centryx. Thank you.

1 DR. CHOI: Ms. Johnson?

2 MS. JOHNSON: My name is Hetlena Johnson.
3 I'm a consumer representative, and I am in South
4 Carolina.

5 DR. CHOI: Ms. Johnson, can you please let
6 us know if you have reviewed the prerecorded
7 presentations that were sent to you by stating I
8 confirm?

9 MS. JOHNSON: I confirm.

10 DR. CHOI: Thank you.

11 Dr. Nason?

12 DR. NASON: Good morning. This is Martha
13 Nason. I'm a mathematical statistician at the
14 National Institute of Allergy and Infectious
15 Diseases, and I confirm that I have viewed all the
16 presentations that were sent.

17 DR. CHOI: Dr. Oliver?

18 DR. OLIVER: Good morning. I'm Alyce
19 Oliver. I'm an adult rheumatologist at Augusta
20 University. I confirm that I have reviewed the
21 presentations in their entirety.

22 DR. CHOI: Dr. Pisetsky?

1 DR. PISETSKY: Hi. I'm Dr. David Pissetsky,
2 professor of medicine and immunology at Duke
3 University. I am an adult rheumatologist, and I
4 confirm that I have read the material and viewed
5 the presentations.

6 DR. CHOI: Dr. Richards?

7 DR. RICHARDS: Good morning. My name is
8 John Steuart Richards. I'm an adult rheumatologist
9 at the VA Healthcare System in Pittsburgh, and I
10 confirm that I have viewed all of the material from
11 the FDA and ChemoCentryx and watched the
12 presentation.

13 DR. CHOI: Dr. Singh?

14 DR. SINGH: Good morning. I'm Jasvinder
15 Singh from the University of Alabama Birmingham and
16 a staff physician of the Birmingham VA Medical
17 Center. I confirm that I've reviewed all the
18 prerecorded materials from both the FDA and the
19 pharmaceutical company, as well as all the
20 materials. Thank you.

21 DR. CHOI: Dr. Wiesendanger?

22 DR. WIESENDANGER: Yes. Good morning. This

1 is Margrit Wiesendanger from the Icahn School of
2 Medicine at Mount Sinai in New York City, and I
3 confirm that I have viewed all of the recorded
4 materials from the FDA and ChemoCentryx. Thank
5 you.

6 DR. CHOI: Dr. Brant?

7 DR. BRANT: Elizabeth Brant, assistant
8 professor of medicine and nephrology at
9 Dartmouth-Hitchcock Medical Center and Geisel
10 School of Medicine. I'm acting as the patient
11 representative today, and I confirm that I've
12 viewed both of the prerecorded presentations in
13 their entirety.

14 DR. CHOI: Dr. Chung?

15 DR. CHUNG: Hello. This is Sharon Chung.
16 I'm an adult rheumatologist at the University of
17 California, San Francisco. I'm an associate
18 professor of clinical medicine and I also direct
19 the Vasculitis Clinic. I also serve as the
20 associate director of Clinical and Translational
21 Medicine at the Immune Tolerance Network. I have
22 reviewed both prerecorded presentations in their

1 entirety. Thank you.

2 DR. CHOI: Dr. Kim?

3 DR. S. KIM: Good morning. I'm Seoyoung
4 Kim, adult rheumatologist and
5 pharmacoepidemiologist at Brigham and Women's
6 Hospital in Boston. I'm also associate professor
7 of medicine at Harvard Medical School. I also
8 confirm that I have viewed all the prerecorded
9 presentations for the meeting.

10 DR. CHOI: Dr. Kraft?

11 DR. KRAFT: I'm Walter Kraft. I'm an
12 internist and clinical pharmacologist at Thomas
13 Jefferson University in Philadelphia. I have
14 reviewed in entirety all of the materials from the
15 sponsor and the FDA.

16 DR. CHOI: Dr. Lewis?

17 DR. LEWIS: I'm Dr. Julia Lewis. I'm a
18 nephrologist from Vanderbilt University. I confirm
19 I reviewed the FDA and ChemoCentryx's presentations
20 in their entirety.

21 DR. CHOI: Dr. May?

22 DR. MAY: Susanne May. I'm a professor of

1 biostatistics at the University of Washington in
2 Seattle and the director of the University of
3 Washington Clinical Trials Center, and I confirm
4 that I have reviewed all of the prerecorded meeting
5 materials.

6 DR. CHOI: Dr. Shaw?

7 DR. SHAW: Hello. My name is Pamela Shaw,
8 and I'm associate professor of biostatistics at the
9 University of Pennsylvania's Perelman School of
10 Medicine. I confirm that I have viewed the
11 prerecorded presentations from both the FDA and
12 ChemoCentryx, and reviewed the meeting materials.

13 DR. CHOI: Dr. Sperati?

14 DR. SPERATI: Good morning. I'm John
15 Sperati. I'm an adult nephrologist at Johns
16 Hopkins University, and I confirm that I have
17 reviewed all of the prerecorded material.

18 DR. CHOI: Dr. Thadhani?

19 DR. THADHANI: Good morning. My name is
20 Ravi Thadhani. I'm the chief academic officer at
21 Mass General Brigham and professor of medicine at
22 Harvard Medical School, and I confirm I've reviewed

1 the materials. Thank you.

2 DR. CHOI: Thank you.

3 Dr. Beitz?

4 DR. BEITZ: Good morning. I'm Julie Beitz,
5 the director of the Office of Immunology and
6 Inflammation at CDER FDA.

7 DR. CHOI: Dr. Nikolov?

8 DR. NIKOLOV: Good morning. My name is
9 Nikolay Nikolov. I'm the director of the Division
10 of Rheumatology and Transplant Medicine, the same
11 office and same center at the FDA.

12 DR. CHOI: Dr. Glaser?

13 (No response.)

14 DR. CHOI: Dr. Glaser?

15 DR. GLASER: This is Rachel Glaser. I'm a
16 clinical team leader in the Division of
17 Rheumatology and Transplant Medicine at the FDA.

18 DR. CHOI: Dr. Peng?

19 DR. PENG: Hi. I'm Suzette Peng. I'm the
20 clinical reviewer in the same Division of
21 Rheumatology and Transplant Medicine.

22 DR. CHOI: Dr. Kim?

1 DR. Y. KIM: Hi. This is Yura Kim,
2 statistician from Office of Biostatistics, CDER,
3 FDA.

4 DR. CHOI: Thank you.

5 DR. BECKER: For topics such as those being
6 discussed at this meeting, there are often a
7 variety of opinions, some of which are quite
8 strongly held. Our goal is that this meeting will
9 be a fair and open forum for discussion of these
10 issues and that individuals can express their views
11 without interruption.

12 Thus, as a gentle reminder, individuals will
13 be allowed to speak into the record only if
14 recognized by the chairperson. We look forward to
15 a productive meeting.

16 In the spirit of the Federal Advisory
17 Committee Act and the Government in the Sunshine
18 Act, we ask that the advisory committee members
19 take care that their conversations about the topic
20 at hand take place in the open forum of the
21 meeting.

22 We are aware that members of the media are

1 anxious to speak with the FDA about these
2 proceedings, however, FDA will refrain from
3 discussing the details of this meeting with the
4 media until its conclusion. Also, the committee is
5 reminded to please refrain from discussing the
6 meeting topic during breaks or lunch. Thank you.

7 Dr. Moon Hee Choi will read the Conflict of
8 Interest Statement for the meeting.

9 **Conflict of Interest Statement**

10 DR. CHOI: The Food and Drug Administration
11 is convening today's meeting of the Arthritis
12 Advisory Committee under the authority of the
13 Federal Advisory Committee Act of 1972. With the
14 exception of the industry representative, all
15 members and temporary voting members of the
16 committee are special government employees or
17 regular federal employees from other agencies and
18 are subject to federal conflict of interest laws
19 and regulations.

20 The following information on the status of
21 this committee's compliance with federal ethics and
22 conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C. Section 208, is
2 being provided to participants in today's meeting
3 and to the public.

4 FDA has determined that members and
5 temporary voting members of this committee are in
6 compliance with federal ethics and conflict of
7 interest laws. Under 18 U.S.C. Section 208,
8 Congress has authorized FDA to grant waivers to
9 special government employees and regular federal
10 employees who have potential financial conflicts
11 when it is determined that the agency's need for a
12 special government employee's services outweighs
13 his or her potential financial conflict of interest
14 or when the interest of a regular federal employee
15 is not so substantial as to be deemed likely to
16 affect the integrity of the services which the
17 government may expect from the employee.

18 Related to the discussion of today's
19 meeting, members and temporary voting members of
20 this committee have been screened for potential
21 financial conflicts of interests of their own as
22 well as those imputed to them, including those of

1 their spouses or minor children and, for purposes
2 of 18 U.S.C. Section 208, their employers. These
3 interests may include investments; consulting;
4 expert witness testimony; contracts, grants,
5 CRADAs; teaching, speaking, writing; patents and
6 royalties; and primary employment.

7 Today's agenda involves discussion of new
8 drug application, NDA, 214487, for avacopan oral
9 capsules, submitted by ChemoCentryx, Inc., for the
10 treatment of anti-neutrophil cytoplasmic
11 antibody-associated vasculitis.

12 This is a particulate matters meeting during
13 which specific matters related to ChemoCentryx's
14 NDA will be discussed. Based on the agenda for
15 today's meeting and all financial interests
16 reported by the committee members and temporary
17 voting members, a conflict of interest waiver has
18 been issued in accordance with 18 U.S.C.
19 Section 208(b)(3) to Dr. Christopher John Sperati.
20 Dr. Sperati's waiver includes his investment
21 holdings in a healthcare sector mutual fund.

22 The waiver allows individuals to participate

1 fully in today's deliberations. FDA's reasons for
2 issuing the waivers are described in the waiver
3 documents, which are posted on FDA's website at
4 [https://www.fda.gov/advisory-committees/
5 committees-and-meeting-materials/human-drug-
6 advisory-committees.](https://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees)

7 Copy of the waiver may also be obtained by
8 submitting a written request to the agency's
9 Freedom of Information Division, 5630 Fishers Lane,
10 Room 1035, Rockville, Maryland, 20857, or requests
11 may be sent via fax to 301-827-9267.

12 To ensure transparency, we encourage all
13 standing committee members and temporary voting
14 members to disclose any public statements that they
15 have made concerning the product at issue.

16 With respect to FDA's invited industry
17 representative, we would like to disclose that
18 Dr. Sean P. Curtis is participating in this meeting
19 as a non-voting industry representative acting on
20 behalf of regulated industry. Dr. Curtis' role at
21 this meeting is to represent industry in general
22 and not any particular company. Dr. Curtis is

1 employed by Merck & Co., Inc.

2 We would like to remind members and
3 temporary voting members that if the discussions
4 involve any other products or firms not already on
5 the agenda for which an FDA participant has a
6 personal or imputed financial interest, the
7 participants need to exclude themselves from such
8 involvement, and their exclusion will be noted for
9 the record. FDA encourages all other participants
10 to advise the committee of any financial
11 relationships that they may have with the firm at
12 issue. Thank you.

13 DR. BECKER: We will proceed with FDA
14 introductory remarks from Dr. Rachel Glaser.

15 **FDA Opening Remarks - Rachel Glaser**

16 DR. GLASER: Good morning, Dr. Becker,
17 esteemed advisory committee members, ChemoCentryx
18 team, my FDA colleagues, and members of the
19 audience. My name is Rachel Glaser. I'm a
20 clinical team leader in the Division of
21 Rheumatology and Transplant Medicine, and I'm also
22 a practicing adult rheumatologist.

1 On behalf of the agency, I'd like to welcome
2 you all to this virtual Arthritis Advisory
3 Committee meeting, where we will be discussing the
4 new drug application, or NDA, 214487, avacopan for
5 treatment of adult patients with anti-neutrophil
6 cytoplasmic autoantibody, or ANCA-associated
7 vasculitis, including granulomatosis with
8 polyangiitis, or GPA, and microscopic polyangiitis,
9 or MPA.

10 While we would prefer to be sitting in a
11 room with all of you today, we are thankful that we
12 can utilize this virtual setting to proceed with
13 this very important discussion. Before I begin, I
14 would like to thank the members of the panel for
15 your participation in this Arthritis Advisory
16 Committee meeting. We consider your expert
17 scientific advice and recommendations very
18 important to our regulatory decision-making
19 processes.

20 In an effort to focus the meeting and
21 accommodate different time zones, we have adopted a
22 unique format for today's meeting. Rather than

1 take the time to give our comprehensive
2 presentations this morning, we have provided
3 prerecorded presentations from both the applicant
4 and the agency ahead of the meeting in addition to
5 the written briefing documents. These prerecorded
6 presentations from the applicant and FDA, as well
7 as their transcriptions, have also been posted on
8 our website.

9 We thank you for taking the time to review
10 these materials prior to today's meeting. The
11 agenda for today's meeting will be as follows.
12 After my brief introductory and welcome remarks, I
13 will turn the meeting over to Dr. Becker and then
14 ChemoCentryx to give a summary presentation, after
15 which you will have the opportunity to ask
16 clarifying questions of the applicant.

17 I will then return to similarly give a
18 summary presentation from the agency, followed by
19 clarifying questions to FDA. The scope of the
20 clarifying questions to either the applicant or FDA
21 can cover the entirety of their prerecorded and
22 live presentations.

1 The advisory committee panel members may
2 refer to any of the slides that have either been
3 shown in the applicant and FDA's summary
4 presentations or those that have been provided to
5 you from the comprehensive prerecorded
6 presentations. We will be able to pull up these
7 slides to facilitate the discussion. We ask that
8 you provide the name of the presenter, title of the
9 presentation, and the slide number to further
10 facilitate the process.

11 After clarifying questions to the agency, we
12 will take a break for lunch and return for the open
13 public hearing. This will be followed by the
14 charge to the committee; then we will turn to the
15 discussion points and voting questions.

16 As we navigate the virtual meeting format
17 together, we thank you for your patience should we
18 experience any technological issues. Thank you
19 again for your participation today. We look
20 forward to a robust discussion. I will now turn
21 the meeting back to Dr. Becker.

22 DR. BECKER: Both the Food and Drug

1 Administration and the public believe in a
2 transparent process for information gathering and
3 decision making. To ensure such transparency at
4 the advisory committee meeting, FDA believes that
5 it is important to understand the context of an
6 individual's presentation.

7 For this reason, FDA encourages all
8 participants, including the applicant's
9 non-employee presenters, to advise the committee of
10 any financial relationships that they may have with
11 the sponsor such as consulting fees, travel
12 expenses, honoraria, and interest in the sponsor,
13 including equity interests and those based upon the
14 outcome of the meeting.

15 Likewise, FDA encourages you at the
16 beginning of your presentation to advise the
17 committee if you do not have such financial
18 relationships. If you choose not to address this
19 issue of financial relationships at the beginning
20 of your presentation, it will not preclude you from
21 speaking.

22 We will now proceed with the ChemoCentryx

1 presentation.

2 **Applicant Presentation - Pirow Bekker**

3 DR. BEKKER: Good morning. I'm Dr. Pirow
4 Bekker, the clinical lead for the avacopan program.
5 I've been doing clinical development for 31 years
6 and have been involved from the start in the
7 development of avacopan for ANCA-associated
8 vasculitis. For the record, I am a shareholder of
9 ChemoCentryx and also a consultant to the company.

10 The briefing document and prerecorded
11 presentation provide a comprehensive picture of the
12 efficacy and safety profile of avacopan in
13 ANCA-associated vasculitis, the serious,
14 potentially life-threatening autoimmune disease for
15 which avacopan has orphan disease status.

16 Today's presentation focuses on the phase 3
17 study design and the results as they pertain to the
18 two prespecified primary endpoints and secondary
19 endpoints of relapse, renal function and
20 glucocorticoid toxicity, safety, and finally the
21 indication for use of avacopan.

22 First, I will remind the audience that C5a

1 receptor inhibition represents a novel, targeted
2 mechanism of action for therapy of ANCA-associated
3 vasculitis. Avacopan was developed based on years
4 of in vivo pharmacology that causally connected C5a
5 receptors to severe ANCA-associated vasculitis in
6 the animal models. Human observation supported the
7 link of C5a and C5a receptor to the disease.

8 This targeted mechanism of action is thought
9 to directly affect an aspect of inflammation that
10 is central to small blood vessel necrosis and
11 resultant tissue destruction in ANCA-associated
12 vasculitis.

13 By blocking ANCA-associated C5a receptor,
14 our hypothesis was that the need for a substantial
15 part of the glucocorticoid use in ANCA-associated
16 vasculitis might be bypassed and that avacopan's
17 targeted mode of action might provide direct
18 benefit in arresting acute vasculitis symptoms and
19 slowing damaging organs, such as the kidney. This
20 is what we set out to test in clinical trials,
21 culminating in ADVOCATE.

22 Let's review the design of ADVOCATE. This

1 phase 3 randomized, double-blind, double-dummy
2 active and placebo-controlled trial included a
3 52-week treatment period. This was the design we
4 agreed upon with regulators in 2016. Patients were
5 randomized 1 to 1 into two groups, avacopan or
6 prednisone. 166 patients were randomized to
7 receive avacopan 30 milligram orally twice a day
8 plus a matching prednisone placebo. 164 patients
9 were randomized to receive prednisone and avacopan
10 matching placebo twice a day.

11 Note that the double-dummy design insured
12 blinding for a full 52 weeks. The prednisone
13 scheduling included the starting dose of
14 60 milligram per day, which was steadily tapered
15 off to zero over 20 weeks. This schedule is within
16 the range of those tested previously.
17 Additionally, both groups received background
18 standard-of-care therapy of cyclophosphamide
19 followed by azathioprine or rituximab.

20 I would now like to ask Dr. Peter Merkel to
21 present the key efficacy results. Dr. Merkel,
22 along with Dr. David Jayne, who you will also hear

1 from today, were the lead investigators of our
2 avacopan program in ANCA-associated vasculitis.

3 **Applicant Presentation - Peter Merkel**

4 DR. MERKEL: Thank you, Dr. Becker.

5 Good morning. I'm Dr. Peter Merkel. I'm at
6 the University of Pennsylvania in Philadelphia.
7 The ADVOCATE study had two primary endpoints,
8 remission at week 26 and sustained remission at
9 week 52. Both endpoints were based on the
10 Birmingham Vasculitis Activity Score, or BVAS, a
11 validated instrument that is the standard tool used
12 in clinical trials to capture disease activity in
13 patients with vasculitis.

14 Remission was defined as having a BVAS of
15 zero and not taking glucocorticoids within the
16 previous 4 weeks. Both primary endpoints were
17 analyzed for non-inferiority and superiority when
18 all patients had completed the 52-week treatment
19 period. The type 1 error was controlled by testing
20 the two primary endpoints sequentially using a
21 gatekeeping procedure.

22 All investigators were trained on the use of

1 the BVAS, however, even though routinely used in
2 clinical trials, BVAS is not necessarily used in
3 clinical practice. Therefore, the prespecified
4 analysis plan stated that all investigator-assessed
5 BVAS assessments would be adjudicated in a blinded
6 manner by an adjudication committee according to a
7 predefined charter. This would ensure accuracy and
8 consistency with scoring across all study centers.

9 Adjudication was done in accordance with FDA
10 guidance on endpoint assessment and other
11 vasculitis trials. Adjudicated results were
12 prespecified to be used in the primary analyses.
13 Results showed that there was 95 percent
14 consistency between the investigator and
15 adjudication committee BVAS assessments at week 52.

16 In the small number of discrepant results at
17 week 52 -- 17 patients, 8 in avacopan and 9 in the
18 prednisone group -- the investigators in error
19 scored items that were not due to vasculitis
20 disease activity.

21 Let's review the primary efficacy results.
22 Let's first look at the results at week 26. The

1 primary endpoint was met for remission at week 26
2 with the avacopan group statistically non-inferior
3 to the prednisone group. Specifically, 72 percent
4 of avacopan-treated patients achieved clinical
5 remission compared to 70 percent in the prednisone
6 group.

7 This graph shows that the lower limit of the
8 95 percent confidence interval for the treatment
9 difference between the avacopan and prednisone
10 groups was minus 6 percentage points, far to the
11 right of the prespecified non-inferiority boundary
12 of minus 20 percentage points; thus meeting the
13 prespecified primary endpoint at week 26.

14 The 70 percent remission rate in the
15 prednisone standard-of-care control group is in
16 line with the approximately 74 percent remission
17 rate from the meta-analysis of 20 clinical trials
18 conducted prior to the start of the ADVOCATE study,
19 lending credence to avacopan's efficacy.

20 As anticipated, superiority was not met at
21 week 26 due to the expected high remission rate in
22 the prednisone group, which was in line with

1 previous trials. This result shows that a similar
2 remission rate can be achieved by replacing the
3 oral glucocorticoid taper with avacopan, and with
4 fewer toxicities, as will be discussed later.

5 Now let's look at the results at week 52.
6 For sustained remission at week 52, the avacopan
7 group achieved both non-inferiority and superiority
8 compared to the prednisone group. Sixty-six
9 percent of patients in the avacopan group achieved
10 sustained remission compared to 55 percent in the
11 prednisone group, a difference that is both
12 statistically significant and clinically
13 meaningful.

14 The 12.5 percent treatment difference and
15 the 95 percent confidence interval are to the right
16 of both the non-inferiority and superiority
17 boundaries, thus demonstrating that the superiority
18 endpoint was achieved.

19 Per protocol population analyses and several
20 sensitivity analyses, including tipping-point
21 analyses presented in the briefing book, indicated
22 that the primary endpoint results are valid.

1 Retreatment with rituximab was not given to
2 patients in the rituximab stratum. This is
3 consistent with the treatment practice and the
4 label for rituximab when the study design was
5 finalized in 2016. At that time, rituximab was
6 only approved as initial 4-week treatment for
7 induction of remission. Retreatment with rituximab
8 was not approved by the FDA until late 2018, at
9 which time the ADVOCATE trial was fully enrolled.

10 This is also consistent with design of the
11 RAVE study, where no treatment was given after the
12 initial treatment with rituximab. In RAVE, the
13 rituximab group was shown to be non-inferior to the
14 cyclophosphamide group, which did receive
15 azathioprine after the initial cyclophosphamide
16 treatment.

17 Even today, rituximab retreatment is not
18 standard practice in all patients. Importantly,
19 not giving any additional rituximab to patients in
20 the rituximab stratum allowed for an assessment of
21 the efficacy of avacopan at week 52 as monotherapy
22 against the blinded placebo control group.

1 The primary endpoints in the trial, the data
2 you have just seen, were based on all patients,
3 whether on cyclophosphamide or rituximab background
4 therapy. Importantly, a central benefit to
5 patients in the avacopan group, whether on
6 cyclophosphamide or rituximab background therapy,
7 was that such benefits came while not on the daily
8 oral prednisone regimen.

9 In the rituximab stratum, which comprised
10 65 percent of study patients and where avacopan was
11 compared to matching placebo, avacopan showed a
12 superior outcome regarding sustained remission at
13 week 52. Note that rituximab is currently the only
14 approved immunosuppressive drug for ANCA-associated
15 vasculitis.

16 Within the rituximab stratum, 71 percent of
17 patients in the avacopan group achieved sustained
18 remission compared to 56 percent in the prednisone
19 group, a difference that is both statistically
20 significant and clinically meaningful.

21 This placebo-controlled comparison of
22 avacopan provides clear evidence of avacopan's

1 efficacy and indicates that after remission has
2 been achieved, remission can be sustained with
3 avacopan without any other maintenance treatment.

4 Relapse was also assessed in 95 percent of
5 patients balanced between treatment groups who
6 achieved a BVAS of zero at any point baseline. A
7 Kaplan-Meier graph of time to relapse in the two
8 treatment groups is shown here.

9 There were 16 adjudicated relapses in the
10 avacopan group compared to 33 in the prednisone
11 group, with an estimated 54 percent lower risk of
12 relapse in the avacopan group compared to the
13 prednisone group over the 52-week treatment period.

14 I'd like to now ask my colleague, Dr. David
15 Jayne, to review results informing changes in
16 kidney function and quality of life.

17 **Applicant Presentation - David Jayne**

18 DR. JAYNE: Thank you, Dr. Merkel.

19 This is David Jayne from the University of
20 Cambridge in the United Kingdom. We evaluated
21 kidney function in ADVOCATE because patients with
22 ANCA-associated vasculitis often have renal

1 vasculitis. In fact, approximately 81 percent of
2 patients in our study had evidence of renal disease
3 at baseline, and impaired renal function dominates
4 long-term outcomes for our patients.

5 Historically, it has been difficult to
6 improve kidney function in any disease with
7 medications. The recent large meta-analysis showed
8 that a difference as small as 0.75 mL per minute
9 per year in eGFR between treatment groups is
10 clinically relevant in patients with chronic kidney
11 disease.

12 Let's look at renal results from the
13 ADVOCATE study. The mean estimated glomerular
14 filtration rate, or eGFR, at baseline was
15 approximately 45 mL per minute in both treatment
16 groups, indicating stage 3 kidney disease on
17 average.

18 At both week 26 on the left and week 52 on
19 the right, there was a greater improvement in eGFR
20 in the avacopan group compared to the prednisone
21 group. The difference in eGFR between treatment
22 groups was approximately 3 mL a minute. This

1 exceeds the clinically relevant difference of
2 0.75 mL per minute.

3 This graph shows results from a prespecified
4 subgroup analysis in the 100 patients with stage 4
5 kidney disease at baseline, defined as having an
6 eGFR of 15 to 30 mL per minute. These are the
7 patients within this trial most at risk of
8 developing end-stage kidney disease.

9 The avacopan treatment effect on renal
10 function over 52 weeks was particularly notable
11 among this subset of patients. There was a
12 continued trend in improvement in eGFR between
13 week 26 and week 52, a period when tapering in the
14 prednisone group was completed and avacopan was
15 thus being compared directly to placebo. At
16 week 52, the mean difference of 5.5 mL a minute
17 between groups is clinically important in these
18 patients with stage 4 kidney disease.

19 Based on a request from the FDA,
20 ChemoCentryx conducted an analysis of changes in
21 eGFR in patients with overt renal disease, i.e.,
22 eGFR less than 60 mL a minute; albuminuria of at

1 least 300 milligrams per gram; and hematuria of at
2 least 10 red cells per high-powered field.

3 Results are shown here. The mean increase
4 in eGFR in the avacopan group was 13 mL a minute at
5 week 52 compared to 7 mL a minute in the prednisone
6 group. Consistent with the differences in eGFR
7 recovery, we observed more rapid reduction in
8 albuminuria in the avacopan group.

9 Let's next look at health-related
10 quality-of-life findings. This graph shows the
11 mean change from baseline to week 26 and week 52 in
12 the Physical Component Score of the Short Form 36
13 and the 4 domains that make up this summary score.
14 You can see that the changes were greater in the
15 avacopan group compared to the prednisone group in
16 the Physical Component Score and all 4 domains at
17 both time points.

18 Notably, general health perception worsened
19 in the prednisone group at week 26 following the
20 prednisone taper compared to an improvement in the
21 avacopan group.

22 As shown in the briefing document, with

1 avacopan treatment, there was also significantly
2 more improvement in the Vitality and Role Emotional
3 domains with the Mental Component Score of the
4 SF-36, as well as the EuroQual 5D-5L Visual Analog
5 Scale and Index.

6 I will now turn the presentation back over
7 to Dr. Bekker to discuss glucocorticoid use and
8 safety.

9 **Applicant Presentation - Pirow Bekker**

10 DR. BEKKER: Thank you, Dr. Jayne.

11 This is Dr. Pirow Bekker. It is important
12 to clearly understand the nature of glucocorticoid
13 use by patients in the trial. There were 4 sources
14 of glucocorticoids in our trial. The first source
15 of glucocorticoids was scheduled daily oral
16 prednisone, and this constitutes the biggest
17 glucocorticoid load when treating patients with
18 newly diagnosed or relapsing ANCA-associated
19 vasculitis.

20 It is this source of glucocorticoids that we
21 attempted to eliminate in this trial. This was
22 absent in the avacopan group but present in the

1 prednisone group. It is important to note that it
2 is impossible to do a completely
3 glucocorticoid-free trial in patients with ANCA-
4 associated vasculitis, and this could be a source
5 of some confusion, which I will try to clarify
6 here.

7 There were three sources of glucocorticoids
8 in our trial in addition to the first source of
9 scheduled daily oral prednisone. The second source
10 was intravenous glucocorticoids given to prevent
11 allergic reactions to rituximab. Note that
12 65 percent of all patients in ADVOCATE received
13 rituximab as background therapy.

14 Thirdly, in addition to daily prednisone,
15 glucocorticoids given during the pre-randomization
16 screening period had to be tapered for safety
17 reasons. This taper to zero occurred by the end of
18 4 weeks in our study.

19 The fourth category of other glucocorticoids
20 was protocol-defined glucocorticoid use for
21 controlled short bursts to manage non-major flares
22 or to treat relapses, and glucocorticoid use for

1 reasons other than vasculitis, such as adrenal
2 insufficiency.

3 When all sources of glucocorticoid use are
4 taken into account, it is clear that most of the
5 glucocorticoid use would be within the first
6 4 weeks of the trial, as will be shown next. We
7 will also present the patient incidence of
8 glucocorticoid use by study period. But note that
9 the overall incidence of any glucocorticoid use may
10 be misleading when one ultimately considers the
11 total glucocorticoid exposure in the two treatment
12 groups.

13 This figure shows the average daily oral
14 prednisone equivalent dose in milligram by study
15 week for the two treatment groups. It includes
16 both protocol-stipulated prednisone as well as oral
17 glucocorticoid use other than protocol-stipulated
18 prednisone. This slide shows that there was an
19 almost complete elimination of oral glucocorticoid
20 use in the avacopan compared to the prednisone
21 group.

22 This graph shows the average daily total

1 prednisone equivalent dose in milligram by study
2 week. This total dose includes the
3 protocol-stipulated prednisone in the prednisone
4 group and any glucocorticoids other than the
5 protocol-stipulated prednisone, including
6 intravenous doses.

7 During the 52-week treatment period, the
8 total average glucocorticoid dose decreased from
9 more than 3,600 milligram in the prednisone group
10 to approximately 1,300 milligram in the avacopan
11 group. The median total glucocorticoid dose in the
12 avacopan group was reduced 86 percent. That is
13 more than 2,500 milligram compared to the
14 prednisone group.

15 Most of the glucocorticoid use in the
16 avacopan group occurred within the first 4 weeks of
17 the study. Note that the glucocorticoid use in the
18 avacopan group was rapidly tapered and discontinued
19 almost entirely by the end of 4 weeks.

20 The proportion of patients who used
21 additional glucocorticoids other than
22 protocol-stipulated prednisone is summarized here.

1 As mentioned, most of the extra glucocorticoid use
2 was within the first 4 weeks of trial. This
3 occurred in both treatment groups and was mostly
4 from carryover of glucocorticoids used during the
5 screening period, as well as glucocorticoids given
6 as premedication for rituximab.

7 After 4 weeks, the use of glucocorticoids
8 dropped considerably for the week 4 to week 26
9 period, and also in the second part of the
10 treatment period week 26 to week 52, where there
11 was somewhat more extra glucocorticoid use in the
12 prednisone group compared to the avacopan group.

13 As was shown previously, the overall amount
14 of glucocorticoids, based on the area under the
15 curve, was substantially less in the avacopan group
16 and almost none after week 26.

17 Let's next look at the potential impact of
18 avacopan on prednisone exposure. Avacopan is a
19 weak cytochrome P450 3A4 inhibitor, but it does not
20 alter prednisone exposure area under the curve or
21 AUC. The strong CYP3A4 inhibitor ketoconazole
22 increases the plasma exposure of the sensitive

1 CYP3A4 probe substrate, midazolam, more than
2 10-fold. This is in contrast to a drug-drug
3 interaction study where avacopan had a small effect
4 on midazolam with only a 1.8-fold increase in AUC.

5 It is known that even strong CYP3A4
6 inhibitors, namely ketoconazole, itraconazole and
7 grapefruit juice, have no material effect on
8 prednisone plasma exposure. This is consistent
9 with an avacopan phase 2 study in patients with
10 ANCA-associated vasculitis, where avacopan when
11 co-administered with prednisone had no material
12 effect on prednisone plasma concentrations, as will
13 be shown on the next slide.

14 This slide shows the prednisone plasma
15 concentrations after a dose of 60-milligram
16 prednisone in patients with ANCA-associated
17 vasculitis when given without avacopan, the gray
18 line, with 10 milligram twice daily avacopan, the
19 pink line, and with 30 milligram twice daily
20 avacopan, the dark red line.

21 In this study, all three groups received
22 standard of care, or SOC, which was full-dose

1 prednisone plus either cyclophosphamide or
2 rituximab. As is clear from these data, there are
3 no notable differences in plasma prednisone levels
4 among the three treatment groups. In summary,
5 avacopan does not alter prednisone exposure.

6 Now, let's review the glucocorticoid
7 toxicity data. One of the secondary endpoints was
8 the Glucocorticoid Toxicity Index, or GTI, which
9 quantifies the glucocorticoid toxicities listed on
10 this slide. The Cumulative Worsening Score, or
11 CWS, of the GTI reflects cumulative toxicity over
12 time, glucocorticoid toxicity over time.

13 In the Aggregate Improvement Score, or AIS,
14 toxicities are removed if they improve and can be
15 added if they are new or worsened. With both the
16 CWS and AIS, if a study medication is effective at
17 decreasing glucocorticoid toxicity over time, the
18 scores will be lower in the study medication arm.

19 Both GTI scores demonstrated that
20 glucocorticoid toxicity was reduced in the avacopan
21 group compared to the prednisone group. Of note,
22 the difference in mean CWS and AIS between the

1 prednisone and avacopan groups was greater than
2 10 points, the minimum clinically important
3 difference, including for patients with ANCA-
4 associated vasculitis, at both weeks 13 and 26.
5 GTI was not measured after week 26 because the
6 prednisone taper stopped at 20 weeks, and the goal
7 of using this instrument was to quantify the
8 glucocorticoid toxicity mainly related to study
9 prednisone.

10 Let's next look at safety. A detailed
11 analysis of the safety results is provided in the
12 briefing book. In this presentation, we will focus
13 on hepatic function test abnormalities and
14 infections. In the phase 3 study, a similar
15 proportion of patients reported at least one
16 adverse event in both treatment groups, however,
17 the number of adverse events was lower in the
18 avacopan group compared to the prednisone group.

19 Approximately a quarter of patients in each
20 group experienced a severe adverse event, with
21 71 events in the avacopan group compared to 94 in
22 the prednisone group. The number of serious

1 adverse events was 116 in the avacopan group
2 compared to 166 in the prednisone group.
3 Life-threatening adverse events occurred in
4 5 percent of patients in the avacopan group and
5 9 percent of patients in the prednisone group.

6 There were 2 deaths in the avacopan group,
7 both occurring at least 79 days after avacopan has
8 been stopped. There were 4 deaths in the
9 prednisone group. The percentage of patients who
10 discontinued study medication due to an adverse
11 event was similar between treatment arms.

12 Overall, 22 patients in the avacopan and
13 19 patients in the prednisone group had hepatic
14 test adverse events. Regarding serious events,
15 grade 4 elevations in ALT or AST occurred in one
16 patient in the avacopan and 2 patients in the
17 prednisone groups. The rest of the cases were
18 grade 2 or 3. One patient in the avacopan group
19 had a positive rechallenge with study medication.

20 Bilirubin increases in the same time frame
21 as liver enzyme elevations occurred in 2 patients
22 in the avacopan group and one patient in the

1 prednisone group. Note that all patients were
2 required to have prophylaxis for pneumocystis.
3 Co-trimoxazole was used in over 90 percent of
4 patients in the trial, balanced between groups.
5 Co-trimoxazole has well-documented hepatic
6 toxicity.

7 Alcohol was causative in at least one
8 patient, azathioprine in another, and patients also
9 receive cyclophosphamide, acetaminophen, statins,
10 or repaglinide, which could have caused or
11 contributed to the events. Importantly, all
12 patients recovered with the withdrawal of study
13 medication and other potentially hepatotoxic drugs.

14 Let's next look at infections. There were a
15 lower number of infections and serious infections
16 in the avacopan group compared to the prednisone
17 group. The incidence of serious opportunistic
18 infections was lower in the avacopan group,
19 4 percent compared to 7 percent in the prednisone
20 group.

21 Notably, there were no cases of
22 *Neisseria meningitidis*, an infection of concern

1 with broad complement C5 inhibitors. The
2 specificity of avacopan for the C5a receptor means
3 it does not affect assembly of the C5b through 9
4 membrane attack complex. Other safety aspects are
5 covered in depth in the briefing book. Overall,
6 the safety profile of avacopan was favorable
7 compared to prednisone.

8 The current unmet needs in the treatment of
9 ANCA-associated vasculitis include reducing the
10 high level of toxicity with current therapies,
11 including glucocorticoids; improving upon the low
12 rate of sustained remission and high rate of
13 relapses; addressing the limited effect on renal
14 function of current therapies; and providing
15 treatment that helps improve health-related quality
16 of life.

17 In summary, the selective C-5a receptor
18 inhibitor, avacopan, represents the first potential
19 alternative to daily oral prednisone for ANCA-
20 associated vasculitis. The data from the ADVOCATE
21 trial demonstrate avacopan's ability to address
22 several unmet needs in treating patients with ANCA-

1 associated vasculitis. Patients in the avacopan
2 group not only achieved remission without the need
3 for daily glucocorticoid treatment, but also had a
4 higher sustained remission rate compared to the
5 prednisone group.

6 We saw a significantly lower risk of relapse
7 with avacopan compared to the prednisone group.
8 Avacopan may also be given to sustain remission
9 without the need for additional immunosuppressant
10 drugs such as rituximab. This is especially
11 relevant in the COVID-19 era.

12 The avacopan group without daily
13 glucocorticoids had significantly greater
14 improvement in kidney function compared to the
15 prednisone group, which was particularly evident in
16 patients with stage 4 kidney disease at baseline,
17 and patients treated with avacopan reported greater
18 improvements in health-related quality of life
19 compared to the prednisone group. We saw this
20 particularly in the physical domains, but also in
21 important mental domains such as vitality; that
22 means fatigue, one of the most devastating aspects

1 of ANCA-associated vasculitis. Treatment with
2 avacopan was associated with a significant
3 reduction in glucocorticoid toxicities as measured
4 by the GTI and adverse event assessments, as well
5 as a favorable safety profile.

6 These results demonstrate that avacopan with
7 each targeted mechanism of action could be a
8 valuable treatment for patients with ANCA-
9 associated vasculitis and, importantly, an
10 additional option for patients with ANCA-associated
11 vasculitis.

12 Finally, how do we recommend that avacopan
13 be used in medical practice if approved? We
14 suggest the following. Avacopan should be used as
15 it was studied in ADVOCATE. Avacopan should be
16 given instead of the daily oral glucocorticoid
17 taper to patients with newly diagnosed or relapsing
18 GPA or MPA.

19 Avacopan use should be continued in order to
20 sustain remission to protect renal function and to
21 help improve health-related quality of life in
22 these patients. Avacopan could be continued

1 throughout relapses, consistent with what was done
2 in ADVOCATE. Thank you. We are happy to take your
3 questions.

4 **Clarifying Questions for Applicant**

5 DR. BECKER: We will now take clarifying
6 questions for ChemoCentryx. Please use your
7 raised-hand icon to indicate that you have a
8 question and remember to lower your hand by
9 clicking the raised-hand icon again after you have
10 asked your question. When acknowledged, please
11 remember to state your name for the record before
12 you speak and direct your question to a specific
13 presenter, if you can.

14 If you wish for a specific slide to be
15 displayed, please let us know the slide number, if
16 possible. Finally, it would be helpful to
17 acknowledge at the end of your question with a
18 thank you and end of your follow-up question with,
19 "That is all for my questions" so we can move on to
20 the next panel member.

21 Okay. We will start with Dr. Chung.

22 DR. CHUNG: Thank you. This is Sharon Chung

1 from the University of California, San Francisco.
2 I would like to get a better understanding of the
3 glucocorticoid use outside of the study-mandated,
4 or the protocol-mandated, glucocorticoid use. I
5 think the slide that would be useful to present
6 would be the graph -- I believe it was
7 slide 23 -- that showed the mean daily
8 glucocorticoid use outside of study protocol.
9 Thank you.

10 So I'm going to focus primarily on week 20
11 and after since that is when all glucocorticoids
12 should have been tapered off or stopped, according
13 to the study protocol or the study design. I'd
14 like to get a better sense of how this mean daily
15 oral prednisone dose was calculated. For example,
16 in the avacopan group, I will randomly pick a
17 number. Let's say at week 32, the mean daily oral
18 dose was 2 milligrams a day.

19 Was that calculated across all study
20 participants in the avacopan group or was that only
21 calculated among participants who were taking
22 glucocorticoids at that time?

1 DR. BEKKER: That was calculated across all
2 patients in the avacopan group.

3 DR. CHUNG: Okay. Let's say if it was
4 2 milligrams a day; that means at week 32, for
5 example, 2 times 160, that was 320 milligrams
6 across all participants. I guess it would be
7 treated the same if it was one participant getting
8 320 milligrams a day, for example, in an IV
9 infusion versus 32 participants taking
10 10 milligrams a day. There was no kind of
11 differentiation between that; is that correct?

12 DR. BEKKER: For the purposes of this
13 analysis, that was correct. We have to calculate
14 based on the potency of each individual
15 glucocorticoid. We have to calculate a prednisone
16 equivalent milligram dose, and then we did a sum
17 total of that across the whole population and
18 calculated, obviously, an average total dose and an
19 average daily dose.

20 DR. CHUNG: Okay. And then --

21 DR. BECKER: Could the sponsor
22 please -- Dr. Chung, I'm sorry to interrupt.

1 Would you mind, also please for the sponsor,
2 to identify yourself before you speak, so we can
3 keep track?

4 DR. BEKKER: Yes. I'm sorry.

5 DR. CHUNG: And then if I am understanding
6 correctly as well, outside glucocorticoid use did
7 not preclude a participant from being considered a
8 responder or achieving the primary endpoint at
9 week 26 or week 52.

10 DR. BEKKER: This is Pirow Bekker. If a
11 patient was treated for a relapse with
12 glucocorticoids between week 26 and week 52, that
13 patient was considered a non-responder. For other,
14 a lower dose glucocorticoid use, no. The patient
15 was not penalized for that.

16 DR. CHUNG: So in reviewing the study
17 protocol, to be considered a relapse, if you had
18 one or two minor events on the BVAS, you had to
19 have those events for at least two study visits in
20 order to be considered a relapse; is that correct?

21 DR. BEKKER: This is Dr. Pirow Bekker. Yes,
22 that is correct.

1 DR. CHUNG: Okay. Just for my final
2 question, in the FDA-prepared written document, in
3 one of their tables, they presented examples of
4 participants who received glucocorticoids outside
5 of the study protocol. I will confess that I was
6 surprised by some of them.

7 One of them included a participant who
8 received 250 milligrams of methylprednisolone for
9 3 days just before -- it looks like 4 days - the
10 week 52 endpoint. And yet, the FDA briefing
11 document indicates that this participant was
12 considered a responder because they had not
13 received glucocorticoids 4 weeks before the
14 52 endpoint.

15 Can you confirm that that is the case,
16 or --

17 DR. BEKKER: This is Dr. -- I'm sorry. Go
18 ahead.

19 DR. CHUNG: -- the participants that are
20 example participants presented in the FDA briefing
21 document were considered responders?

22 DR. BEKKER: This is Dr. Pirow Bekker from

1 ChemoCentryx. So there were a handful of cases
2 where there was very high glucocorticoid use, and
3 still the patients were considered to be
4 responders, as you indicated there.

5 We did a sensitivity analysis to essentially
6 impute patients with high glucocorticoid use as
7 non-remitters, either at week 26 or at week 52,
8 depending on when it occurred; and those
9 sensitivity analyses were in line with the ITT
10 analyses.

11 DR. CHUNG: Okay. I guess I would just --

12 DR. BECKER: Are you --

13 DR. CHUNG: -- finish with one question.

14 So just looking at the material that's been
15 provided, even in the avacopan arm, a reasonable
16 percentage, approximately 30 percent, of the study
17 participants received outside glucocorticoids.
18 Even with this, do you feel that it is appropriate
19 for avacopan to be used instead of prednisone, so
20 instead of prednisone completely for this
21 indication, for ANCA-associated vasculitis?

22 DR. BECKER: This is Dr. Pirow Bekker again.

1 I will ask Dr. Peter Merkel to comment from a
2 clinical perspective.

3 DR. MERKEL: Yes. Hi. This is Dr. Peter
4 Merkel. I would say that, yes, I do think it would
5 be appropriate for avacopan to be used for this
6 indication. I like to think of it, overall -- as
7 you pointed out, Dr. Chung, the data is complex
8 but, overall, it is clear that this strategy of
9 giving avacopan would allow many patients to be on
10 substantively less glucocorticoids.

11 If you look at the area under the curve from
12 that slide 23, there's really a substantive
13 difference between the exposure of glucocorticoids,
14 even with the allowed extra glucocorticoids that
15 happened.

16 I also think that the publication of these
17 results, and if the drug is approved, there
18 actually will be more confidence in using the drug
19 without glucocorticoids, although patients will be
20 followed very closely. So I do think it will
21 provide that option for patients and physicians to
22 be able to go on a much lower glucocorticoid

1 regimen overall. Thank you.

2 DR. CHUNG: Thank you. I have no further
3 questions.

4 DR. BECKER: Thank you.

5 DR. BEKKER: Thank you, Dr. Chung.

6 DR. BECKER: Thank you.

7 Let's move forward to Dr. Julia Lewis.

8 DR. LEWIS: Thank you. This is Julie
9 Lewis, nephrologist. I have two questions. I was
10 interested in your emphasis, both in the
11 presentation briefing document and your
12 hypothesis-generating data, on renal effects. I
13 want first to just -- you can correct anything if I
14 got it wrong, and then I'll end with my particular
15 question.

16 It is a subset of the randomized population
17 of about 80 percent, and your CKD4 analyses are in
18 a subset of that subset. Delta GFR and change in
19 urine albumin and creatinine ratio, with 10 as the
20 lower limit, are not acceptable, approvable
21 outcomes for renal disease, and arguably not
22 clinically meaningful for patients. Even if you

1 believed in either of them, your sample sizes were
2 inadequate for both, and some of the benefits
3 reverse after 8 weeks of withdrawal of therapy.
4 And in your briefing document, you suggest avacopan
5 may need to be continued indefinitely.

6 And you can correct me if I got any of those
7 things wrong. But my question is, when you look at
8 the eGFR graph and the albumin results, there is no
9 separation between chronic kidney disease,
10 non-progressive chronic kidney disease, or people
11 who are having AKI episodes related to relapse.
12 Those are all averaged in.

13 Is that correct?

14 DR. BEKKER: This is Dr. Pirow Bekker from
15 ChemoCentryx. So yes, we included all patients
16 with having renal disease at baseline, so it's
17 81 percent of patients in this analysis.

18 I'm going to ask Dr. David Jayne,
19 nephrologist, who was obviously leading this study,
20 to comment on the specifics of your question.

21 Dr. Jayne?

22 DR. JAYNE: Thank you. This is David Jayne

1 from Cambridge in the United Kingdom. You're
2 correct. There were greater falls in albuminuria
3 in the avacopan group compared to the prednisone
4 group, and this was also consistently shown in the
5 phase 2 trial. We also saw greater falls, or
6 rather more rapid falls, in red cell counts with
7 avacopan compared to the prednisone groups.

8 In terms of what happens after week 52, I
9 think this stage is difficult to interpret because
10 there are a number -- there was freedom for
11 physicians to treat with drugs beyond week 52, so I
12 think the data from week 52 to week 60 cannot be
13 necessarily interpreted as showing a falling off of
14 avacopan or a change in the placebo group.

15 I think the important data is the shape of
16 the GFR recovery curve over the course of the trial
17 out to week 52. Now, we do see this pattern of GFR
18 recovery in patients presenting with renal
19 vasculitis with depressed GFR, but the notable
20 result here was the difference between treatment
21 groups which we have not ever seen before. For
22 example, comparing rituximab to cyclophosphamide,

1 we saw no difference in rate of GFR recovery.

2 From what we know of the long-term outcome
3 of these patients, the degree of GFR recovery is
4 related to the long term and stage of renal disease
5 risk, and indeed the long-term patient survival.
6 So from a clinical perspective, we feel this
7 improvement in GFR recovery is important.

8 DR. BEKKER: Thank you, Dr. Jayne.

9 DR. LEWIS: Dr. Jayne, may I follow up that
10 question? And I have another.

11 So again, I think if there was a meaningful
12 difference in GFR that was demonstrated, that would
13 be very intriguing. I think it's hypothesis-
14 generating data at this point. But could you just,
15 again, specifically tell me, those eGFRs represent
16 the average GFR of everybody, including people who
17 are having AKI relapses, including people who have
18 stable non-progressive CKD; is that correct? You
19 didn't in any way distinguish those.

20 DR. JAYNE: No. This is David Jayne again.
21 We did not distinguish those. But I would add the
22 number of AKI episodes due to renal relapse during

1 the trial was small.

2 DR. LEWIS: I'm sorry. I didn't see that
3 data in your briefing document, and I might have
4 missed it.

5 My second question is a question related to
6 adjudication. In page 145 of your briefing
7 document, you indicate that the adjudicators, in
8 their rules of adjudication, only considered
9 disease worsening if there was evidence by an
10 increase in therapy.

11 I wondered how that got communicated to the
12 PIs; how did you know that the PIs would increase.
13 Were they told to increase therapy if there was a
14 worsening? I mean, it seems like there could have
15 been clinically significant worsening episodes and
16 that, for whatever reason, perhaps in their
17 understanding of the protocol, the PIs did not
18 treat, and then the adjudication committee would
19 not have marked those as a clinically relevant
20 event to the patient.

21 DR. BEKKER: This is Dr. Pirow Bekker.

22 Dr. Merkel, based on your experience in

1 using the BVAS in clinical trials, would you please
2 respond to this question?

3 DR. MERKEL: Yes. This is Dr. Peter Merkel.
4 I'm not sure I completely got your question; if you
5 might want to focus it or repeat it.

6 DR. LEWIS: Sure. I'll repeat it.

7 It says on page 145 that the adjudication
8 committee was charged with only considering a
9 disease being worse -- even if the BVAS score was
10 worse and the patient was actually potentially
11 worse, I assume -- if it was evidenced by an
12 increase in therapy.

13 I wondered how you would be assured that you
14 were detecting all the patients who were actually
15 having a clinically meaningful event, but the PI
16 just chose not to increase therapy.

17 DR. MERKEL: Okay. That's a good, detailed
18 question. The adjudication committee communicated
19 back to the sites -- separate and not directly of
20 course -- to clarify any of those situations, so
21 that we'd like to know was therapy increased or
22 not, and if so, why not; so that we could clarify

1 truly whether the investigators felt, based on the
2 data in front of them, this was due to active
3 disease.

4 Really, almost all of the time, a patient
5 who's having significant, as you put, active
6 disease would have a treatment intervention; some
7 change, either additional glucocorticoid, or had
8 another drug, or dropped out of the treatment
9 protocol. So it would be rare for that scenario
10 that you put together to necessarily occur in the
11 setting of the trial.

12 DR. LEWIS: Okay. Thank you. Those are the
13 end of my questions.

14 DR. BECKER: Excellent.

15 I just want to remind everyone, we have
16 about 15 more minutes to ask questions, so if you
17 could keep them brief, that would be terrific.

18 Next on our list is Dr. Kim.

19 DR. S. KIM: Hi. This is Seoyoung Kim. My
20 question is, again, related to the outcome of
21 adjudication. According to the presentation and
22 the briefing document, there were fewer remission

1 cases defined by the investigator than the
2 adjudication committee, and I think the majority of
3 the discrepancies were related to renal assessment.

4 So I was wondering if the sponsor can
5 explain a bit more what was actually going on, and
6 what made the investigator think that they are
7 having not remission, but then the adjudication
8 committee thought there was remission.

9 I have an additional question, but I will
10 probably wait for the response to the first
11 question. Thank you.

12 DR. BEKKER: Yes. I think to illustrate
13 this, could I have slide BE-45, please? Then I
14 will ask Dr. David Jayne to comment on the
15 specifics here. Let me just bring this slide up.
16 So these are cases where they were discrepancies
17 between investigator assessment and adjudicated
18 assessment.

19 Dr. Jayne, maybe you can just briefly
20 comment on some of these.

21 DR. JAYNE: Thank you. This is David Jayne
22 from Cambridge. You highlighted the issue with

1 BVAS assessment of renal items. The BVAS scores
2 hematuria, proteinuria, and marked changes in serum
3 creatinine or GFR, and a common issue with renal
4 vasculitis patients is that you have persistence of
5 hematuria and proteinuria. Indeed, 50 percent of
6 patients have persistence out to 6 months.

7 For the purposes of adjudicating trials of
8 renal vasculitis, we would only permit hematuria or
9 proteinuria to be scored as an active item if there
10 was clear evidence that either that parameter is
11 deteriorating -- in other words, worse than the
12 previous evaluation -- or that there is clear
13 evidence that the serum creatinine is rising, or
14 GFR is falling, or there has been a repeat renal
15 biopsy offering objective evidence of renal
16 vasculitis activity.

17 In the majority of cases in this trial,
18 serum creatinine, if it was high entering, would be
19 falling. And in that situation, if hematuria and
20 proteinuria was consistently scored as a BVAS item,
21 that was then removed. And if there were no other
22 BVAS items, that patient was regarded as having a

1 BVAS item of zero. This was clearly defined in the
2 charter and in the rules that we followed during
3 the adjudication.

4 DR. S. KIM: Thank you. My second question
5 is related to the slide that was just presented,
6 related to quality of life. There was improvement
7 in SF-36 in the components that you showed, but I
8 don't think the difference between the treatment
9 group and the placebo group is beyond the minimum
10 clinically important difference.

11 Can you comment on the actual magnitude of
12 difference rather than the numeric difference?

13 DR. BEKKER: Yes. This is Dr. Pirow Bekker
14 from ChemoCentryx. I will ask Dr. Peter Merkel to
15 comment on this. Peter, Dr. Merkel, has extensive
16 experience using quality-of-life instruments in
17 ANCA-associated vasculitis.

18 DR. MERKEL: Yes. Hi. This is Dr. Peter
19 Merkel. I agree that some of the differences are
20 small and may not all reach the MCID. I think what
21 I found important is that the direction of change
22 is incredibly consistent, favoring the avacopan

1 group versus the prednisone group at each of the
2 ways that we looked at it, for each of these
3 different measures.

4 I think some of them really do reach
5 clinical significance, both statistical
6 significance, as you said, and clinically
7 meaningful differences; and the consistency across
8 each, not just the physical component but the
9 individual subsets, I think was notable. I hope
10 that addresses it. Thank you.

11 DR. S. KIM: Thank you.

12 DR. MERKEL: Also, it was consistent with the
13 EQD as well. Each of the measures are always
14 pointing in the same direction. Thank you.

15 DR. S. KIM: Thank you. I'm through with my
16 questions and responses.

17 DR. BECKER: Great.

18 Let's move on to Dr. Sperati next, please.

19 DR. SPERATI: Thank you. This is John
20 Sperati, adult nephrologist from Johns Hopkins. My
21 question is to Dr. Peter Merkel.

22 Could you speak in more detail on the

1 efficacy results in the cyclophosphamide arm at
2 week 52, as compared to the results you presented
3 in the rituximab arm at week 52? Thank you.

4 DR. MERKEL: Yes. Thank you. This is
5 Dr. Peter Merkel. That's a good question, and I
6 understand where it came from. We presented the
7 data.

8 I think it's important to realize, from my
9 perspective, not just with the study but as a
10 clinician, that at week 52, in the subset stratum
11 of patients who received cyclophosphamide, in that
12 subset, which is a secondary analysis, there was
13 not superiority compared to the prednisone group;
14 however, there was non-inferiority, and they did as
15 well, not better. But they did so without having
16 been exposed to as much prednisone.

17 So from my clinical perspective, having a
18 patient who's going to be on cyclophosphamide and
19 not having to give anywhere near as much
20 glucocorticoids is a win and a benefit to the
21 patients, and I'm not surprised that there wasn't
22 necessarily a difference.

1 The other issue, of course, is sample size.
2 The study was designed for the rituximab and
3 cyclophosphamide groups to be combined, which was
4 our primary analysis. So it gets to be pretty
5 small when you do the subset because only
6 35 percent of patients were on cyclophosphamide.
7 So I think it's still a clear benefit. I hope that
8 answers your question. Thank you.

9 DR. BEKKER: Thanks, Dr. Merkel.

10 This is Pirow Bekker from ChemoCentryx. I
11 just want to also add the avacopan group also
12 showed a benefit with regard to other endpoints.
13 So relapse rate, for example, was lower in the
14 avacopan compared to the prednisone group in the
15 cyclophosphamide stratum. The GTI was lower than
16 in the prednisone group, and the eGFR also was
17 higher in the prednisone group. Thank you.

18 DR. SPERATI: So I will interpret your
19 response to say that the use of avacopan
20 essentially helped maintain that remission from
21 week 26 to week 52 with the use of lower dose
22 steroids.

1 The other interpretation, of course, would
2 be that you don't need as much steroids, and
3 avacopan is not helpful with cyclophosphamide. And
4 simply the similar outcomes in the two arms just
5 reflect that this is what happens when you give
6 cyclophosphamide and a lower dose of steroids, but
7 there was a notable difference with your results
8 with the use of avacopan with rituximab.

9 So I don't know, per the FDA, if we have
10 time for this or if you need to move on to other
11 questions. But it, in my mind, leaves a very
12 unanswered question here as to why there's a
13 differential effect per the results that you've
14 shown.

15 DR. BEKKER: This is Dr. Pirow Bekker.

16 Thank you, Dr. Sperati. We think the total
17 dose of glucocorticoids used in the
18 cyclophosphamide stratum was far less than the
19 total dose that was used in the prednisone group.

20 I think the other thing to note also is
21 during the last 26 weeks of the study, there was a
22 higher percentage of patients who used

1 glucocorticoid in the prednisone arm compared to
2 the avacopan arm, and the total dose was also about
3 50 percent higher in the prednisone group. So it
4 appeared as if the prednisone group in the
5 cyclophosphamide stratum required more steroids.

6 DR. SPERATI: Thank you.

7 DR. BECKER: Okay. Let's move forward to
8 Dr. Nason, please.

9 DR. NASON: Thank you. This is Martha
10 Nason, biostatistician at NIAID. I was wondering,
11 you show Kaplan-Meier with the time to relapse
12 starting at the BVAS of zero. And as the FDA has
13 commented, that's hard to interpret since the start
14 for each person is dependent on when they hit BVAS,
15 and that could be different between people and
16 between groups.

17 I was wondering if you had done the analysis
18 or had the Kaplan-Meier to show a time to BVAS of
19 zero just to give some clarity on whether the two
20 groups, whether that starting point for a BVAS of
21 zero was the same or [indiscernible - audio
22 distorted].

1 DR. BEKKER: Yes. We in fact have a slide
2 of time to BVAS equals zero; so TR-29. This slide
3 shows the time to achieving a BVAS of zero, and it
4 shows that it's similar between the avacopan and
5 the prednisone groups for the ITT population with
6 no significant difference.

7 DR. NASON: Okay. Thank you. That's all.

8 DR. BECKER: Okay. Let's move forward to
9 Dr. Thadhani.

10 DR. THADHANI: Thank you. This is Ravi
11 Thadhani. I thank the sponsor for a great
12 presentation. I have two questions, very quickly.
13 The first one is in the entry criteria among
14 disease patients. Some had new disease; some had
15 relapsing disease.

16 I am curious to know if there were further
17 analyses to see if there was a differential effect
18 of achieving the primary endpoint between those
19 two; namely a statistically different effect, is
20 the first question.

21 DR. BEKKER: Dr. Thadhani, thank you for the
22 question. This is Dr. Pirow Bekker. We looked

1 across subgroups, I think as you've seen in the
2 briefing book, and we did see that patients with
3 relapsing disease appeared to have numerically a
4 higher sustained remission rate in the avacopan
5 group compared to the prednisone group when we
6 compare that to the patients with newly diagnosed
7 disease. But again, I think it's very important to
8 keep in mind that with all of this, there was the
9 reduction, the substantial reduction, in the
10 glucocorticoid load across all of these patient
11 populations.

12 DR. THADHANI: Okay. So just to clarify,
13 there was no statistical difference between those
14 two groups, albeit the sample sizes were small?

15 DR. BEKKER: Exactly.

16 DR. THADHANI: Okay. The next question is,
17 given the primary endpoint being a non-inferiority
18 trial -- obviously the spotlight goes to side
19 effects -- can you just remind us about the
20 patients that had evidence of liver function
21 abnormalities; whether there were any risk factors
22 to identify beforehand who might have had liver

1 function abnormalities; were they anticipated; and
2 also specifically patients who might have recovered
3 while still taking avacopan? Thank you.

4 DR. BEKKER: This is Dr. Pirow Bekker again
5 from ChemoCentryx. We looked at the patients who
6 had the serious adverse events. There were
7 9 patients who had the serious adverse events in
8 the avacopan group; 6 of the 9 patients actually
9 received cyclophosphamide, and the events occurred
10 actually during the period that cyclophosphamide
11 was given. Cyclophosphamide, as you know, is a
12 known hepatotoxic drug.

13 The other thing that was notable was that
14 these patients tended to be more on the older side
15 of the spectrum, which again I think that was also
16 seen in the prednisone group when we compared it
17 there. So it does appear that patients who are
18 more elderly are more susceptible, potentially.

19 I want to emphasize, though, that all these
20 patients had significant confounding factors, so it
21 was difficult to attribute the effect to avacopan
22 specifically.

1 DR. THADHANI: Great. I'm sorry. Just to
2 push that one step further, I believe we saw some
3 patients continuing on avacopan and still finding
4 their liver function tests improved; is that
5 correct?

6 DR. BEKKER: That is correct. There were
7 some patients that actually continued treatment.
8 The one patient had an early elevation in
9 transaminases. The transaminases came back down to
10 normal while avacopan was continued, so that
11 patient completed the study successfully.

12 DR. THADHANI: Thank you. That is all my
13 questions.

14 DR. BEKKER: Thank you, Dr. Thadhani.

15 DR. BECKER: Thank you.

16 Okay. We are going to push this a little
17 bit longer and allow just a few more questions for
18 the people that have been very patiently waiting.

19 Dr. Pisetsky, you're up next.

20 DR. PISETSKY: Thank you. David Pisetsky,
21 from Duke. Could you comment more about the use of
22 rituximab? In the trial, only a single period or a

1 single time of administration was used, whereas now
2 it would be used repeatedly over time.

3 How would we interpret the response to
4 avacopan given the way standard care has evolved,
5 particularly with the use of rituximab?

6 DR. BEKKER: Yes. Thank you, Dr. Pisetsky.
7 It's an important question. We do not consider the
8 rituximab arm to be undertreated. I think that's
9 an important point. ChemoCentryx followed the
10 approved indication in treatment practice for
11 rituximab at the time of our study finalization,
12 which was obviously much earlier than 2016, and
13 rituximab was only approved for retreatment in
14 2018. We discussed the trial at the time with the
15 agency. The agency agreed with the trial design.

16 I will also ask Dr. Merkel to comment on his
17 perspective briefly.

18 DR. MERKEL: Yes. Hi. This is Dr. Peter
19 Merkel from the University of Pennsylvania. This
20 is a good question. I think a couple of things I
21 would point out is that in the first phase, from
22 enrollment to week 26, avacopan was given in

1 addition to rituximab and was able to show the
2 benefit of not having been on the glucocorticoids,
3 which I think is a substantive benefit during the
4 induction of remission phase. So that would be
5 consistent with the care we give now.

6 Not all patients receive rituximab
7 retreatment. Many do, but not all do. Some
8 patients with new onset microscopic polyangiitis,
9 for example, might not. There may be
10 contraindications with rituximab. So we feel that
11 there's really a need for another option beyond
12 rituximab.

13 We have concerns about
14 hyperimmunoglobulinemia, infections, especially in
15 this past year with the vaccination and other
16 issues, and I think that the data does show an
17 additive benefit, and it shows efficacy of avacopan
18 separate from rituximab, which gives us another
19 option that we could add to.

20 So if this drug was approved, I would have
21 that conversation with patients, if they're doing
22 well on avacopan, about perhaps you would stay on

1 avacopan instead of being retreated with rituximab,
2 and have that option. Thank you.

3 DR. PISETSKY: Just to follow up, would your
4 sense be that, if approved, this would be used
5 concurrently with rituximab and avacopan? Would
6 both be used over time, or how would you determine
7 that?

8 DR. MERKEL: This is Dr. Peter Merkel again.
9 I would say it would certainly be used in the
10 induction phase, after a patient with new or
11 relapsing disease. It would be used with rituximab
12 as is done in the phase 3 trial. So I think that
13 would surely be used in combination with rituximab
14 or cyclophosphamide.

15 Then the question is, what about in the
16 so-called maintenance phase at week 26, where you
17 might retreat with rituximab? Again, I think the
18 data show that patients did quite well if they
19 stayed on avacopan, so I think that would be an
20 option that I would discuss with the patient. It
21 may depend on their history of use of rituximab,
22 contraindications, comorbidities, et cetera. It

1 could be used in combination with both.

2 I think we'll have to see how that comes
3 about with practice. But again, there's only one
4 drug approved for ANCA-associated vasculitis, so
5 having another one available gives us those
6 options. Thank you.

7 DR. PISETSKY: Thank you.

8 DR. BECKER: Dr. Kraft, you're next.

9 DR. KRAFT: Walter Kraft from Thomas
10 Jefferson University. This is a question for
11 Dr. Pirow Bekker, slide SP-26.

12 For drug-drug interaction Study 008, for
13 exposures in the healthy volunteers of avacopan,
14 systemic exposure, could you comment on the
15 relative size of AUC or exposure compared to
16 patients who will have reached steady state at
17 approximately 13 weeks of dosing with food as
18 opposed to fasted dosing? Thank you.

19 DR. BEKKER: Yes. Dr. Kraft, this is
20 Dr. Pirow Bekker from ChemoCentryx. We do not have
21 that data. As you've seen from the package, the
22 data that we have are the data that we've included

1 in the package. I think the key thing here for us
2 to consider, though, is the potent CYP3A4
3 inhibitors -- itraconazole, ketoconazole,
4 grapefruit juice -- barely have an effect on the
5 exposure.

6 Avacopan is a weak CYP3A4 inhibitor, based
7 on the exposure increase that we're seeing. At
8 best, you can maybe argue its modest/moderate when
9 you take food in steady state into account. But I
10 think it's hard to imagine that that would actually
11 come close to what a potent CYP3A4 inhibitor would
12 do. I think prednisone's metabolism CYP3A4 is
13 somewhat involved, but there's clearly other CYP
14 enzymes that are involved in the metabolism.

15 DR. KRAFT: Thank you. And then just very
16 quickly, can you confirm, if the material didn't
17 state, that avacopan is not an inhibitor of
18 commonly implicated drug interactions for drug
19 transporters?

20 DR. BEKKER: No. No, it is not. We did
21 look at that, and it's not.

22 DR. KRAFT: That answers my question. Thank

1 you.

2 DR. BEKKER: This is Pirow Bekker again.

3 I'm sorry.

4 DR. BECKER: Okay. We're going to take two
5 last questions. Next on the list is Dr. Shaw.

6 DR. SHAW: Hi. Yes. Thank you. This is
7 Pam Shaw. I just had a quick technical question
8 for perhaps one of the statisticians in the group.
9 I just wanted a little more clarity on the analysis
10 of the primary endpoint.

11 You presented, I think today it was
12 slide 10, that it's a comparison of the risk
13 difference, say, for the sustained remission at
14 52 weeks. And you're doing some kind of inverse
15 variance weight. You're not doing an unweighted
16 analysis. You're doing a weighted analysis, and
17 you're using a randomized strata.

18 So my question is I just wanted to
19 double-check what was the number of strata. I was
20 trying to tell by the tables. I just wanted to
21 confirm my understanding.

22 Then I was wondering if the tests you were

1 using had a specific name. You just call it some
2 kind of summary score estimate, and you referenced
3 a book that is a couple 100 pages. So I was just
4 kind of curious as to what statistical test that
5 was. Thank you.

6 DR. BEKKER: Dr. Shaw, thank you for the
7 question. This is Dr. Pirow Bekker again. I'm not
8 a time statistician. I just have to qualify that.

9 So we did stratify patients based on three
10 aspects. One is being either newly diagnosed or
11 relapsing disease; the second is having either PR3
12 or MPO-positive disease; and the third is receiving
13 either cyclophosphamide or rituximab.

14 Those were the three stratification factors.
15 I cannot give you more specifics on the exact
16 methodology. I apologize. So we could --

17 DR. SHAW: That's fine. So is that a
18 total -- no. Sorry to interrupt you. And that's
19 fine. I just wanted to ask.

20 So then that sixth strata, those were all
21 binary factors?

22 DR. BEKKER: Yes, all binary factors.

1 DR. SHAW: Okay. Thank you.

2 DR. BECKER: Excellent.

3 The final question will be from
4 Dr. Wiesendanger.

5 DR. WIESENDANGER: Oh, thank you. This is
6 Margrit Wiesendanger from Mount Sinai Hospital in
7 New York. I have a clarification question for
8 Dr. Peter Merkel, slide 8.

9 Dr. Merkel, I was just wondering, in terms
10 of the discrepancies between the expert committee,
11 centralized adjudication, and the individual
12 investigators at the sites, on the BVAS, were only
13 new and worsening items scored, or also persistent
14 items?

15 DR. MERKEL: Yes. Hi. This is Dr. Peter
16 Merkel. Thank you. Yes, you know the BVAS. So
17 both persistent and new and worse were scored for
18 that, and they were both considered to be active
19 disease.

20 If something was persistent for three
21 months, the usual practice is it is considered
22 damaged and scored on the Vasculitis Damage Index.

1 We checked to see if that was the case, and the
2 adjudication committee would clarify with sites is
3 that the case so that we were very clear what was
4 considered active disease versus what was
5 considered inactive disease and/or damaged. I hope
6 that addresses your question. Thank you.

7 DR. WIESENDANGER: Yes, that's very helpful.
8 Thank you so much, Dr. Merkel.

9 DR. MERKEL: I will add that this is standard
10 approach now in our trials. Thank you.

11 DR. BECKER: Okay. If there are no other
12 clarifying questions for ChemoCentryx, we will now
13 proceed with the FDA summary presentation from
14 Dr. Rachel Glaser.

15 **FDA Summary Presentation - Rachel Glaser**

16 DR. GLASER: Good morning once again. This
17 is Rachel Glaser, and I will now provide FDA
18 summary remarks. The comprehensive prerecorded
19 presentations have been provided to the panel
20 members to view prior to today's meeting and also
21 have been posted to our website.

22 In this summary, I plan to review the

1 highlights of the agency's presentation. These
2 slides will be familiar to the panel, as they've
3 been taken from the prerecorded slide deck.
4 Therefore, my presentation of these slides will be
5 abbreviated and focus on the salient points we
6 would like the committee to consider in your
7 discussion of the data submitted to support the
8 application for avacopan, for the treatment of
9 adult patients with ANCA-associated vasculitis.

10 To support this new drug application,
11 ChemoCentryx submitted the results from a single
12 pivotal trial, CL010-168. In the agency's
13 presentation, we will refer to the study as CL010.

14 As you have heard, the pivotal trial was a
15 randomized, double-blind, active-controlled study
16 to evaluate the safety and efficacy of avacopan
17 compared to standard of care that is a
18 protocol-specified, 20-week prednisone taper in
19 331 patients with newly diagnosed or relapsed
20 ANCA-associated vasculitis. ChemoCentryx also
21 submitted data from two smaller phase 2 studies,
22 CL002-168 and CL003-168, which will be referred to

1 as CL002 and CL003, respectively.

2 As mentioned, due to differences in the
3 study designs, including different treatment arms
4 with different doses of avacopan and varying
5 concomitant prednisone tapers; shorter treatment
6 duration; small patient populations; and different
7 primary efficacy assessments, the focus of the
8 advisory committee discussion will be data from
9 Study CL010.

10 For additional context, in general, evidence
11 from at least two adequate and well-controlled
12 studies is required to establish effectiveness.
13 However, under certain circumstances, such as for
14 life-threatening and severely debilitating diseases
15 with an unmet medical need and for certain rare
16 diseases, FDA can consider results from a single
17 adequate and well-designed study. In that case,
18 the evidence needs to be statistically persuasive
19 and clinically meaningful.

20 We note that in the case of avacopan, the
21 FDA has exercised this regulatory flexibility to
22 consider a single trial, Study CL010, intended to

1 provide the substantial evidence of effectiveness.

2 This is the schematic of the pivotal study.
3 The applicant has reviewed some of the design
4 features. I would like to highlight two key design
5 features here. First, patients in both treatment
6 arms received background rituximab or
7 cyclophosphamide standard induction therapy.
8 Patients who received cyclophosphamide received
9 azathioprine for maintenance therapy, while
10 patients who received rituximab induction treatment
11 did not receive maintenance therapy.

12 As you have heard in the prerecorded
13 presentation by Dr. Peng, at the time the study was
14 designed, repeat dosing with rituximab was not
15 established as maintenance therapy, however,
16 long-term immunosuppression had been demonstrated
17 to reduce disease relapse and was standard of care.

18 Second, patients with ANCA-associated
19 vasculitis were randomized to two treatment arms,
20 one receiving avacopan 30 milligrams twice daily
21 for 52 weeks, and the other receiving a prednisone
22 taper over 20 weeks. The avacopan arm did not

1 include prespecified glucocorticoids, however,
2 patients on both arms were allowed to receive
3 non-study supply of glucocorticoids.

4 As a result, 86 percent of the patients in
5 the avacopan arm received glucocorticoids at some
6 point between week zero and week 26, and 87 percent
7 of the patients in the avacopan arm received
8 glucocorticoids over the study.

9 The primary endpoints in this trial were the
10 proportion of patients achieving disease remission
11 at week 26 and the proportion of patients achieving
12 sustained remission at week 52. Both endpoints
13 were defined using the Birmingham Vasculitis
14 Activity Score or BVAS.

15 Disease remission at week 26 was defined as
16 achieving a BVAS of zero as determined by the
17 adjudication committee and no glucocorticoids
18 received for treatment of ANCA-associated
19 vasculitis within 4 weeks prior to assessment.
20 Sustained remission required disease remission at
21 weeks 26 and 52, along with no relapses between
22 weeks 26 and 52.

1 Relapse in this trial was defined using the
2 BVAS as the occurrence of at least one major item
3 at a single visit, at least three non-major items
4 at a single visit, or one or two non-major items
5 for at least two consecutive visits after remission
6 had been achieved.

7 I will now summarize the statistical review
8 of efficacy. According to the applicant's
9 sequential multiple testing procedure,
10 non-inferiority was first assessed for remission at
11 week 26, and then for sustained remission at
12 week 52, followed by superiority tested first for
13 sustained remission at week 52, and then remission
14 at week 26.

15 Also of note, secondary endpoints were not
16 controlled for multiplicity, and thus are
17 considered exploratory. You may note minor
18 differences in the estimates for these secondary
19 endpoints in the FDA and applicant presentations
20 and background documents as a result of different
21 analyses. The agency has presented the results
22 from analyses considered to be statistically

1 appropriate, which can be implemented with minimal
2 assumptions.

3 This slide summarizes the analysis results
4 for the primary endpoints, including the two-sided
5 p-values for the significant test in the multiple
6 testing hierarchy. This may differ from the
7 applicant's presentation, which included one-sided
8 p-values. Based on the prespecified sequential
9 multiple testing procedure, type 1 error rate was
10 to be controlled at 0.05 two-sided significance
11 level.

12 The first three tests, that is
13 non-inferiority for remission at week 26,
14 non-inferiority for sustained remission at week 52,
15 and superiority for sustained remission at week 52,
16 were statistically significant, while the test of
17 superiority at week 26 was not statistically
18 significant.

19 While superiority was demonstrated at
20 week 52, we have additional points for your
21 consideration in interpreting the data. Looking
22 further at the results at week 52 where superiority

1 was demonstrated, this slide shows the primary
2 endpoint results stratified by background induction
3 therapy.

4 At week 52, there was a noticeable
5 difference in observed treatment effects between
6 the subgroups that received rituximab and
7 cyclophosphamide induction treatment. The
8 estimated treatment effect in the proportion of
9 subjects achieving disease remission at week 52 was
10 15 percent in the subgroup receiving induction with
11 rituximab and 3.3 percent in the cyclophosphamide
12 plus maintenance azathioprine subgroup.

13 The agency acknowledges that the primary
14 analyses that demonstrated superiority at week 52
15 were based on adjudicated assessment of the BVAS
16 remission. However, if sustained remission is
17 defined using the investigator assessment of BVAS
18 remission, the same analyses resulted in a smaller
19 magnitude of treatment effect and would not support
20 the statistical superiority of avacopan, as
21 summarized on this slide. Differences between the
22 assessments performed by the investigator and the

1 adjudication committee were most frequently related
2 to the attribution of persistent vasculitis, which
3 was not captured in the modified BVAS.

4 As you have heard in the agency's
5 presentations, there are a number of issues that
6 raise concerns about the clinical meaningfulness of
7 the results of Study CL010 to support the use of
8 avacopan in ANCA-associated vasculitis. We ask you
9 to carefully consider whether the efficacy results
10 are robust.

11 As you have heard, at week 26, the
12 proportion of patients in disease remission in the
13 avacopan group was non-inferior to the prednisone
14 group, however, superiority was not demonstrated.

15 Throughout the development program, FDA
16 advised the applicant that a non-inferiority
17 comparison would not be sufficient to show that
18 avacopan can replace glucocorticoids, as it would
19 be difficult to establish whether avacopan is
20 effective or whether an effect was due to the
21 rituximab or cyclophosphamide administered to both
22 treatment arms.

1 In addition, the applicant has not provided
2 adequate data or information that would isolate the
3 effect of prednisone when added to rituximab or
4 cyclophosphamide induction to inform the margin of
5 the non-inferiority comparison in this study. FDA
6 does not find the non-inferiority margin to be
7 adequately justified.

8 Interpretation of the non-inferiority at
9 week 26 is further limited by the large number of
10 patients who received glucocorticoids in the
11 avacopan arm from week zero to 26. The
12 non-inferiority assessment is not the intended
13 comparison of avacopan versus prednisone, but
14 instead a comparison of avacopan plus lower dose
15 glucocorticoids versus higher dose glucocorticoids.

16 Furthermore, based on the study design,
17 which specified the glucocorticoid use in the
18 prednisone arm, it cannot be concluded that any
19 differences in cumulative glucocorticoid use was
20 due to a treatment effect of avacopan and not due
21 to the specifications of the protocol. In total,
22 the treatment effect of avacopan and the magnitude

1 of effect at week 26 are unclear.

2 At week 52, a statistically significantly
3 greater proportion of patients in the avacopan
4 treatment arm achieved sustained remission,
5 demonstrating both non-inferiority and superiority,
6 however, the treatment effect was not consistent
7 across background therapy subgroups.

8 A treatment effect was observed in the
9 rituximab induction subgroup that did not receive
10 maintenance standard of care during the second half
11 of the study, while no meaningful treatment effect
12 was observed in the cyclophosphamide induction
13 subgroup that did receive maintenance treatment
14 with azathioprine.

15 These data suggest that avacopan may have a
16 treatment effect compared to no treatment in the
17 rituximab induction subgroup but doesn't appear to
18 add to the treatment effect of azathioprine
19 maintenance in the cyclophosphamide induction
20 subgroup. This raises questions about whether a
21 treatment effect would be observed if the rituximab
22 subgroup had received standard-of-care maintenance

1 treatment. This further raises the question of how
2 the data from Study CL010 can inform the use of
3 avacopan.

4 In addition, there were differences between
5 the BVAS assessments performed by the investigators
6 and the adjudication committee. When the primary
7 endpoint was analyzed based on the investigator
8 assessment, which may be more reflective of real-
9 world use, the superiority of avacopan at week 52
10 was no longer supported.

11 Study CL010 was designed to compare avacopan
12 to a prespecified prednisone taper, however,
13 non-study supplied glucocorticoids were used by
14 patients in both arms. This figure shows the
15 cumulative total glucocorticoid use, including
16 protocol-specified prednisone and non-study
17 supplied glucocorticoids by mean daily dose in each
18 treatment arm. The avacopan arm is represented in
19 blue and the prednisone arm is represented in red.

20 In the initial portion of the study, because
21 of the protocol-specified prednisone taper, there's
22 a large difference in the mean daily dose between

1 the two arms. After completion of the 20-week
2 prednisone taper, the mean daily dose is comparable
3 between arms during the second half of the study.

4 Patients in the avacopan group received
5 glucocorticoids for treatment of ANCA-associated
6 vasculitis throughout the study. As presented in
7 the FDA background document, table 16,
8 approximately 62 percent of avacopan-treated
9 patients received non-study supplied
10 glucocorticoids for treatment of ANCA-associated
11 vasculitis from week zero to 26. This does not
12 include the use of glucocorticoids as premedication
13 for rituximab infusions. From week 27 to 52,
14 approximately 20 percent of avacopan-treated
15 patients received glucocorticoids for treatment of
16 ANCA-associated vasculitis.

17 In addition to assessment of cumulative
18 glucocorticoid doses used, the applicant assessed
19 the Glucocorticoid Toxicity Index to evaluate the
20 toxicities of glucocorticoids. Greater improvement
21 from baseline was observed in the avacopan arm on
22 the GTI Cumulative Worsening Score and GTI

1 Aggregate Improvement Score at weeks 13 and 26.

2 GTI was not assessed at later time points.

3 The agency recognizes that reducing
4 glucocorticoid use is an important goal in
5 treatment of patients with ANCA-associated
6 vasculitis if it occurs in the context of a
7 treatment that effectively controls disease
8 activity. However, the differences in GTI between
9 the treatment groups are most likely to reflect the
10 study design, which specified the prednisone doses
11 to be used in the control group, rather than dosing
12 glucocorticoids guided by investigator assessment
13 of active disease.

14 To provide further context to the
15 differences in nominal doses of glucocorticoids, I
16 will highlight some important pharmacology
17 features.

18 Avacopan capsules were orally administered
19 twice daily with food in the phase 2 and phase 3
20 studies. Avacopan is a CYP3A4 inhibitor. A
21 clinical study evaluating the drug-drug interaction
22 between avacopan and a sensitive CYP3A4 substrate,

1 midazolam, indicated that when co-administered with
2 avacopan under fasted conditions, midazolam
3 systemic exposure increased by up to 81 percent.

4 The impact of avacopan on CYP3A4 substrate
5 under fed conditions could be higher than fasted
6 conditions, but has not been studied. In addition,
7 the impact may be higher at steady state.

8 In the phase 2 studies, prednisone taper
9 regimens were administered with or without
10 avacopan, and PK samples were collected throughout
11 the study for prednisone plasma concentration
12 measurements. While due to the limited number of
13 subjects, prednisone exposure could not be
14 adequately compared among the treatment arms, the
15 potential exposure increase of prednisone when
16 co-administered with avacopan under fed conditions
17 could not be ruled out.

18 Therefore, while there were differences in
19 nominal doses of glucocorticoids in the pivotal
20 study with lower doses received in the avacopan
21 study arm, based on the potential drug-drug
22 interaction, these differences in nominal doses may

1 not accurately reflect the differences in
2 glucocorticoid exposure.

3 As noted in the FDA background materials,
4 the applicant has set as one of the objectives of
5 the clinical program to demonstrate that avacopan
6 can be steroid sparing.

7 Respectively, Study CL010 was designed to
8 compare avacopan to a standard protocol-specified
9 dosing regimen of high-dose prednisone tapered down
10 over 20 weeks. This design resulted in a lower
11 mean cumulative glucocorticoid dose in the avacopan
12 group from week zero to 26, which was also
13 reflected by the data from the Glucocorticoid
14 Toxicity Index.

15 Based on the study design, there's
16 inadequate information to isolate the effect of
17 prednisone from that of the induction therapies.
18 We also note that the mean cumulative
19 glucocorticoid doses were comparable between
20 treatment groups after week 26.

21 In addition, avacopan is a CYP3A4 inhibitor
22 that has the potential to increase exposures to

1 systemic glucocorticoids, which are CYP3A4
2 substrates, raising further questions about the
3 true difference in glucocorticoid exposures and the
4 proposed rule of avacopan as a steroid-sparing
5 agent.

6 Given these considerations, and that the
7 differences in the cumulative glucocorticoid use
8 was dictated by study design and not by the need to
9 control disease activity, the interpretation of the
10 meaningfulness of the observed differences in
11 glucocorticoid use is challenging, which is one of
12 the points we would like the committee to discuss
13 today.

14 This slide presents the multiple secondary
15 efficacy endpoints prespecified by ChemoCentryx,
16 however, there are limitations to the analysis of
17 these secondary endpoints. When there is more than
18 one study endpoint, care must be taken to ensure
19 that the evaluation of multiple hypotheses does not
20 lead to inflation of the study's overall type 1
21 error probability. The inflation of the type 1
22 error rate can be quite substantial if there are

1 many comparisons.

2 As you have heard in the prerecorded
3 presentations, no secondary endpoints were adjusted
4 for multiplicity, therefore the secondary endpoints
5 are considered exploratory.

6 There were fewer relapses observed in the
7 avacopan group, however, other assessments of
8 increased disease activity, including persistent
9 vasculitis, maintenance of remission, and worsening
10 vasculitis, were similar between treatment groups.

11 In addition, this trial was not designed to
12 assess relapse. The analyses were not based on the
13 randomized population in remission at baseline, and
14 thus the treatment arms may not be comparable for
15 assessing relapse.

16 For example, patients on the prednisone arm
17 appear to achieve remission faster than those on
18 avacopan, and therefore are at risk for relapse for
19 a longer duration of time, raising questions about
20 the interpretability of the relapse exploratory
21 endpoint.

22 There were no clinically meaningful

1 differences in the Vasculitis Damage Index.

2 With regard to renal endpoints, differences
3 in changes in GFR were small and were not sustained
4 after treatment discontinuation. The applicant has
5 noted that GFR difference observed in the pivotal
6 study exceeds the clinically relevant difference of
7 0.75 milliliters per minute. However, the cited
8 GFR difference of 0.75 milliliters per minute is
9 referencing a difference in rate of eGFR change or
10 slope for slowly progressive kidney diseases that's
11 used frequently in trials of diabetic nephropathy.

12 Thus, the cited GFR difference may not be
13 relevant to the assessments of this renal endpoint
14 in the avacopan program, as ANCA-associated
15 vasculitis is a disease that leads to acute kidney
16 injury where the goal of treatment is relatively
17 large improvements in kidney function over a
18 relatively short period of time.

19 Urine albumin to creatinine ratio improved
20 in both arms, and more quickly in the avacopan arm
21 by week 4, however, improvement was similar between
22 treatment arms after this early time point. There

1 were no differences in need for dialysis observed.
2 In addition, as you have heard in Dr. Peng's
3 presentation, the criteria used to define renal
4 disease at baseline may not have adequately
5 selected for patients with active renal vasculitis.

6 There were favorable trends in
7 quality-of-life measures based on SF-36 and
8 EQ-5D-5L, but these measures are not specific to
9 vasculitis. Overall, the secondary endpoints
10 provide limited support of efficacy of avacopan.

11 As you have heard, the applicant also
12 conducted two phase 2 studies. These studies
13 included different study designs compared to the
14 pivotal trial, with different treatment arms with
15 different doses of avacopan and varying concomitant
16 prednisone tapers, shorter treatment duration,
17 small patient populations, and different efficacy
18 assessments.

19 Further, the results did not demonstrate
20 that avacopan 30-milligrams twice daily without
21 concomitant prednisone, that is the applicant's
22 proposed dose, had the greatest treatment response

1 over standard of care.

2 In Study CL002, avacopan with low-dose
3 prednisone had a greater response compared to
4 avacopan without prednisone or a standard
5 prednisone taper without avacopan; while in
6 Study CL003, in which 2 doses of avacopan were
7 compared to placebo and all patients received a
8 prednisone taper, avacopan 10 milligrams was better
9 than avacopan 30 milligrams or placebo. Therefore,
10 the phase 2 studies do not provide additional
11 support for the treatment benefit of avacopan when
12 administered without glucocorticoids.

13 With regard to safety considerations, the
14 FDA notes the avacopan clinical program was
15 relatively small. 239 patients were treated with
16 avacopan, including 166 patients exposed for up to
17 52 weeks in the phase 3 study. Despite the small
18 safety database, some notable differences in the
19 safety profiles between avacopan and the control
20 group were observed.

21 A greater proportion of avacopan-treated
22 patients had hepatobiliary adverse events and

1 serious adverse events, and adverse events related
2 to liver enzyme elevations. There were 5 patients
3 who discontinued study treatment due to hepatic
4 adverse events in the avacopan arm compared to none
5 in the prednisone arm.

6 As discussed in Dr. Peng's presentation,
7 there were 9 liver-related SAEs, including
8 7 avacopan-treated patients compared to
9 2 prednisone-treated patients. Four of the cases
10 were considered probable or highly likely
11 drug-induced liver injury due to avacopan. One
12 patient met Hy's law laboratory criteria. This is
13 considered possible drug-induced liver injury due
14 to the use of an additional medication associated
15 with liver abnormalities. In addition, there were
16 2 patients with angioedema in the avacopan group
17 compared to none in the prednisone group.
18 Elevations in CPK were also observed.

19 Treatment-emergent infections, serious
20 infections, and opportunistic infections were
21 similar or fewer in the avacopan group.
22 Differences were generally due to small numbers of

1 patients. No Neisseria meningitidis infections
2 were reported.

3 Other events, including treatment-emergent
4 adverse events, serious adverse events, and adverse
5 events leading to discontinuation occurred in
6 similar numbers of patients between the treatment
7 groups.

8 Given the small safety database, conclusions
9 regarding rare and latent toxicities, which are
10 more relevant for chronic immunosuppressants like
11 avacopan, are limited. However, imbalances in
12 hepatotoxicity, liver enzyme elevations, and
13 angioedema are observed despite the small sample
14 size.

15 As noted in the FDA background materials,
16 the potential benefits of steroid sparing pertains
17 to sparing the toxicities associated with the use
18 of exogenous glucocorticoids. However, these
19 potential benefits need to be considered in the
20 context of the potential toxicities of the
21 investigational treatment.

22 ANCA-associated vasculitis is a rare and

1 serious disease associated with morbidity and
2 mortality. It is also a disease with high unmet
3 need for new therapies.

4 On this slide are listed the benefits and
5 risk considerations discussed in the prerecorded
6 presentations. We ask you to consider the results
7 at week 26, demonstrating non-inferiority but not
8 superiority. Study CL010 was designed to compare
9 avacopan to a standardized 20-week prednisone taper
10 with background rituximab or cyclophosphamide
11 induction treatment in both arms.

12 The agency has determined that the applicant
13 did not provide adequate justification for the
14 selected non-inferiority margin. In addition,
15 glucocorticoids were used by 86 percent of patients
16 in the avacopan arm through week 26, and therefore
17 the non-inferiority assessment is not the intended
18 comparison of avacopan versus prednisone, but
19 instead a comparison of avacopan plus lower dose
20 glucocorticoids versus higher dose glucocorticoids.

21 Further, based on the study design, which
22 specified the use of glucocorticoids in the

1 prednisone arm, there's an outstanding question of
2 whether the differences in doses of glucocorticoids
3 used were due to a treatment effect of avacopan as
4 opposed to the design of the study.

5 We ask you to consider the interpretation of
6 the superiority of avacopan based on sustained
7 remission at week 52, given that the treatment
8 effect was seen in the rituximab subgroup that did
9 not receive maintenance therapy for the second half
10 of the study, but not in the cyclophosphamide
11 subgroup treated with azathioprine maintenance.

12 In addition, the data from the clinical
13 pharmacology program has identified avacopan as a
14 CYP3A4 inhibitor that has the potential to increase
15 exposures to systemic glucocorticoids, which are
16 CYP3A4 substrates, thus raising further questions
17 about the true difference in glucocorticoid
18 exposures and the proposed role of avacopan as a
19 steroid-sparing agent.

20 We ask you to consider the potential risks
21 of hepatotoxicity, angioedema, and CPK elevations
22 observed, despite the relatively small safety

1 database. And finally, we are interested in the
2 committee's discussion on how avacopan, if
3 approved, would be used in the current treatment
4 approach to ANCA-associated vasculitis, based on
5 the data from a phase 3 clinical study, CL010.

6 Thank you for your attention, and I'll turn
7 the podium back to you, Dr. Becker.

8 **Clarifying Questions for FDA**

9 DR. BECKER: Thank you.

10 We will now take clarifying questions for
11 the FDA. Please use the raised-hand icon to
12 indicate that you have a question, and remember to
13 lower your hand by clicking the raised-hand icon
14 after you've asked your question. When
15 acknowledged, please remember to state your name
16 for the record before you speak and direct your
17 question to a specific presenter, if you can.

18 If you wish for a specific slide to be
19 displayed, please let us know the slide number, if
20 possible. Finally, it would be helpful to
21 acknowledge the end of your question with a thank
22 you and end of your follow-up question with, "That

1 is all for my questions" so we can move on to the
2 next panel member. If you happen to still have
3 your hand up from the first session and you do not
4 have a new question, please remember to lower your
5 hand.

6 Moon will tell me who was first.

7 Dr. Richards, would you like to begin with
8 your questions for the FDA?

9 DR. RICHARDS: Hi. Thank you. This is John
10 Richards.

11 Dr. Glaser, I think it was slide 16. You
12 mentioned that 20 percent of the patients in the
13 avacopan group received prednisone after week 26.
14 Do you know how many patients in the prednisone
15 group got additional prednisone? Because they
16 should have finished all their study prednisone by
17 week 20. Thank you.

18 DR. GLASER: This is Rachel Glaser. I'll
19 ask Dr. Yura Kim to respond.

20 DR. Y. KIM: This is Dr. Yura Kim,
21 statistician from FDA. May I have backup slide 86?

22 This slide shows the proportion of patients

1 who are using non-study supplied steroids. You can
2 see the higher proportion of patients used
3 non-study supplied steroids in the first month, and
4 there are these three peaks which corresponds to
5 week 1, 2, and 3 visits, where there was
6 premedication for rituximab.

7 After the first month, the proportion of
8 patients who used steroids on the avacopan arm was
9 similar across days until the end of treatment. It
10 was around 16 to 20 percent. For the prednisone,
11 the proportion of patients who used steroids was
12 greater after the steroid taper, and at the end of
13 the treatment, it was around 23 percent. Thank
14 you.

15 DR. RICHARDS: Thank you. That's all.

16 DR. BECKER: Excellent.

17 We'll move on to Dr. Thadhani.

18 DR. THADHANI: Thank you. I just want to
19 first congratulate the agency for a very thorough
20 and clear presentation, and if they can just keep
21 this slide up, slide 86, because that pertains to
22 my question.

1 I am curious, Dr. Glaser, if the period from
2 27 to 52 weeks gets closer to what otherwise might
3 be considered a true placebo-controlled trial,
4 given the background rates of steroid use are
5 similar -- obviously, you alluded to the first
6 26 weeks as perhaps confounded by significant
7 steroid use, especially in the rituximab arm, where
8 there's no maintenance therapy -- to perhaps
9 isolate the effect of, obviously, the study agent.
10 Thank you.

11 DR. GLASER: This is Rachel Glaser. There
12 are limitations of these subgroup analyses because
13 the selection of the background induction
14 therapy -- that is whether the patient would
15 receive cyclophosphamide or rituximab -- was not
16 randomized and was at the discretion of the
17 investigator. So therefore, there may be
18 differences between these groups, so comparisons
19 between the subgroups need to be interpreted with
20 caution.

21 DR. THADHANI: Great. Thank you.

22 DR. BECKER: Okay. If that answers your

1 question, Dr. Thadhani, we'll move on to Dr. Kraft.

2 DR. KRAFT: Walter Kraft from Thomas
3 Jefferson University. Getting back to the
4 potential drug interaction, as itraconazole and
5 grapefruit, strong inhibitors, and erythromycin, a
6 moderate inhibitor, have not been shown to increase
7 prednisone concentrations in phase 1 trials, and
8 ketoconazole maybe, at high doses, I guess the
9 question is, does the agency have other evidence to
10 support the suggestion of an increased prednisone
11 exposure?

12 Regardless of the answer to that, would you
13 characterize this as, in general, a mild, moderate,
14 or of high concern for the drug development
15 program? Thank you.

16 DR. GLASER: This is Rachel Glaser. I'll
17 ask Dr. Lei He to respond.

18 DR. HE: Hi. This Lei He, the clinical
19 pharmacology reviewer, FDA. As we presented,
20 avacopan capsules were orally administered twice
21 daily with food in phase 2 and phase 3 studies as
22 they proposed. Remember that food may increase

1 avacopan AUC by 72 percent, and following the
2 proposed dosing regimen, avacopan steady state
3 could be reached by week 13 with four-fold exposure
4 accumulation.

5 In general, the dosage administration in the
6 DDI study should reflect a clinically relevant
7 condition, while in DDI Study CL008, avacopan was
8 administered under fasted condition for 10 days,
9 and such co-administration increased the systemic
10 exposure of midazolam by up to 81 percent.

11 So the impact of avacopan on CYP3A4
12 substrate under fed condition at steady state could
13 be higher, but has not been studied yet.

14 DR. KRAFT: Okay. Thank you.

15 DR. HE: Thank you

16 DR. BECKER: Okay. Next on the list is
17 Dr. May.

18 DR. MAY: Susanne May, University of
19 Washington. I understand that the agency has a
20 perspective that the non-inferiority margin was not
21 adequately justified. The actually observed lower
22 limit of the confidence interval was minus 6 rather

1 than minus 20 percent.

2 I'm wondering whether the agency has any
3 other perspective or comments regarding the
4 observed lower limit of the confidence interval.

5 DR. GLASER: This is Rachel Glaser. I'll
6 ask Dr. Kim to respond. Thank you.

7 DR. Y. KIM: This is Dr. Yura Kim. Before
8 we look at the lower confidence interval of
9 minus 6 percent, I think we should actually focus
10 on what is the question of interest. And here,
11 given that both arms were allowed to get the
12 non-study supplied steroid, as Dr. Glaser mentioned
13 in her presentation, the non-inferiority comparison
14 at week 26 is more appropriately described as
15 non-inferiority between avacopan plus lower dose
16 steroids versus higher dose steroids. And the
17 question to the committee is, is it enough to show
18 the non-inferiority between those two arms.

19 DR. MAY: Thank you. That answers my
20 question.

21 DR. BECKER: Excellent.

22 The next question is for Dr. Kim.

1 DR. S. KIM: Hi. Seoyoung Kim here. My
2 question is two questions. One is, in my review of
3 some of the safety details about patients, I
4 noticed at least one or two patients had a change
5 in their background therapy; so whether there was
6 any further data on the rate or the proportion of
7 backgrounds that are being changed in either
8 treated or placebo group.

9 The second question is, given the concern
10 that FDA has on the DDI between avacopan and
11 prednisone, I was wondering, due to the DDI, if the
12 potency or actual concentration of prednisone is
13 higher but then it's not shown as a respective GTI
14 score -- so I just want to hear what other things
15 could potentially be done to further distinguish,
16 further explain,

17 DR. GLASER: This is Rachel Glaser. I think
18 I'm going to start with the second part of your
19 question with respect to the GTI and potential
20 differences in exposure.

21 The GTI is an instrument that was developed
22 to assess toxicities associated with glucocorticoid

1 use and consists of multiple weighted domains that
2 include biomarkers and clinician-reported measures.
3 As presented by the applicant and the FDA in
4 Study CL010, the GTI scores did seem to track well
5 with the differences in cumulative glucocorticoid
6 doses used, which was expected given the design.

7 With regard to the impact of the potential
8 drug-drug interaction, given the uncertainties with
9 the actual exposures of glucocorticoids in the
10 avacopan group, the magnitude of the actual
11 prednisone exposure differences is unknown.

12 Did that answer the second portion of your
13 question?

14 DR. S. KIM: I know the GTI was not
15 calculated at the end of the trial. So
16 hypothetically, if the GTI was calculated for the
17 second part of this follow-up time and if there was
18 no difference, would you still be concerned about
19 potential issues with the DDI?

20 DR. GLASER: I'll ask Dr. He to respond.

21 DR. CHEN: Hi. This is Jianmeng Chen from
22 FDA. I think the potential impact of the DDI is

1 that, as Dr. Glaser mentioned, the magnitude of
2 difference between glucocorticoid exposure could be
3 smaller than what the dose reflected; and by how
4 much, we don't have the data to assess that.

5 DR. S. KIM: Okay. Thank you.

6 DR. BECKER: Dr. Pissetsky?

7 DR. GLASER: Then, Dr. Kim, I think --

8 DR. BECKER: Oh, forgive me. Go on, please.

9 DR. GLASER: I was just going to address the
10 first part of Dr. Kim's question. I believe it was
11 about the number of patients that may have had a
12 change in background therapy.

13 Did I understand that correctly?

14 DR. S. KIM: Correct.

15 DR. GLASER: I think the FDA will defer to
16 the applicant to respond to that question.

17 DR. BEKKER: Yes. This is Dr. Pirow Bekker
18 from ChemoCentryx. In terms of immunosuppressant
19 use, cyclophosphamide or rituximab, the patients
20 obviously were stratified to receive either one of
21 those three options. Very few of the patients
22 actually changed their immunosuppressive regimen

1 during the study.

2 There were a number of patients who required
3 additional immunosuppressant use, and that
4 percentage was actually higher in the prednisone
5 group compared to the avacopan group, with most
6 commonly rituximab being used in 18 percent of
7 patients in the prednisone group compared to
8 11 percent of patients in the avacopan group.

9 DR. S. KIM: Thank you.

10 DR. BECKER: Thank you.

11 Okay. Let's move on to Dr. Pisetsky.

12 (No response.)

13 DR. BECKER: Dr. Pisetsky, you may still be
14 on mute.

15 (No response.)

16 DR. BECKER: Okay. Let's move on to
17 Dr. Singh, and then we'll come back to you,
18 Dr. Pisetsky.

19 DR. SINGH: Hi. Jasvinder Singh from
20 University of Alabama at Birmingham. Thank you for
21 a great presentation. I have a clarifying question
22 about where there is the maximum separation of the

1 compound from the comparator group for the 52-week
2 outcome for sustained remission.

3 It's very helpful to see the differences
4 between rituximab and Cytoxan, and it seems that
5 the next four are just duplicative of two factors,
6 which is basically the MPA versus GPA, and then the
7 most impressive being relapsing disease, where this
8 actually really separates from the newly diagnosed.

9 Are there any other insights from either the
10 FDA or the sponsor with regards to what is
11 underlying this separation between avacopan and the
12 comparator arm that is seen in the last group?

13 Could there be some organ system involvement
14 that's more in the relapsing disease? Is it the
15 severity of the disease that may be captured by
16 some measure or not? Are there some other
17 characteristics like age or sex, which I don't
18 recall or maybe I missed in the briefing document,
19 that's stratified now?

20 It's very helpful to see that there's not
21 much affecting the Cytoxan background and most of
22 the effect is in the rituximab. It's very

1 impressive to see the difference between the two
2 arms in relapsing disease versus new disease. But
3 I'm just wondering if there are further insights or
4 analyses, either already performed or could be
5 performed, in future studies that can really tell
6 us about what kind of disease do you see a
7 25 percent separation in response rate at sustained
8 remission, because that's what you see in relapsing
9 disease versus new disease. Thank you.

10 DR. GLASER: This is Rachel Glaser.

11 Dr. Singh, you bring up a good point, and I
12 will refer you to figure 12 and 13 in the FDA
13 background document, which includes the forest
14 plots by different demographic subgroups, and it
15 doesn't appear that there were differences based on
16 age, sex, or other demographic variables.

17 With regard to the differences observed for
18 disease-related variables, we can say that these
19 are subgroup analyses, and some of these subgroups
20 are very small. But I don't think we can draw any
21 more definite conclusions than that.

22 DR. SINGH: Thank you. I have no further

1 questions.

2 DR. BECKER: Okay. It looks like we have
3 Dr. Pisetsky back online.

4 Would you like to ask your question?

5 DR. PISETSKY: Yes. David Pisetsky from
6 Duke. I want to get back to the issue of study
7 design, particularly with respect to rituximab.
8 We've heard that in that arm, maintenance was not
9 possible because rituximab had not been approved
10 yet for maintenance. It had only been approved I
11 guess for initiation of therapy. On the other
12 hand, in the other arm, to the best of my
13 knowledge, neither agent had been approved either
14 for remission or induction.

15 So the fact that rituximab had not been
16 approved, did that preclude a design where another
17 agent was used to see if remission could be
18 maintained?

19 DR. GLASER: This is Rachel Glaser. I'll
20 defer to the applicant for this response.

21 DR. BEKKER: This is Dr. Pirow Bekker from
22 ChemoCentryx.. I will ask Dr. Peter Merkel to

1 comment on what the status of the field was at the
2 time of the design for this study.

3 Dr. Merkel?

4 DR. MERKEL: Yes. This is Dr. Peter Merkel
5 from the University of Pennsylvania in
6 Philadelphia.

7 Dr. Pissetsky, the standard of care that we
8 had tested, for example in the RAVE trial, was a
9 single course of rituximab versus 18 months of
10 cyclophosphamide and azathioprine. So that was out
11 there with data that supported those two
12 strategies, and they were equivalent.

13 So it was reasonable to continue that
14 strategy into this trial if rituximab was not going
15 to be retreated because, again, it wasn't approved
16 at that time for that approach. I could argue you
17 could have done it I suppose in a trial. It would
18 have been a different study.

19 I think the trial that was done, that we've
20 reported on, I would point out really test two
21 strategies, and the strategy of protocolizing and
22 attempting to have significantly less

1 glucocorticoids was successful. And as a
2 clinician, I'd feel comfortable using this agent
3 with that strategy in mind, where I know many
4 patients would be able to use less glucocorticoids.

5 So I answered a question and a half, and I
6 apologize, but that's the approach of the
7 rituximab.

8 You're right that you could retreat them
9 with rituximab. That's a different question. I
10 think it's an option, and I think it's good to have
11 a few options for patients. I hope I answered your
12 question. Thank you.

13 DR. PISETSKY: If I may follow up, how do we
14 view this; as an agent that helps induction or
15 remission? And this is -- [indiscernible].

16 (Crosstalk.)

17 DR. MERKEL: Again, this is Dr. Peter
18 Merkel.

19 DR. PISETSKY: -- the sponsor.

20 DR. MERKEL: Okay. Should I answer?

21 DR. GLASER: I'm sorry, Dr. Pissetsky. This
22 is Rachel Glaser. I didn't hear the end of your

1 question and who your question was directed to.

2 DR. PISETSKY: How do we view the agent? Is
3 this something for induction or for remission, or
4 does that distinction matter?

5 DR. GLASER: This is Rachel Glaser. I think
6 that this is one of the questions that we've
7 brought for the committee to discuss today, is
8 where avacopan, if it's approved, would fit in the
9 treatment approach for ANCA-associated vasculitis.

10 DR. PISETSKY: Okay. I have no further
11 question.

12 DR. BECKER: Next in line is Dr. Curtis,
13 please.

14 DR. CURTIS: Hi. This is Sean Curtis, the
15 acting industry representative. I have a question
16 for the FDA, please.

17 If you could, I just want to make sure I
18 understand what was agreed to with the sponsor
19 upfront about the success criteria for the trial.
20 Was it non-inferiority, or remission, at 26 weeks,
21 and sustained remission? Was that considered the
22 primary success criteria, or was there an

1 expectation that superiority also be met for the
2 purpose of an approval?

3 If you could just clarify that, please.

4 DR. GLASER: This is Rachel Glaser. The
5 expectation was that the study would demonstrate
6 that avacopan was superior to the comparator arm
7 and that non-inferiority would not be sufficient.

8 DR. CURTIS: Okay. And that was agreed to
9 between the FDA and the sponsor upfront you're
10 saying.

11 DR. GLASER: This is Rachel Glaser. Yes,
12 that was agreed to during the presubmission
13 discussions about the study design.

14 DR. CURTIS: Okay. Thank you.

15 DR. BECKER: Okay. Thank you.

16 Next in line is Dr. Dellaripa.

17 DR. DELLARIPA: Yes. This might have been
18 addressed already and, again, I apologize if this
19 is repetitive; just a little bit more granularity
20 about the prednisone dosing in the two groups, so
21 the avacopan group versus the prednisone group.
22 The reason I'm asking is because many of us have

1 used in clinical practice low doses of steroids to
2 keep people in remission, or theoretically to keep
3 them in remission, whether it's 2.5 or
4 5 milligrams.

5 Can we get a sense for the number of
6 patients in the avacopan group who were on some
7 degree of steroids? What kind of dose were we
8 really talking about on average? Was there a
9 subgroup that was on 2.5 or more?

10 If that's already been clarified, I
11 apologize for missing that granularity, but that's
12 my question.

13 DR. GLASER: This is Rachel Glaser. I'll
14 ask Dr. Kim to respond to this question.

15 DR. Y. KIM: This is Yura Kim. May I have
16 backup slide 78 first? This shows the non-study
17 supplied steroid use adjusted for a time in study
18 up to week 52. Here I calculated the total steroid
19 use across the patients; and then also calculated
20 the time the patients were on study; and then
21 divided that number to get a sense of how much was
22 used per day.

1 So from week zero to 26, on the avacopan
2 arm, 6.1 milligrams per patient-day was used in
3 comparison to 4.5 milligrams per patient-day in the
4 prednisone group. And for week 27 to 52,
5 1.6 milligrams per patient-day was used in avacopan
6 versus 2.7 milligrams per patient-day in the
7 prednisone group.

8 Then regarding the distribution of use of
9 steroids, can I have slide 81? This is the
10 distribution of the use of steroids. You can see
11 that this is for month 1, so there is slightly more
12 used in the RTX arm because of premedication.

13 Can I have the next slide, please? This is
14 week 5 to week 26, and then the next slide shows
15 week 27 to 52, and these were the cumulative
16 glucocorticoid use. And beginning on the next
17 slide, we can also see the non-study supplied
18 steroid use. This is from day 1 to week 4; then on
19 the next slide we have week 5 to week 26.

20 DR. DELLARIPA: So on that slide there, are
21 you showing the non-study supplied glucocorticoid
22 use -- I mean, these bars are so small I can't tell

1 the difference. Are the daily milligrams per day
2 similar comparing the prednisone group to the
3 avacopan group? That's the question I'm asking.

4 DR. Y. KIM: This is to show how much of the
5 patients were using -- this is to show the
6 distribution, and to see how much is used, I think,
7 slide 78 is better.

8 DR. DELLARIPA: Okay.

9 DR. Y. KIM: Thank you.

10 DR. DELLARIPA: Thank you.

11 DR. BECKER: Okay. Next is up, Dr. Lewis.

12 DR. LEWIS: Julie Lewis, nephrologist,
13 Vanderbilt. My question is to the FDA.

14 In reviewing the briefing documents and your
15 presentation, approximately 134 patients were
16 exposed for greater than 6 months, and I wonder if
17 the FDA can comment on two things; if they had
18 communicated this is not a drug that's been
19 approved; this is our entire safety database about
20 this compound, so it's not actually comparable to
21 rituximab studies.

22 Did you communicate to the sponsor your

1 feelings about the adequacy of exposure to be able
2 to adequately assess the safety of this drug, and
3 also on your feeling about, with even such a small
4 safety set, breaking Hy's law and the liver signals
5 that we're seeing?

6 DR. GLASER: This is Rachel Glaser. I'll
7 start with the first part of your question, which
8 was about the size of the safety database and how
9 that was discussed.

10 For every application, the FDA needs to
11 weigh the potential benefit with the observed risk
12 and potential risk of a product. So the size of
13 the needed safety database is dependent on the
14 feasibility of the size of the study. And for rare
15 diseases, there may be smaller safety databases
16 that need to be evaluated in terms of the efficacy
17 that's demonstrated. So overall, it comes down to
18 the benefit-risk.

19 There were discussions with the applicant
20 that the safety database was small for the
21 assessment of rare and latent toxicities, but
22 again, that needs to be interpreted in light of the

1 efficacy.

2 Then if you could repeat the second part of
3 your question about Hy's law?

4 DR. LEWIS: So I wondered what the FDA's
5 view was, potentially, detecting a signal like
6 this, even though the exposure is a very small
7 group. Does that heighten your concern?

8 DR. GLASER: This is Rachel Glaser. I will
9 ask Dr. Hayashi of the Division of Hepatology and
10 Nutrition to comment.

11 DR. HAYASHI: Yes. Hi. This is
12 Dr. Hayashi. I'm the team lead for the DILI team
13 and DHN, the FDA.

14 Your point's well taken. Yes, it did, and
15 it does weigh on my mind. You have an exposure
16 here that's about 160 some odd patients. That is
17 small. For DILI risk of significance, like a Hy's
18 law case, it's really one or two in a large trial
19 of, like, a thousand, is enough for us to be
20 concerned that that drug will have problems
21 postmarketing.

22 So to answer your question, yes, it is a

1 small set. And for the realm of DILI, it is a
2 concern. So that's what I can say about -- and I
3 don't know if I answered your specific question.

4 Did I?

5 DR. LEWIS: You did, and thank you, and I
6 have no more questions.

7 DR. BECKER: Okay. I see a hand raised by
8 Dr. Pirow Bekker. Is that accurate?

9 DR. BEKKER: Yes. I'm sorry. I was on
10 mute. With regard to the liver cases, I think it's
11 really important to point out that none of the
12 cases actually satisfied Hy's law, as Hy's law is
13 stated.

14 In terms of laboratory criteria, certainly
15 there was one case that had both ALT/AST and
16 bilirubin elevations, and I think the FDA agreed
17 with us that that case actually was not Hy's law.
18 There were too many confounding factors.

19 This is a really complicated patient
20 population with several other drugs and several
21 other comorbidities. We have not seen any evidence
22 of liver enzyme elevations in other settings where

1 we studied avacopan, both in hidradenitis
2 suppurativa and also in C3 glomerulonephritis.

3 So we just wanted to point out two things.
4 One is certainly the safety database and exposure
5 with avacopan now is much larger. At this point in
6 time, we haven't really seen any of these cases in
7 other settings. And secondly, I think it's
8 important to point out that this is a really,
9 really sick patient population. Thank you.

10 DR. BECKER: Okay. Thank you.

11 I'd like to, I think, take the last question
12 in our last couple of minutes before we stop for
13 lunch. I was hoping the FDA would be willing to
14 give a little bit of detail on how they calculated
15 the BVAS from the site investigators in light of
16 the fact that there were differences between the
17 adjudication committee and the site investigators'
18 scores.

19 Did you happen to count those patients that
20 were marked as persistently active? And if you can
21 provide a little bit of detail on that, that'd be
22 great. Thank you.

1 DR. GLASER: Dr. Becker, I believe that we'd
2 like to just go back and comment further about the
3 Hy's law, and then perhaps I could address the
4 question about the BVAS analysis after that.

5 DR. BECKER: Absolutely.

6 DR. GLASER: I'll ask Dr. Hayashi to
7 respond.

8 DR. HAYASHI: Sure. Yes. First of all, I
9 want to go ahead and agree with sponsor. These are
10 difficult cases, but it's not quite correct that
11 there were no cases that met Hy's law criteria.
12 There was one, but it's the matter of which drug is
13 it.

14 I would ask, if you want to bring up a
15 slide, we can take a look at that real quick. It's
16 slide 101. This is this slide in question. It
17 does meet Hy's law. There's not a lot of doubt
18 about that. I didn't find any other etiology for
19 this other than a drug, because they did a fairly
20 good workup here.

21 The problem is, is there were two drugs on
22 board, and simvastatin does compete reasonably

1 well, but I didn't dismiss avacopan completely. I
2 left it as possible, because of the time course and
3 because, as you say, this whole sample size is
4 small and I'm erring on the side of caution here.

5 There was also a little something that was a
6 little inconsistent with simvastatin, which was
7 this patient had a bout of neutropenia that was
8 enough to warrant her a bone marrow biopsy. That's
9 unusual for simvastatin. Otherwise, the case was
10 pretty good for simvastatin.

11 So this is the problem with these patients.
12 But I just did want to point out that there was a
13 Hy's law case. It's just a matter of which drug.
14 Thank you for the comment there.

15 DR. BECKER: Dr. Pirow Bekker, I see your
16 hand raised.

17 DR. GLASER: This is --

18 DR. BECKER: Apologies.

19 DR. GLASER: Hi. This is Rachel Glaser. I
20 just also wanted to note that, again, as we've
21 discussed avacopan is a CYP3A4 inhibitor, that
22 could increase the concentration of CYP3A4

1 substrates. And in this case, simvastatin is a
2 CYP3A4 substrate, so a potential role for avacopan
3 in this patient's course can't be excluded.

4 DR. BECKER: Okay.

5 DR. GLASER: Dr. Becker, I don't know if you
6 want go on to the question about BVAS or whether
7 there's more to discuss about the Hy's law.

8 DR. BECKER: I see Dr. Pirow Bekker's hand
9 up. Is this regarding the Hy's law discussion? Do
10 you have anything else you'd like to add?

11 DR. BEKKER: Just two points quickly for the
12 committee. There were some other drugs here, too,
13 involved. Repaglinide, for example, the dose was
14 increased before the start of the elevation in the
15 transaminases, and only upon discontinuation of
16 repaglinide did the enzymes start to decrease.

17 The other point is that when avacopan was
18 stopped, there was continued increase in elevations
19 in transaminases and bilirubin, and only upon
20 stopping simvastatin and stopping repaglinide did
21 the levels go back to normal.

22 I don't want to belabor this further, but

1 this is an extremely complex case, and I think we
2 do have some disagreements, obviously, on this.

3 Thank you.

4 DR. BECKER: Thank you.

5 Dr. Glaser, if it's a long answer to my
6 question about the BVAS, we can put it off. But if
7 you can provide any clarity before lunch, happy to
8 hear it.

9 DR. GLASER: Can you repeat your question
10 one more time?

11 DR. BECKER: Sure. I was --

12 DR. GLASER: Is it about the investigator
13 discrepancies?

14 DR. BECKER: Indeed. Indeed. I'm curious
15 to know were you counting the site investigators'
16 marking of persistent disease activity as activity,
17 or how did we have such different opinions about
18 the adjudication committee versus the site
19 investigator disease activity on the BVAS?

20 DR. GLASER: I'll ask Dr. Yura Kim to
21 respond.

22 DR. Y. KIM: This is Yura Kim. We don't

1 have the BVAS form the investigators used. We've
2 used scores reported for each item, and there were
3 no details on whether it was scored as persistent,
4 new, or worse.

5 This presentation shows the discrepancy
6 between two arms, and the discrepancy was most
7 frequently related to the treatment of persistent
8 vasculitis, which was not captured in the version
9 administered in the study.

10 Can I have slide 70 also? This was actually
11 a specification in the documents. In the protocol,
12 it was specified to use BVAS version 3, and this
13 paper was cited. The next three points are
14 actually cited sentences from this paper.

15 For BVAS version 3, this paper says, "The
16 persistent boxes for each item were replaced by a
17 single persistent box for the whole form." But in
18 the adjudication form that was provided in the
19 adjudication charter, the single persistent block
20 was omitted.

21 DR. BECKER: Okay. Well, thank you very
22 much for that clarification.

1 We will now break for lunch. We'll
2 convene --

3 DR. CURTIS: Dr. --

4 DR. BECKER: Excuse me?

5 DR. CURTIS: I'm sorry, Dr. Becker. This is
6 Sean Curtis. Can I just ask a very quick follow-up
7 question on this, very briefly?

8 DR. BECKER: And you're going to be between
9 us and lunch. Absolutely. Please.

10 DR. CURTIS: So I'll be very quick.

11 This is Sean Curtis, the industry rep. I
12 just wanted to clarify, again, from the FDA, for
13 the purposes of what the sponsor and the FDA agreed
14 upon, vis a vis superiority criteria that was
15 required.

16 Was it based off of the adjudicated result
17 or investigator assessment?

18 DR. GLASER: This is Rachel Glaser. The
19 prespecified analysis was on the adjudicated
20 results of the BVAS.

21 DR. CURTIS: Okay. Great. Thank you.

22 DR. BECKER: Great point, Dr. Curtis. Thank

1 you for the clarification.

2 Okay. Now we will really break for lunch.
3 We will reconvene in 45 minutes at 1:15 -- actually
4 closer to 40 minutes -- Eastern time.

5 Panel members, please remember there should
6 be no chatting or discussion of the meeting topics
7 with other panel members during the lunch break.
8 Additionally, you should plan to rejoin at around
9 1 o'clock to ensure you're connected before we
10 reconvene at 1:15. Thank you.

11 (Whereupon, at 12:35 p.m., a lunch recess
12 was taken.)

13

14

15

16

17

18

19

20

21

22

1 A F T E R N O O N S E S S I O N

2 (1:17 p.m.)

3 **Open Public Hearing**

4 DR. BECKER: Good afternoon. We will now
5 begin the open public hearing session.

6 Both the FDA and the public believe in a
7 transparent process for information gathering and
8 decision making. To ensure such transparency at
9 the open public hearing session of the advisory
10 committee meeting, FDA believes that it is
11 important to understand the context of an
12 individual's presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of
15 your written or oral statement to advise the
16 committee of any financial relationship that you
17 may have with the sponsor, its products, and if
18 known, its direct competitors.

19 For example, this financial information may
20 include the sponsor's payment of your travel,
21 lodging, or other expenses in connection with your
22 participation in the meeting. Likewise, FDA

1 encourages you at the beginning of your statement
2 to advise the committee if you do not have any such
3 financial relationships. If you choose not to
4 address this issue of financial relationships at
5 the beginning of your statement, it will not
6 preclude you from speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them.

12 That said, in many instances and for many
13 topics, there will be a variety of opinions. One
14 of our goals for today is for this open public
15 hearing to be conducted in a fair and open way
16 where every participant is listened to carefully
17 and treated with dignity, courtesy, and respect.
18 Therefore, please speak only when recognized by the
19 chairperson. Thank you for your cooperation.

20 Speaker number 1, your audio is connected
21 now. Will speaker number 1 begin and introduce
22 yourself? Please state your name and any

1 organization you are representing for the record.

2 MS. OLEVSKY: My name is Kathy Olevsky. I
3 have no financial relationship or interest. I'm
4 63 years old and was diagnosed with ANCA-associated
5 vasculitis when I was 50 years old. At the time,
6 my daughter was 16, my son was 24, and I'd been
7 married for 30 years.

8 Prior to my diagnosis of ANCA-associated
9 vasculitis, I was the CEO of a family business. My
10 husband and I had a chain of five martial arts
11 schools. I was also in the best shape of my life.
12 I was an 8th degree black belt in karate with
13 30 years of martial arts background. I taught
14 martial arts every day and normally worked 10-hour
15 days, 5 to 6 days a week. I swam a mile a day in
16 the mornings before going to work, and I walked
17 10 to 20 miles per week.

18 I was well respected for my organizational
19 skills, my ability to multitask, and my mentorship
20 of all the instructors and managers who worked in
21 the five business locations we owned.

22 I was first diagnosed with ANCA-associated

1 vasculitis MPA in 2009. I was being treated by a
2 rheumatologist for pain with unknown etiology, and
3 I had been to 13 different specialists over a
4 one-and-a-half-year period because my primary care
5 doctor knew me really well, and knew that if I said
6 something was wrong, it was a big deal.

7 Lab work indicated that I did not have
8 rheumatoid arthritis, but I had pain all over my
9 body that was very intermittent. Sometimes it was
10 in my joints, sometimes it was in my upper back,
11 and sometimes in another joint. When a joint hurt,
12 it often felt like a broken bone. I was not able
13 to walk on an ankle for a day, but the next day
14 would be fine. I could not use my wrist for a day,
15 but the next day it would be fine.

16 My rheumatologist decide to put me on
17 methotrexate to see if it would alleviate my pain.
18 I was sent to get lab work prior to starting this
19 medication. My creatinine came back extremely
20 high, and I got a call to say that they thought I
21 might be in kidney failure. So a kidney biopsy
22 confirmed ANCA MPA.

1 The next day, I was admitted to the
2 University of North Carolina Hospital, where I
3 remained for 21 days. My treatment began with
4 doses of 500 milligrams of Solu-Medrol for 3 days
5 in a row while I was taking Cytoxan and having
6 plasmapheresis.

7 The immediate IV dose of Solu-Medrol puffed
8 up my face, gave me acne all over my body. I was
9 hot, then I was cold. I went from being a strong
10 confident leader into someone who cried most of the
11 day, couldn't sleep, and was extremely agitated.

12 I spent the next six years going through the
13 roller coaster of flares and remissions. Each time
14 I would get a mega dose of steroids with either
15 Cytoxan, CellCept, Imuran, or Rituxan. After a few
16 months, each time I would begin to taper from
17 60 milligrams a day down to 5 milligrams. When I
18 got that low, my whole body felt like I had the flu
19 that lasted for weeks. I remember telling my
20 doctor that I thought I was going through a drug
21 withdrawal like an addict.

22 It often took more than three months to get

1 from 5 milligrams to zero. This process was
2 repeated for six years until I was considered in
3 long-term remission, off treatment in 2015. And
4 during the six-year journey, I developed a fat pad
5 on the back of my neck that resembled a hunchback.
6 I also have large fat pads just below my knees. I
7 was told these were most likely permanent side
8 effects of the steroids.

9 In my personal life during that six-year
10 treatment journey, my son at age 24 had to take
11 over my role in our business. My daughter had to
12 get herself into college. She stood behind me as I
13 filled out our financial applications, and she
14 watched me cry because I just couldn't do it. My
15 husband worked from 7 a.m. to 9 p.m. to try to keep
16 our businesses intact. We lost two of our five
17 business locations during that period.

18 Once in remission, I tried really hard to
19 get back to my old self. I am just now at age 63
20 finally coming to terms that I will never quite be
21 the same person. I'm ready to go back on Rituxan
22 if my vasculitis flares. I'm not ready to take

1 steroids. I'll do just about anything to avoid the
2 swings of depression and anxiety that come along
3 with them. Thank you for letting me share my story
4 today.

5 DR. BECKER: Thank you.

6 Speaker number 2, your audio is connected
7 now. Will speaker number 2 begin and introduce
8 yourself? Please state your name and any
9 organization you are representing for the record.

10 DR. CALABRESE: Hi. This is Dr. Len
11 Calabrese, vice chair of the Center for Vasculitis
12 Care and Research at the Cleveland Clinic. I've
13 nothing to disclose. I'd like to thank the
14 committee for the opportunity to talk today. And
15 looking over the program, I'm going to go out on a
16 limb and say that I am the most senior person who
17 still does vasculitis care and research who is
18 speaking today.

19 I say this because I can go back to the
20 early days of my training when it was only a matter
21 of a year or so when Drs. Fauci and Wolff first
22 reported the use of cyclophosphamide in ANCA

1 diseases that we now know, and this was important.

2 It was a breakthrough, and a decade later,
3 Dr. Fauci published his work in The ANNALS,
4 outlining the success of this therapy. And by
5 1992, I was joined by Gary Hoffman, an icon of
6 vasculitis work, and he at that time published the
7 24-year experience of the NIH using
8 cyclophosphamide therapy. It was impressive, it
9 was effective, but it was toxic, and there were
10 relapses associated with this treatment.

11 Over the next 20 years, we made improvements
12 by fits and starts: step-down therapy, a major
13 advance in the treatment of ANCA-associated
14 vasculitis; methotrexate for mild disease;
15 prophylaxis for infections; then a decade or so
16 ago, the major advance of rituximab after many
17 starts and failures of other biologics. And that
18 has been great for our patients and their quality
19 of life; yet the name that can't be named, the
20 elephant in the room, has been the use of
21 glucocorticoids. And I heard this last patient
22 talk, and it moved my heart to hear it.

1 When we talk about all the success in all of
2 our other therapies, what we have never been able
3 to talk about, nor dream about, is to treat this
4 disease with a reduced, or minimal, or even the
5 unthinkable, a non-glucocorticoid based regimen.
6 It is the greatest single need, in my estimation,
7 of the treatment of this disease. It is something
8 we have come to accept.

9 I will point out -- and the rheumatologists
10 on this committee know -- that over the past 5 to
11 10 years, glucocorticoids have fallen out of favor
12 in virtually all of our diseases. We now
13 recognize, based upon robust study, that even
14 low-dose prednisone, less than 5 milligrams a day,
15 is attendant with comorbidities.

16 The most recent ACR guidelines for RA
17 expunged them from use, and people like Michelle
18 Petri has coined the phrase, P is P; "Prednisone is
19 poison in lupus," yet in vasculitis they have been
20 life-saving.

21 If this drug is approved, this represents an
22 extraordinary opportunity to treat people with

1 dramatically reduced doses of glucocorticoids and
2 even beyond. And if I had this disease, after
3 40 years of experience with this, I would insist
4 upon taking this drug.

5 That is my perspective on this. This is a
6 sea change. This is a tectonic moment in
7 vasculitis care, more than rituximab. Thank you
8 for your time.

9 DR. BECKER: Thank you for your comments.

10 Speaker number 3, your audio is connected
11 now. Will speaker number 3 begin and introduce
12 yourself? Please state your name and any
13 organization you are representing for the record.

14 MR. STADLER: Hello. My name is John
15 Stadler. I don't represent any organization, and I
16 have no financial disclosure.

17 I was diagnosed with granulomatosis
18 polyangiitis vasculitis in February 2017. My
19 illness progressed rapidly from diagnosis, and
20 within six months, I was very fragile and not
21 responding to high doses of prednisone and
22 rituximab, and was able to achieve chemical

1 remission with the introduction of
2 cyclophosphamide.

3 Prior to the onset of granulomatosis
4 polyangiitis vasculitis, GPA, I was actively
5 working full-time continually and very active in my
6 daily routines. The effects of GPA and the
7 treatment limited my lifestyle. I'm extremely
8 fortunate to be married and have the support of my
9 wife for the first two years of my diagnosis.

10 My GPA progressed quickly from onset. I had
11 acute joint pain, pneumonia in both lungs, and over
12 six months, necrosis of both of my upper femurs,
13 which was determined to be from prednisone.

14 Further complications from prednisone included
15 weight gain of 30 pounds; irritability; disruption
16 in sleep; a colorectal fissure; and infection from
17 the long-term use of prednisone to cataracts in
18 both eyes.

19 Prednisone was extremely hard to eliminate.
20 I was able after nine months to reduce prednisone
21 to 10 milligrams with recurring of symptoms. I had
22 limited success in tapering prednisone. The taper

1 to zero was extremely difficult and took another
2 nine months.

3 I retired to focus on my health while being
4 administered prednisone and multiple infusions of
5 rituximab and cyclophosphamide. The consequences
6 of early retirement were the loss of income and
7 social interaction with limited exposure to people
8 because of risk of infections.

9 I'm enthused that there's an emergent drug,
10 avacopan, that can provide patients like me with
11 therapeutic results without the complications and
12 risks of prednisone. As you consider your decision
13 today, I ask that you remember my story, that these
14 complications and risks are real in the lives of
15 many patients out there. They're challenging,
16 they're expensive, and they're lasting. Thank you
17 very much.

18 DR. BECKER: Thank you for your comments.

19 Speaker number 4, your audio is connected
20 now. Will speaker number 4 begin and introduce
21 yourself? Please state your name and any
22 organization you're representing for the record.

1 MS. SHAW: Hello. My name is Dianne Shaw.
2 I live in Chapel Hill, North Carolina, where I
3 worked at the University of North Carolina Cancer
4 Center for 30 years as a director of
5 communications, before retiring in 2013. I was
6 diagnosed with vasculitis in 1995. I have no
7 financial disclosures to make.

8 Vasculitis is a life-changing diagnosis. My
9 life went from somewhat predictable to completely
10 unpredictable because I never know when I might
11 have a flare. Despite the very best medical care,
12 over these 26 years I've had 39 surgeries on my
13 ears, eyes, nose, nasopharynx, and airway. My
14 airway shrinks down, once to the size of a drinking
15 straw.

16 I am a positive person, so when people ask
17 me how vasculitis has affected me, I don't often
18 mention my loss of sense of smell, hearing, and
19 singing voice, my twice reconstructed nose, and my
20 permanent facial nerve paralysis. That's just some
21 of what this disease has physically taken from me.
22 But I know I'm lucky. It could be far worse.

1 You can see in the photo that I'm holding a
2 chain of hospital bracelets that represents
3 approximately three-quarters of my surgeries,
4 hospitalizations, and infusions from 1996 to 2016.
5 Flares that erupt with little notice disrupt your
6 work, family, every aspect of your life. You can't
7 plan. I had to give up being a hospice volunteer
8 because I never knew if a flare would make me
9 unable to work with the family.

10 Over my 26 years, I've taken every drug
11 available for vasculitis, some on a
12 compassionate-use basis, but I'm here to talk about
13 one that I've taken throughout my illness;
14 steroids. Steroid is a drug that patients like me
15 love and hate. We love it because it works
16 quickly. We hate it because it disrupts our
17 already disrupted life. And because we have to
18 take it so often, the life-altering side effects
19 take their toll. Unfortunately, for now, there is
20 no other drug option.

21 Being diagnosed with a rare disease is a
22 frightening, isolating experience. You feel out of

1 control, and then must take a drug that further
2 robs you of control. And prednisone is a drug that
3 you have to take repeatedly. Every time steroids
4 are prescribed, I wonder how much closer I am to
5 getting glaucoma, high blood pressure, kidney
6 issues, or other long-term side effects.

7 The daily effects of steroids are taxing. I
8 must write down everything because otherwise I'm
9 likely to forget. Being even more immunosuppressed
10 means that I have to be even more cautious about
11 being around people, so much so that one year I had
12 to miss Christmas with my family because someone
13 had a cold.

14 Steroids cause emotional volatility. I
15 yelled at my center director during a senior staff
16 meeting, realized what I had done, and fled the
17 room in tears. Thankfully, I did not lose my job.
18 And because I couldn't sleep, one year I finished
19 my holiday baking in three nights, a task that
20 usually took at least a week.

21 A treatment shouldn't be worse than the
22 disease or cause patients to suffer such serious

1 side effects. The drug you're considering today is
2 an answer. It represents the part of a drug we
3 love. It works quickly and addresses the part we
4 hate, the life-altering and life-disrupting side
5 effects.

6 If approved, avacopan will be a game changer
7 for patients. It will have an enormous positive
8 impact on patients' lives and quality of life, and
9 will offer an additional therapy option for
10 patients and their doctors to consider. I urge you
11 to approve it. Thank you for your time.

12 DR. BECKER: Thank you for your comments.

13 (Reverberation.)

14 DR. BECKER: I want to make sure everyone is
15 muted if possible. Thank you.

16 Speaker number 5, your audio is connected
17 now. Will speaker number 5 begin and introduce
18 yourself? Please state your name and any
19 organization you are representing for the record.

20 DR. GEETHA: My name is Duvuru Geetha. I'm
21 a nephrologist at the Johns Hopkins Vasculitis
22 Center. I was also the site PI for the ADVOCATE

1 trial, and a member of the BVAS adjudication
2 committee for the trial. I have received
3 consulting fees from ChemoCentryx.

4 First, I would like to thank the committee
5 for the opportunity to talk. I began my role as a
6 nephrologist at the Vasculitis Center back in 2005
7 when the RAVE trial actually started.

8 Patients with renal involvement represent a
9 unique cohort in ANCA vasculitis for a number of
10 reasons. Number one, kidney involvement is common,
11 affecting up to 90 percent of patients with
12 vasculitis. Renal involvement has prognostic
13 significance and is associated with lower patient
14 survival. Fast-acting therapies to quell the
15 inflammation and therapies to prevent the relapse
16 are what is essentially needed to prevent
17 progression to end-stage kidney disease.

18 Over the last two decades, since I've joined
19 the Vasculitis Center, several landmark trials have
20 been conducted in ANCA vasculitis that have
21 revolutionized the treatment. The current
22 standard-of-care treatment still involves giving

1 high doses of prednisone, typically for a period of
2 several months, alongside cyclophosphamide or
3 rituximab to induce remission.

4 In patients with renal involvement, high
5 doses of glucocorticoids are universally given,
6 beginning with a thousand milligrams of IV
7 methylprednisolone daily for three days.

8 Although the current standard of care is
9 effective in reducing inflammation, I do see a
10 significant proportion of patients still
11 progressing to end-stage kidney disease, and there
12 is a substantial proportion of patients that
13 experience disease relapse. Additionally, both the
14 short- and long-term adverse events of
15 glucocorticoids, which include infection risk;
16 diabetes; hypertension; weight gain; and decreased
17 health-related quality of life are really relevant
18 and of significance in patients with kidney
19 disease, as they're associated with higher
20 morbidity and mortality.

21 Therefore, one of the biggest unmet needs is
22 not to have a reduced-dose prednisone regimen, but

1 actually a glucocorticoid avoidance regimen. The
2 ADVOCATE trial results are terrific, and the
3 benefits of avacopan in patients with renal
4 involvement are remarkable, and it was well
5 tolerated. Avacopan is fast-acting and effective,
6 thereby avoiding high doses of glucocorticoids.
7 There was more sustained remission with avacopan,
8 which is also very impressive.

9 The biggest impact of avacopan, I think, is
10 going to be marked reduction in the use of
11 glucocorticoids, therefore avacopan is a major
12 advance and a game changer in ANCA vasculitis. It
13 has a different mechanism of action. It is
14 complementary to other approaches that we use in
15 treating ANCA vasculitis patients. I believe this
16 is an extraordinary moment for both patients with
17 vasculitis and physicians caring for them. Thank
18 you.

19 DR. BECKER: Thank you for your comments.

20 Speaker number 6, your audio is connected
21 now. Will speaker number 6 begin and introduce
22 yourself? Please state your name and any

1 organization you are representing for the record.

2 MR. WADLER: Hi. My name is Jason Wadler.
3 Thank you for giving me the opportunity to share my
4 story and perspective. I do not have any financial
5 disclosures. I live in Glencoe, Illinois, a suburb
6 outside of Chicago. I'm an entrepreneur and a
7 business advisor and investor, married with a
8 teenage daughter and a teenage son.

9 I was diagnosed with a form of vasculitis
10 called GPA, Wegener's granulomatosis, 11 years ago.
11 Before my diagnosis, I was very active with my
12 business, my family, and I love to work out. GPA
13 affected my lungs to the point where I had a very
14 high level of difficulty breathing, my skin and
15 sinuses to the point where it's difficult to work,
16 be involved with my family, and even walk, let
17 alone go to the gym.

18 When I looked up GPA online, I learned there
19 was an 80 percent mortality rate within 5 months,
20 so I know I needed to move fast. I went to
21 Cleveland Clinic, where they confirmed GPA. And
22 once I was diagnosed, I was placed on extremely

1 high doses of steroids. My symptoms began to fade,
2 but other issues began. I gained 40 pounds in a
3 few months, had difficulty sleeping and
4 concentrating, and also had very bad mood swings.
5 Needless to say, I was not an easy person to live
6 with.

7 Once my GPA stopped flaring and I could get
8 off the steroids and other drugs that you heard
9 mentioned before, it took a while for my life to
10 return to a new normal. It was a struggle to lose
11 weight, get back into a routine, and deal with
12 lingering physical issues that limited by movement.

13 Though it has taken a few years, I'm happy
14 to share I've been able to return to the physical,
15 mental, and emotional state I was in pre-diagnosis,
16 but I'm not the same as I was before. And I know
17 this disease can come back at any moment, which is
18 why this new treatment is so important.

19 GPA is a lifelong, life-threatening illness.
20 It can dramatically change, if not end, your life,
21 and the current treatments can sometimes be as bad
22 as the disease. The side effects of long-term

1 damage of prednisone on your life, on my life,
2 physically, emotionally, financially affect not
3 only the quality of life of the person that has
4 GPA, but also their family.

5 We need an option like the one you're
6 reviewing to give us another choice. I ask you to
7 remember my story as you make your decision today.
8 It would be life-changing if there was another
9 option, with medication like the one you're
10 reviewing, that we could take to treat our illness
11 that would not cause additional pain and more
12 health complications, as you've heard from me and
13 others. This new treatment can be a true game
14 changer to help get life back to normal. Thank you
15 again for your time and consideration.

16 DR. BECKER: Thank you for your comments.

17 Speaker number 7, your audio is connected
18 now. Will speaker number 7 begin and introduce
19 yourself? Please state your name and any
20 organization you are representing for the record.

21 MS. ANDERSON: Good afternoon. My name is
22 Trena Anderson, and I have no financial

1 disclosures. In 2014, things were going very well,
2 and I live in the Phoenix, Arizona area. I was a
3 very happy 41-year-old single mother. My daughter
4 was 11 and my son was 7. I worked full-time as a
5 paralegal at an estate planning law firm, and I
6 really enjoyed the work and the fast-paced schedule
7 in our office. Outside of my work, my children and
8 I were able to have a very active social life with
9 our family and friends.

10 In 2015, my health rapidly declined. After
11 three months of struggling, I was hospitalized for
12 a couple weeks, and then diagnosed with a form of
13 vasculitis called granulomatosis with polyangiitis.
14 I started heavy steroids and chemotherapy
15 immediately in the hospital, and my life
16 drastically changed at that moment.

17 I was not able to continue the work that I
18 did, and I had to resign immediately. At the time,
19 I was living in a spacious two-story home, and my
20 parents, who were retired, living in a different
21 city, temporarily needed to move in with me and
22 help for several months.

1 My parents also helped move me to a
2 one-story home that would be more manageable for me
3 physically. I ended up back in ICU a couple times
4 with pneumonia complications and being on oxygen at
5 home for quite a while.

6 The combination of chemotherapy and steroids
7 is a very tough treatment to go through, not only
8 for myself, but also for my family. I ended up not
9 recognizing the person that I had changed into, and
10 my biggest struggle was feeling like I couldn't be
11 the mother that I previously was to my children.
12 With taking high doses of steroids, I was
13 experiencing heavy mood swings, and I started
14 treatment for depression, which I had never
15 experienced before. I also had high blood pressure
16 for the first time and had to start on even more
17 medication to treat that.

18 I had an extremely difficult time getting
19 quality sleep. I gained a lot of weight. I had
20 the moon face and a hump on the back of my neck. I
21 had never been able to get completely off steroids,
22 and I'm going on six years.

1 Being on steroids long term has been very
2 difficult, and I've had years of pain management,
3 physical struggles, and now osteoporosis. I went
4 from regular cortisone injections; Orthovisc
5 injections; macular nerve ablation; using a walker
6 regularly; ending up in a wheelchair full-time for
7 six months; and I ended up having a total knee
8 replacement in both of my knees at the age of 45,
9 all while caring for my children.

10 It's hard enough living with a lifelong
11 illness, but having to deal with more health
12 complications because of the medicine we need to
13 take for our primary illness is a real struggle.
14 Dealing with all the side effects of years of
15 steroid use and long-term damage has not only
16 affected the quality of my life for myself, but
17 also affected the quality of life for my children
18 and the struggles we have all had to learn with my
19 limitations.

20 I ask that you remember my story as you make
21 your decision today. It would be a game changer if
22 there was another option that wouldn't cause some

1 of the complications that steroids cause. And I do
2 understand it's a necessary evil, the medication we
3 need to take to treat our illness. Thank you again
4 for your time. Thank you so much.

5 DR. BECKER: Thank you so much for your
6 comments.

7 Speaker number 8, your audio is connected
8 now. Will speaker number 8 begin and introduce
9 yourself? Please state your name and any
10 organization you're representing for the record.

11 MS. KULLMAN: Good afternoon. My name is
12 Joyce Kullman. I'm the executive director of The
13 Vasculitis Foundation, the international non-profit
14 organization dedicated to advocating for people
15 with all forms of vasculitis.

16 Today I am speaking on behalf of the VF
17 board of directors and our patients about the new
18 drug application for avacopan oral capsules,
19 submitted by ChemoCentryx for the treatment of
20 ANCA-associated vasculitis. The VF has received
21 grants from ChemoCentryx and other industry
22 partners.

1 The VF Works to advance critical research
2 aimed at broadening understanding of vasculitis and
3 optimizing clinical care of patients through
4 earlier diagnosis, better treatments, and perhaps
5 one-day cures. I come to this meeting both as a
6 long-time VF staff person and as a family member.
7 My father was diagnosed with GPA in 1994 and lived
8 for 23 years with the disease and side effects from
9 daily steroid use.

10 In my roles with the VF over the past
11 26 years, I have communicated with thousands of
12 patients about their vasculitis and concerns over
13 steroids. Our patients want a cure for vasculitis,
14 and they want and need more FDA-approved
15 medications to choose from when deciding a
16 treatment plan.

17 AAV is classified as a rare chronic disease
18 with prevalence estimated at 3 cases per 100,000 in
19 the United States. Because AAV mimics more common
20 diseases, our patients are often misdiagnosed and
21 undergo ineffective treatments prior to receiving a
22 correct diagnosis.

1 Delays in diagnosis negatively impact
2 clinical outcomes, including increased morbidity
3 and mortality. Even after AAV is diagnosed,
4 treatment options are limited, and effectiveness
5 varies from patient to patient.

6 Let me repeat this because this is very
7 important. Even after AAV is diagnosed, treatment
8 options are limited. More than 75 percent of our
9 patients have renal involvement. Kidney disease is
10 an important predictor of mortality, and current
11 AAV therapies have limited efficacy on renal
12 function.

13 Currently AAV treatment consists of courses
14 of nonspecific immunosuppressants such as
15 cyclophosphamide or rituximab, combined with daily
16 steroids for prolonged periods of time; in many
17 cases, years or decades, which can carry
18 significant clinical risks, including death from
19 infection. Use of steroids is associated with
20 serious side effects, including diabetes, weight
21 gain, and other problems such as negative
22 patient-reported outcomes and reduced quality of

1 life.

2 AAV symptoms and current treatment options
3 can be emotionally and physically devastating due
4 to persistent pain, fatigue, and loss of physical
5 ability. Patients may not be able to work or
6 participate in social events, and they often talk
7 about the isolation they experience and their
8 frustration with the lack of effective treatments.
9 In our discussion groups, patients share their
10 struggles when trying to reduce or eliminate
11 prednisone because of their concerns over
12 experiencing ongoing damage from the disease versus
13 potential permanent damage from the steroids.

14 We urge the FDA to consider the impact AAV
15 has on our patients. We ask that you consider the
16 significant clinical risks associated with daily
17 steroid use for prolonged periods of time. As the
18 speakers before me have stated, if avacopan is
19 approved for the treatment of patients with AAV, it
20 will be a game changer for our patients and will
21 represent the potential for symptom relief and
22 additional positive health outcomes for our

1 patients. We thank you for the consideration of
2 our comments.

3 DR. BECKER: Thanks very much for your
4 comments.

5 Speaker number 9, your audio is connected
6 now. Please, speaker number 9, will you begin and
7 introduce yourself? Please state your name and any
8 organization you are representing for the record.

9 MR. DOWNES: Hello. My name is Sean Downes.
10 I represent no organization and I have no financial
11 disclosures. I am 61 years of age. I'm an
12 attorney with a solo law practice. I live in
13 Bayside Queens, New York with my wife,
14 Marianne [ph], of 33 years. I have two grown
15 daughters, Molly, 29, and Katie 26.

16 In 2016, I was getting sicker and sicker
17 without knowing what was wrong with me. Visits to
18 numerous specialists didn't give me the answers.
19 Finally on January 4, 2017, my internist did
20 another blood and urine test, and phoned me the
21 next day while I was in court, and instructed me to
22 go to the emergency room, as my kidneys were

1 shutting down.

2 I was hospitalized immediately at NYU, and I
3 can say that the stay was frightening. It required
4 intensive investigation. While searching for the
5 cause of my conditions, I underwent a multitude of
6 tests, including ultrasound; sonograms; CAT scans;
7 MRIs; x-rays; kidney biopsies; bladder cystoscopes;
8 and constant blood and urine testing.

9 All of these tests led to being diagnosed
10 with an autoimmune disease, vasculitis, also known
11 as Wegener's granulomatosis polyangiitis, with
12 renal and pulmonary compromise. I received oxygen
13 and plasma, and was treated with high doses of IV
14 prednisone, up to a thousand milligrams at a time.
15 I had a port inserted in my chest cavity and
16 receive plasmapheresis treatments on an emergency
17 basis to assist my kidneys in functioning, as there
18 was concern of complete renal failure and a need
19 for potential permanent dialysis. My arms were
20 black and blue from blood work, and I received
21 painful abdominal injections.

22 Over the next seven months, I experienced

1 extreme abnormal fatigue, coldness, reddish bumps
2 on my skin, mouth ulcers, runny nose with bloody
3 discharge, and coughing up blood.

4 In November of 2017, I participated in a
5 trial at the Hospital for Special Surgery with the
6 drug being reviewed today, avacopan. This was a
7 time-consuming but worthwhile process. During this
8 time period, I had less swelling in my feet, and my
9 entire body experienced less agitation. I was able
10 to sleep somewhat better and did not experience the
11 same degree of difficulty with word finding and
12 overall thought processing. In general, I was less
13 tired and overall felt better.

14 Although I am thankful for the excellent
15 medical treatment I received and continue to
16 receive, my life has been adversely affected by
17 vasculitis. I am advised it will remain so.

18 Vasculitis has forced me to slow down and
19 become old before my time, both professional and in
20 my personal life. I was extremely active before my
21 diagnosis. I coached the girls CYO swim team for
22 over 15 years and was involved in numerous

1 professional and political organizations, and spoke
2 regularly at events, traveled, and socialized
3 frequently.

4 Most of these activities have ceased or
5 become extremely limited. The high doses of
6 prednisone, Cytosan, methotrexate, and others have
7 caused me to become confused and forgetful. I
8 sometimes have a hard time finding words and
9 expressing thoughts. My hearing has suffered, and
10 the prolonged prednisone, especially the high
11 doses, make me agitated and irascible and cause
12 problems with my sleep. My body has swollen up and
13 my weight fluctuates.

14 My law practice has suffered, and I can no
15 longer plan with certainty on future court
16 appearances, trials, depositions, et cetera. My
17 wife had to take early retirement from the New York
18 City Department of Health to assist me.

19 As you consider your decision today, I ask
20 that you remember my story. It is my own
21 experience, but it tells you the true impact of
22 this condition and the impact of current

1 treatments. Alternative therapies like this one
2 are needed. Thank you.

3 DR. BECKER: Thank you very much for your
4 comments.

5 Speaker number 10, your audio is connected
6 now. Will speaker number 10 begin and introduce
7 yourself? Please state your name and any
8 organization you are representing for the record.

9 DR. GERMAIN: Yes. Thank you. This is
10 Michael Germain. I'm a nephrologist from
11 Springfield, Massachusetts and clinical practice in
12 treating patients with glomerular disease and
13 kidney transplant, and I've been in practice
14 treating patients for 43 years. I do have a
15 conflict of interest. I am on the advisory board
16 for ChemoCentryx.

17 I just want to endorse everything I heard
18 from the prior speakers, both the physicians and
19 the patients, but especially the patients, because
20 this rings true to everything I've seen with my
21 patients that I've treated over many years, and I
22 can tell you that it is a devastating disease.

1 When I started treating people over 40 years
2 ago, there was no treatment, and patients with this
3 disease had a very high mortality. High-dose
4 prednisone was used, but you heard the
5 complications of that and the fact that they would
6 get frequent relapses if you tried to taper them
7 off it. Cytoxan had many toxic effects, including
8 in young women who could be left infertile after
9 the treatment. And more recently with rituximab,
10 treatments have continued to improve.

11 I would emphasize two things here, precision
12 medicine and individualization. This is the era
13 we're moving into. Every patient is different.
14 Every patient has a different pathophysiology and
15 predisposition for how their immune system is
16 activated, so one way of treating the immune
17 disease cannot be the same for every patient.

18 Prednisone is a very nonspecific
19 anti-inflammatory. Cytoxan is a pan-cell cycle
20 inhibitor. Rituximab is a B-cell acting drug. Now
21 we're moving into a drug which is more precise in
22 the disease state with inhibiting complement

1 activation that we're seeing a lot of other
2 glomerular diseases.

3 So for a nephrologist, this is fantastic.
4 We're seeing our ability to individualize for the
5 patients. What one person responds to, the other
6 person might not, or have side effects from, so we
7 can individualize treatment. And precision
8 medicine, we're narrowing down on how to treat the
9 exact pathophysiology in a very narrow way,
10 avoiding unintended consequences outside the narrow
11 path that we want to treat.

12 Finally I'll say, since I've been treating
13 patients from age 6 to 90 with this condition since
14 the 1970s, that I have seen what this does to a
15 patient's life, throughout their life: going on to
16 dialysis; getting a kidney transplant; and in other
17 glomerular diseases seeing recurrence of those.
18 immune diseases in the transplant. I see their
19 children who then have the genetic predisposition
20 for these diseases.

21 So this is a major advance in our treatment,
22 and it's patient-centered and precision, so I

1 support approving this drug.

2 DR. BECKER: Thank you so much for your
3 comments.

4 Speaker number 11, your audio is connected
5 now. Will speak a number 11 begin and introduce
6 yourself? Please state your name and any
7 organization you are representing for the record.

8 DR. CORTAZAR: Good afternoon. This is
9 Dr. Frank Cortazar from the New York Nephrology
10 Vasculitis Center. I'd like to commence by
11 disclosing that I was an investigator for the
12 ADVOCATE trial and previously participated in a
13 scientific advisory board for ChemoCentryx.

14 I'm a clinical nephrologist with an interest
15 in vasculitis and glomerular disease. I was
16 previously on staff at the vasculitis center at
17 Mass General Hospital and now direct a vasculitis
18 center in Albany, New York. In these settings,
19 I've had the privilege of overseeing the care of
20 hundreds of patients with ANCA-associated
21 vasculitis.

22 When considering the current induction of

1 remission regimens for newly diagnosed or relapsing
2 patients, two major areas for improvement
3 immediately come to mind. The first is the need to
4 reduce treatment-related side effects, particularly
5 those driven by steroids. The second is the need
6 for more rapid-acting therapies to mitigate the
7 development of irreversible organ damage. This is
8 of particular importance in patients with renal
9 involvement.

10 The available data suggest that avacopan has
11 the potential to address both of these unmet needs.
12 Overwhelmingly, the most common complaint received
13 from patients receiving induction therapy is side
14 effects from steroids. I've seen the full spectrum
15 of known side effects in my practice, including
16 diabetes, significant weight gain, mood
17 disturbances, osteonecrosis of the hip, and
18 devastating infections, among others.

19 More difficult to capture, patients on
20 steroids often report a general feeling of
21 unwellness and difficulty functioning in their
22 daily lives. After interacting with these patients

1 intermittently on a daily basis, I'm convinced that
2 steroid minimization is key to improving both
3 patient outcomes, as well as the patient experience
4 during treatment.

5 Even if there is no improvement in efficacy
6 as it pertains to achieving remission and is only
7 equivalent, a drug that offers the ability to
8 replace or significantly reduce steroid exposure
9 would be a major advancement for this patient
10 population. In specific patient subgroups, such as
11 patients with difficult-to-control diabetes or
12 significant underlying psychiatric disease, the
13 ability to use steroid-free protocols would be
14 invaluable.

15 Another overarching concern in the patients
16 I treat is the potential for the development of
17 chronic kidney disease, and in severe cases,
18 dialysis dependence. Chronic kidney disease has
19 associated itself with adverse cardiovascular
20 outcomes, and the need for renal replacement
21 therapy in ANCA-associated vasculitis dramatically
22 increases patient morbidity and mortality.

1 It has been observed that patients receiving
2 avacopan have more rapid reductions in albuminuria
3 in patients receiving standard induction therapies.
4 Furthermore, in the ADVOCATE trial, patients in the
5 avacopan arm had significantly greater improvements
6 in kidney function at both 26 and 52 weeks.

7 In aggregate, this can best be explained by
8 more rapid control of renal vasculitis with
9 avacopan, which ultimately translates into less
10 irreversible kidney damage. For patients with the
11 most severe disease, this improved renal recovery
12 can translate into delaying or avoiding the need
13 for renal replacement therapy.

14 In summary, I believe avacopan would be of
15 great benefit to patients suffering from ANCA-
16 associated vasculitis by addressing the two major
17 unmet needs with induction therapy: the need to
18 reduce treatment-related side effects and the need
19 for early and effective disease control.

20 With that, I will conclude my remarks, and
21 thank you for the opportunity to address the
22 committee.

1 DR. BECKER: Thank you very much for your
2 comments.

3 Speaker number 12, your audio is connected
4 now. Will speaker number 12 begin and introduce
5 yourself? Please state your name and any
6 organization you are representing for the record.

7 MR. SHARRETT: My name is Tom Sharretts.
8 I'm 56 years old. I have no financial disclosures.
9 I live with my wife Mary Jo in Enola, Pennsylvania.
10 I have two children, Steven 41, and
11 Melinda 39 years of age, respectively.

12 [Indiscernible - audio distorted] --
13 industrial equity and pressuring company. I have
14 no financial disclosures, and prior to becoming ill
15 and being diagnosed, I was very active, did lots of
16 cardio exercises, and was able to walk 18 holes of
17 golf. After my diagnosis and treatment, I
18 continued to have difficulty walking very long
19 distances, and while I have recovered most of my
20 recall or memory, I continue to have memory lapses,
21 specifically with short-term memory.

22 I continue to work, but I'm not as

1 articulate as I was before my illness. My physical
2 activity is limited, and I ride my bicycle for
3 exercise and no longer walk on the golf course; I
4 ride a cart.

5 Prednisone definitely has negatively
6 impacted my health. I bruise very easily, have
7 acne, experience weight gain, and have trouble
8 sleeping due to my prednisone treatments. My
9 personality and thought process has been negatively
10 impacted to the point that it has strained my
11 marriage, limited by community involvement, and
12 affected my ability to multitask at home, as well
13 as professionally.

14 The beginning of May 2017, I began losing
15 weight, and within 4 weeks, I lost 30 pounds. At
16 that point, I was very dizzy, extremely weak, and
17 began losing my voice. My hearing and eyesight
18 were impaired. Then I lost feeling in my feet and
19 hands and could barely get out of bed.

20 I was sleeping all day and all night.
21 Getting up to go to the bathroom was almost
22 impossible. I was severely anemic and could barely

1 walk, and had no energy or strength. I had
2 difficulty concentrating and would forget what I
3 was saying in the middle of a sentence.

4 My neighbor, a doctor, contacted the
5 foremost expert in GPA, a form of vasculitis, at
6 Johns Hopkins University Hospital in Bayview,
7 Maryland. An appointment was set up for a
8 consultation. It was determined that in order to
9 be absolutely sure that I had GPA, I needed to have
10 a kidney biopsy. The biopsy confirmed that I had
11 GPA. The doctors at Johns Hopkins then prescribed
12 a heavy treatment of prednisone. This was
13 administered as an inpatient for three days.

14 I then was scheduled to meet with a team of
15 doctors at Johns Hopkins to discuss my treatment.
16 They offered me a clinical trial that had just
17 become available. The trial was a double-blind
18 clinical trial, and the trial drug was avacopan. I
19 just had to become a participant in this clinical
20 trial on July 6, 2017.

21 I began infusions of rituximab the middle of
22 July 2017. The infusions were once per week for

1 4 weeks. Immediately before the infusions, I was
2 confused whether it was prednisone or avacopan. I
3 began getting strength and feeling better. Doctors
4 prescribed many tests to include chest x-rays; CT
5 brain scans; chest MRIs; bone density tests; lung
6 capacity tests; nerve damage testing; and types of
7 blood work. Clinical therapy was prescribed to
8 begin building up muscle tone, balance, and
9 cardiovascular exercise. Blood work continued to
10 be prescribed every 2 months, monitoring my vitals.

11 In conclusion, I do not currently know
12 whether I was given avacopan or prednisone during
13 my treatment. I do know that prednisone has many
14 side effects and can even be fatal. I feel very
15 strongly that avacopan must be approved as the drug
16 of choice to eliminate the use of prednisone.

17 Avacopan has been proven through the
18 clinical trial that it is a safe alternative for
19 prednisone. This FDA approval hearing is extremely
20 important to those of us that require this
21 treatment. So for me and for those requiring
22 treatments with prednisone or avacopan, I truly

1 hope that the FDA approves the use of avacopan as
2 the alternative and best treatment for all
3 patients.

4 Thank you for providing me with this
5 opportunity to tell you my story, and I sincerely
6 hope that the FDA approves avacopan.

7 DR. BECKER: Thank you very much for your
8 comments.

9 Speaker number 13, your audio is connected
10 now. Will speaker number 13 begin and introduce
11 yourself? Please state your name and any
12 organization you are representing for the record.

13 DR. SEYMOUR: Thank you for the opportunity
14 to speak today on behalf of the National Center for
15 Health Research. I am Dr. Meg Seymour, a senior
16 fellow at the center. We analyze scientific data
17 to provide objective health information to
18 patients, health professionals, and policymakers.
19 We do not accept funding from drug or medical
20 device companies, so I have no conflicts of
21 interest.

22 Today you are asked to assess data from a

1 single phase 3 clinical trial, comparing avacopan
2 to prednisone at 26 and 52 weeks, and discuss the
3 clinical meaningfulness of avacopan for patients.
4 First, let's talk about the effectiveness of the
5 drug and the limitations of this information due to
6 study design.

7 We know that there is no significant effect
8 of superiority for avacopan at 26 weeks. The
9 applicant would like to claim that there is a
10 difference at week 52 favoring avacopan. However,
11 as stated by FDA in their briefing document, there
12 are issues with the study design that limit whether
13 or not we can interpret a meaningful clinical
14 benefit for avacopan.

15 For example, 87 percent of patients in the
16 avacopan treatment group also received
17 glucocorticoids during the study period. Although
18 it's prespecified that glucocorticoids above the
19 protocol specified taper must be discontinued by
20 week 4, that did not happen. Instead, 86 percent
21 of patients in the avacopan group received
22 glucocorticoids between week zero and 26.

1 FDA scientists state that this effectively
2 creates a different comparison, avacopan plus
3 low-dose glucocorticoids versus higher dose
4 glucocorticoids to patients in the prednisone arm.
5 This causes problems for the interpretability and
6 meaningfulness of the comparison.

7 FDA's briefing document also notes the
8 observed superiority of avacopan at week 52 may be
9 due to differences in the subgroup receiving
10 rituximab instead of cyclophosphamide plus
11 azathioprine. Subgroup analyses suggest that
12 avacopan was only effective when compared to
13 patients who do not receive standard-of-care
14 maintenance with immunosuppression therapy and may
15 be considered undertreated. This obviously raises
16 questions about the adequacy of the comparisons and
17 clinical meaningfulness of data for avacopan at
18 week 52.

19 Moreover, differences in assessments from
20 the investigator and the adjudication committee
21 occurred in 17 patients measured at week 52.
22 Although the applicant states there are more

1 adjudicated relapses after remission in the
2 prednisone group compared to the avacopan group,
3 the study was not designed to assess time to
4 relapse or proportion of relapses. Because
5 remission may be achieved in different types of
6 patients in the two treatment arms, differences in
7 relapse cannot clearly be attributed to the
8 treatment, but instead to differences in the
9 characteristics of the subset of patients included
10 in the analysis.

11 FDA scientists note that this eliminates the
12 advantages of randomization since the treatment
13 arms are no longer balanced with respect to
14 possible confounders, which leads to biased
15 comparisons between treatment arms and limits the
16 interpretability of results.

17 Finally, let's talk about the safety profile
18 of avacopan. Although safety events such as
19 infections were generally similar between groups,
20 FDA scientists point out that the safety database
21 is limited when it comes to reliable assessment of
22 rare or latent events. However, the data show that

1 more patients in the avacopan treatment group had
2 adverse events and serious adverse events
3 associated with hepatic abnormalities, such as
4 liver enzyme abnormalities.

5 Although AAV is a serious disease with an
6 unmet need for new treatments, the FDA must only
7 approve products that have a favorable risk-benefit
8 profile for patients. Due to issues with study
9 design, avacopan has not clearly demonstrated that
10 it is more effective than the existing treatments,
11 and it apparently carries more risk for certain
12 adverse events. We respectfully urge you to
13 consider the shortcomings of the scientific
14 evidence when voting today. Thank you.

15 DR. BECKER: Thank you for your comments.

16 Speaker number 14, your audio is connected
17 now. Will speaker number 14 begin and introduce
18 yourself? Please state your name and any
19 organization you are representing for the record.

20 (No response.)

21 DR. BECKER: Speaker number 14, your audio
22 is connected now. Will speaker number 14 begin and

1 introduce yourself? Please state your name and any
2 organization you're representing for the record.

3 (No response.)

4 DR. BECKER: Okay. We'll move to speaker
5 number 15. Your audio will hopefully be connected
6 now. Will speaker number 15 begin and introduce
7 yourself? Please state your name and any
8 organization you are representing for the record.

9 MR. MASSIE: Good afternoon. My name is
10 Glen Massie. I'm from Springfield, Ohio. I do
11 have a patient consulting relationship with
12 ChemoCentryx, however, I have not been asked to
13 provide any statement on their behalf today, nor am
14 I being compensated to do so. I want to thank you
15 for your time today. I want to thank you for the
16 work that you're doing. It's super important to
17 patients.

18 I was diagnosed with granulomatosis with
19 polyangiitis in 2012, following several months of
20 failing health. My walk, my journey, started with
21 pleural effusions and lasted over a number of
22 months, and led from the pleural effusions into

1 extreme joint pain. One of the patients mentioned
2 earlier about joint pain so bad it felt like broken
3 bones, and that's exactly the way it felt.

4 From the extreme joint pains, it went into
5 petechiae rash, which they were able to biopsy to
6 determine the vasculitis. From there, I went into
7 alveolar hemorrhage, and I just went into
8 respiratory failure, and begin to go into kidney
9 failure as well.

10 After a couple weeks in ICU here in
11 Springfield, I was flown to Cleveland Clinic where
12 I was treated up there for a couple of months. I
13 spent two weeks on the ventilator at Cleveland
14 Clinic. I spent a month in ICU.

15 Once I was released from ICU, I spent about
16 a week, almost two weeks, in step-down. When I was
17 able to come out of step-down, I was transferred
18 back to Springfield, where I spent a month and a
19 half in acute rehab, where I learned to walk again.
20 I learned to talk again. I had to learn to feed
21 myself again. Everything about the disease had
22 totally taken everything away from me.

1 The biggest thing that I would say it took
2 away from me, though, is when I left Cleveland
3 Clinic, I felt like I left a part of me behind. I
4 left there a totally different person than I went
5 up, and that still kind of works on me today.

6 The other things that I had were
7 plasmapheresis treatments, cyclophosphamide, and
8 once I was discharged, I was on cyclophosphamide
9 for about nine months. I also received high-dose
10 prednisone. I went from cyclophosphamide to Imuran
11 treatment along with prednisone, and then
12 ultimately to rituximab. The one consistent thing
13 about my treatment has always been prednisone.

14 Currently, I receive 10 milligrams
15 maintenance per day, however, that ranges from 10
16 to 15 milligrams per day. I have had a number of
17 relapses. I've only had one six-month period that
18 was considered remission for me.

19 I would like to also say that through this
20 I've experienced weight gain; diabetes; neuropathy;
21 osteoporosis; vision changes; hearing loss; and
22 then most of all, memory loss, short-term memory,

1 and mood swings. And I've had mood swings that
2 range from agitation to euphoria.

3 I'm a fairly new grandfather. I have three
4 grandchildren. I would love nothing more than to
5 be able to get on the floor and play with my
6 grandkids. But what I hope for most of all, for
7 myself and the other patients you've heard
8 from -- these folks are like family to me. We've
9 gone through something most people would never
10 understand. I hope for an improved quality of life
11 for each and every one of us that deal with
12 vasculitis and also deal with the side effects of
13 prednisone. And I want to thank you again for your
14 time and your dedication to this process.

15 DR. BECKER: Thank you very much for your
16 comments.

17 We'll go back to speaker number 14. Your
18 audio is connected now.

19 MR. TAYLOR: Hi. My name is Erwin Taylor,
20 and I live here in Burlington, North Carolina.
21 I've been married for 27 years now to my lovely
22 wife Darlene. We are blessed to have three grown

1 kids married, Justin and Skylar [ph]. I have no
2 financial disclosures.

3 In 2017, I started a new business as an
4 entrepreneur of a start-up marketing company. It
5 was during that transitional period that I first
6 noticed that my urine was foamy. I remember
7 reading a post on the internet about foamy urine
8 and the possible connections to protein in the
9 urine. After a diagnosis at UNC that confirmed my
10 symptom as ANCA vasculitis, I started on the road
11 that has changed my life.

12 I went from relatively a healthy man who ate
13 any and everything, to someone who needed to cut
14 back on my intake of spicy, salty, and certain
15 foods known to make me retain more water or even
16 irritate my gout symptoms. I was prescribed more
17 medications than I had ever taken. I used to mock
18 my parents and my in-laws for the number of
19 medications that they took, only to find out that I
20 was now in their company.

21 I was hospitalized for a few days in April
22 of 2017 due to respiratory problems, and I was told

1 about a clinical trial for avacopan. My wife
2 Darlene was consistently writing notes and
3 researching the internet for each new piece of
4 information after each of the test results. The
5 doctors treating me during my hospital stay did an
6 excellent job of describing my disease and my
7 current health. I was told UNC was a premier
8 research hub for ANCA vasculitis. Together, this
9 gave me the confidence that my well-being was being
10 considered, so I decided to enroll.

11 During the trial, I was able to keep living
12 with reasonably good health, which was a good
13 relief for how I felt before all of the medication
14 I'd been taking and the way that it made me feel,
15 which was exhausted. I remember a trip to China
16 with my wife, and I was unable to do a lot of the
17 walking. I was exhausted, and I preferred just to
18 sit at the bottom of the Buddhist temples where we
19 were touring, rather than climb those stairs. I
20 definitely didn't play basketball with the kids
21 anymore. They were too competitive, and I was too
22 tired out.

1 If I hadn't started the trial, I am sure my
2 life would have been like I would have been unable
3 to go on with those medications. The drugs you are
4 reviewing today made a difference in my life. It
5 helped the average working person like myself, and
6 I pray that you guys make a decision that helps
7 others. Thank you so much.

8 DR. BECKER: Thank you for your comments.

9 The open public hearing portion of this
10 meeting has now concluded and we will no longer
11 take comments from the audience. The committee
12 will now turn its attention to address the task at
13 hand, the careful consideration of the data before
14 the committee, as well as the public comments.

15 We will now proceed with the charge to the
16 committee from Dr. Rachel Glaser.

17 **Charge to the Committee - Rachel Glaser**

18 DR. GLASER: Good afternoon. This is Rachel
19 Glaser. Thank you all for the fruitful discussion
20 both this morning and afternoon. As we prepare for
21 the committee discussion and voting, I want to
22 provide a brief reminder of the regulatory

1 framework upon which our decision making is based
2 and the questions to be discussed and voted upon.

3 The efficacy standard in the regulations
4 describes the need for substantial evidence from
5 adequate and well-controlled investigations
6 supporting the language in labeling. Avacopan was
7 granted orphan drug designation. Orphan
8 designation does not alter the standard regulatory
9 requirements and process for obtaining marketing
10 approval. Safety and effectiveness of a drug must
11 be established through adequate and well-controlled
12 studies.

13 The regulations governing determinations of
14 effectiveness are further described in guidance
15 documents from the agency. The gold standard is
16 evidence from at least two adequate and
17 well-controlled studies. However, in some specific
18 settings, a finding of substantial evidence of
19 effectiveness to support a claim can be made based
20 on one adequate and well-controlled clinical
21 investigation plus confirmatory evidence. Key
22 factors to allow for such a determination include

1 the persuasiveness of evidence from a single study
2 and the robustness of confirmatory evidence.

3 The guidance indicates the reliance on a
4 single study should be limited to situations in
5 which the trial has demonstrated a clinically
6 meaningful and statistically very persuasive
7 effect. There are situations where a single study
8 of a new treatment may be sufficient to support a
9 marketing application; in particular when there is
10 independent substantiation from related supportive
11 study data and/or when evidence from the single
12 study is both clinically and statistically very
13 persuasive.

14 With respect to safety, an application can
15 be refused to be approved in one of several
16 circumstances as listed on the slide. These
17 include information that the drug is unsafe or that
18 there's insufficient information about the drug to
19 determine whether the product is safe for use under
20 the conditions prescribed, recommended, or
21 suggested in its proposed labeling.

22 I will now move on to the discussion points

1 and voting questions. Question 1 is a discussion
2 question. We ask the committee to discuss whether
3 the results at week 26 support a clinically
4 meaningful benefit of avacopan. We ask you to
5 include the following elements in your discussion:
6 the appropriateness of a primary non-inferiority
7 comparison; the use of additional non-study
8 supplied glucocorticoids in the avacopan group; and
9 the lack of statistically significant superiority
10 at week 26.

11 Question 2 is also a discussion question.
12 We ask the committee to discuss whether the results
13 at week 52 support a clinically meaningful benefit
14 of avacopan. We ask you to include the following
15 elements in your discussion: the impact of the
16 lack of maintenance therapy in the rituximab
17 subgroup and the discrepancies in BVAS remission
18 responses as determined by the adjudication
19 committee versus the investigators.

20 Then the committee will be asked to discuss
21 whether the data support the use of avacopan as a
22 steroid-sparing agent in ANCA-associated

1 vasculitis. Include discussion of the use of
2 additional non-study supplied glucocorticoids in
3 the avacopan group and the impact of a potential
4 increase in glucocorticoid exposures due to CYP3A4
5 inhibition by avacopan.

6 This will be followed by discussion point 4,
7 where we ask you to discuss how avacopan, if
8 approved, should be used in the treatment approach
9 to ANCA-associated vasculitis based on the data
10 from the clinical program; that is, discuss how the
11 data from the clinical program presented today
12 inform where avacopan would fit in the management
13 of ANCA-associated vasculitis; for example, whether
14 avacopan should be used instead of steroids,
15 instead of other treatments, as part of induction
16 treatment, as part of maintenance treatment, or
17 more broadly.

18 The remaining questions are voting
19 questions. The committee will be asked to vote
20 whether the efficacy data support approval of
21 avacopan for the treatment of adult patients with
22 ANCA-associated vasculitis, GPA and MPA. If you

1 voted no, we ask that you discuss what additional
2 data, if any, will be needed. If you voted yes,
3 please provide comments.

4 Then the committee will be asked to vote on
5 whether the safety data are adequate to support
6 approval of avacopan for the treatment of adult
7 patients with ANCA-associated vasculitis, GPA and
8 MPA. If you voted no, we ask that you discuss what
9 additional data, if any, will be needed, and if you
10 voted yes, you can also provide comments.

11 The last voting question is whether the
12 benefit-risk profile is adequate to support
13 approval of avacopan 30 milligrams twice daily for
14 the treatment of adult patients with ANCA-
15 associated vasculitis, GPA and MPA. If you voted
16 no, we ask that you discuss what additional data,
17 if any, will be needed, and if you voted yes,
18 please also provide comments.

19 Thank you, and I will now turn the meeting
20 back to you, Dr. Becker.

21 **Questions to the Committee and Discussion**

22 DR. BECKER: Thank you, Dr. Glaser.

1 The committee will now turn its attention to
2 address the task at hand, the careful consideration
3 of the data before the committee, as well as the
4 public comments.

5 We will now proceed with the questions to
6 the committee and panel discussions. I would like
7 to remind public observers that while this meeting
8 is open for public observation, public attendees
9 may not participate, except at the specific request
10 of the panel. After I read each question, we will
11 pause for any questions or comments concerning its
12 wording, then we will open the question to
13 discussion.

14 Question 1. Discuss whether the results at
15 week 26 support a clinically meaningful benefit of
16 avacopan. Include discussion of the following:
17 the appropriateness of a primary non-inferiority
18 comparison; the use of additional non-study
19 supplied glucocorticoids in the avacopan group; and
20 the lack of statistically significant superiority
21 at week 26.

22 Does anyone on the committee have any issues

1 or questions about the wording of the question?

2 (No response.)

3 DR. BECKER: If there are no questions or
4 comments concerning the wording of the question, we
5 will now open the question to discussion.

6 Okay. I'll start with Dr. Singh.

7 (No response.)

8 DR. BECKER: Dr. Singh, would you like to
9 comment? If so, you're still on mute.

10 DR. SINGH: This is Dr. Jasvinder Singh from
11 the University of Alabama at Birmingham. I think,
12 based on the presentation by the FDA scientists and
13 the sponsor, it's apparent that the 26-week data do
14 not show superiority at that time point, and the
15 use of glucocorticoids with non-study in the
16 avacopan group really makes the interpretation of
17 data very difficult at the 26-week time point.

18 So I think that in terms of the lack of
19 superiority, it actually does not give as much
20 confidence for this time point favoring avacopan
21 over the comparator group.

22 The other issues were the glucocorticoid use

1 has been highlighted by the FDA scientific team.
2 One question that I have perhaps that I'm not clear
3 on is, is there perhaps a way forward as to what
4 might be a non-inferiority comparison margin for a
5 later time point or if there is only a superiority
6 design that is appropriate for this time point.

7 I'd appreciate comments from anyone who has
8 some insights into this and whether there was any
9 proposal by the company or by the FDA as to what
10 might be an acceptable margin if 20 percent is not,
11 in case that design is still a
12 rival [indiscernible] design. That's the end of my
13 comment.

14 DR. BECKER: Thank you, Dr. Singh.

15 Since you asked the FDA first, would anyone
16 from the FDA like to comment on the question at
17 hand, as far as what would be a more appropriate
18 non-inferiority range?

19 DR. GLASER: This is Rachel Glaser. I'm
20 going to ask Dr. Kim to respond.

21 (Pause.)

22 DR. ROTHWELL: This is Dr. Rothwell from

1 statistics. I think Dr. Kim's having some
2 challenges with audio, so maybe I can speak to this
3 a little bit.

4 I think we had a lot of discussions about
5 non-inferiority early on, and there's really not
6 any existing data to help us isolate the effect of
7 prednisone when used with the background therapies
8 that were used in this trial. That is the main
9 reasoning that we requested that a superiority
10 analysis be used.

11 DR. BECKER: Excellent. Thank you.

12 I saw Dr. Pirow Bekker had also raised his
13 hand. Would you like to address that comment,
14 specifically the non-inferiority comment?

15 DR. BEKKER: Yes. Thank you, Dr. Becker.
16 This is Dr. Pirow Bekker.

17 As stated, the ADVOCATE trial design was
18 agreed to by the FDA in November of 2016 after
19 extensive discussions. While it's true that
20 superiority at week 52 was a key assessment,
21 superiority at week 26 was never an expectation, at
22 least as expressed by the FDA at the time.

1 It was deemed to be virtually impossible to
2 reach at 26 weeks in an ANCA-vasculitis trial of
3 this nature simply due to the fact that the
4 remission rate with the standard-of-care background
5 treatment is quite high.

6 I also want to just refer the committee,
7 again, to the RAVE study for which the primary
8 endpoint was non-inferiority of remission at
9 week 26, and obviously rituximab was approved on
10 that basis. That was the single precedent for
11 registration in ANCA-associated vasculitis, and
12 that was the template that we were originally
13 modeling.

14 Again, ADVOCATE achieved its two stated
15 primary endpoints; first, the statistical
16 non-inferiority at week 26, and then achieving
17 statistical superiority at week 52.

18 Thank you, Dr. Becker.

19 DR. BECKER: Thank you.

20 Can I follow up with the FDA on that point,
21 then? So just so I can understand, was the
22 expectation to meet superiority at week 26 or no?

1 DR. NIKOLOV: This is Nikolay Nikolov. I
2 think the study as it was designed -- this was
3 designed as an active control, at least in the
4 first 26 weeks, which is testing or comparing
5 avacopan versus prespecified steroid taper -- in
6 that context, a non-inferiority would be easier to
7 interpret.

8 I think the question that we're bringing for
9 discussion is in the place of non-protocol
10 specified use of glucocorticoids, how to interpret
11 this non-inferiority.

12 DR. BECKER: Okay. Thank you.

13 Next on my hand-raised list is Dr. Lewis.

14 DR. LEWIS: I have a comment on the
15 discussion that's at hand, and then I have a
16 separate comment.

17 I just think I feel that I need to remember
18 that our charge is not to make it a fair world for
19 what the FDA and the company decided. Our charge
20 is to decide whether there's enough evidence to
21 allow a drug to be used safely and effectively, and
22 replace another drug.

1 So it may be unfortunate that Rituxan
2 therapy changed in the time frame after the study
3 started, and the discussions between the FDA and
4 the company may have been somewhat ambiguous at
5 times. But none of that is our concern today. Our
6 concern today is, is the available evidence enough?

7 I also think that repeated comparisons to
8 RAVE, again, I can't emphasize enough, RAVE was
9 done with a drug that had been widely used and
10 approved for other indications, and there was a
11 large safety database. That is not the case for
12 this drug.

13 So my question under discussion is, this
14 26-week non-inferiority, is it a clinically
15 meaningful benefit? And I would say yes. I think
16 it is a clinically meaningful benefit, however, it
17 is qualified by the non-study glucocorticoids. But
18 were there to be another study, this would
19 certainly be a supportive study and maybe qualify
20 as two studies. And that's my comment.

21 DR. BECKER: Thank you.

22 Could you expand a little bit on your point

1 about two studies? I'm trying to make sure I'm
2 able to capture for summarizing our discussion
3 after each question.

4 DR. LEWIS: Okay. I don't want to jump
5 ahead of the game here, but as we heard from the
6 FDA, for any compound, but particularly for new
7 compounds, two studies are typically expected, and
8 then there are exceptions to that.

9 I think all of us, most of us, on this
10 committee could list probably close to a dozen
11 studies done in this disease. And even though it's
12 an orphan disease, much kudos to Dr. Jayne, and the
13 EULAR group, and people who have been able to
14 conduct many studies in this disease; and hence,
15 the field is where it is today, which is much
16 better than where it started.

17 So I don't think it's impossible to do a
18 second disease in this orphan disease, and this
19 could count potentially, in my opinion, as one of
20 two studies that would support, potentially, the
21 safety and efficacy if we had a more expanded
22 safety database and other clinical evidence of

1 efficacy.

2 DR. BECKER: Thank you.

3 Okay. Next on the list is Dr. Wiesendanger.

4 DR. WIESENDANGER: Yes. Hi. This is
5 Margrit Wiesendanger. With regard to points A and
6 C, which are sort of linked to each other, I'm not
7 too troubled by the fact that superiority was not
8 met at week 26. I feel that this is a relatively
9 short time period, and since prednisone is one of
10 our most effective drugs, albeit with all the side
11 effects that have been described already, I think
12 it's okay that avacopan was not superior at this
13 time point. I still would consider this drug for
14 the stated purpose.

15 With regard to point B, I guess I would like
16 to know more about the prednisone group, how much
17 extra glucocorticoids they needed. Both groups
18 were liberal, and they were allowing individual
19 investigators to rescue patients or treat them with
20 glucocorticoids outside of a prescription by the
21 study. And I think that's the only humane and
22 ethical way to conduct this kind of trial,

1 honestly. I would be very worried if we were too
2 strict in allowing these vulnerable patients to not
3 be treated if they had a flare.

4 So I guess my question is, how do these two
5 groups compare in terms of not the follow-up
6 glucocorticoids outside of the prescribed tapers,
7 et cetera? Thank you.

8 DR. BECKER: Thank you.

9 Can someone from the FDA or ChemoCentryx
10 comment on that? I know that that data had been
11 provided in some of the materials that we were able
12 to review pre-meeting but buried in a lot.

13 Could you summarize how much additional
14 glucocorticoids were provided in both the
15 prednisone group, as well as the avacopan group,
16 for the first 26 weeks?

17 Hand-raising looks like Dr. Pirow Bekker.

18 DR. BEKKER: Yes. This is Pirow Bekker from
19 ChemoCentryx. So the so-called non-study supplied
20 glucocorticoid use was actually very similar
21 between the two treatment groups overall in the
22 study, and I think the FDA agreed with our analysis

1 on that. During the second part of the study,
2 there was actually a somewhat lower mean dose of
3 extra glucocorticoid use in the avacopan group
4 compared to the prednisone group.

5 If you want a specific number, let me give
6 you a number. So the mean glucocorticoid dose in
7 the avacopan group was 1,349 milligrams, and in the
8 prednisone group, 1,265 milligrams overall in terms
9 of the non-study supplied glucocorticoid use, so
10 very similar numbers.

11 DR. WIESENDANGER: Thank you.

12 DR. BECKER: Thank you.

13 Dr. Oliver?

14 (No response.)

15 DR. BECKER: Okay. It looks like Dr. Oliver
16 may have dropped off.

17 Dr. Brant, please?

18 DR. BRANT: Hi. Elizabeth Brant. I'm
19 speaking as the patient representative, but I also
20 treat patients with vasculitis and trained for that
21 purpose; so just to have that in mind.

22 Not to belabor the issue of the

1 glucocorticoids, but one thing that struck me
2 is -- and it's been stated by the sponsor and by
3 one of the committee members -- that it really
4 wouldn't be ethical to withhold steroids from
5 patients in the avacopan group who were having a
6 flare. And I'm wondering, would the comparison
7 have been more easy to interpret had there been a
8 specified protocol for a lower dose glucocorticoid
9 regimen in the avacopan group, rather than as
10 patients needed it? I think that makes it a little
11 challenging to compare those two.

12 DR. BECKER: Thank you.

13 Would anyone from FDA like to comment?

14 DR. GLASER: This is Rachel Glaser. Can you
15 repeat the question once more?

16 DR. BRANT: So I go back to a couple of
17 people's comments about it not being ethical to
18 withhold steroids from patients in the avacopan
19 group who were flaring, which makes perfect sense.
20 But rather than just waiting for a flare and
21 treating with glucocorticoids, thereby having sort
22 of not really arbitrary but for an unexpected use

1 of glucocorticoids, might it have been easier to
2 interpret the glucocorticoid data comparisons if
3 the avacopan group had had a protocolized regimen
4 of lower dose steroids at the outset?

5 DR. GLASER: Thank you. Yes. This is
6 Rachel Glaser. So I think that is one of the
7 concerns that FDA described in our background
8 document, is that the inclusion of two variables
9 here in this study make the interpretation more
10 challenging. And if there was only one variable in
11 the comparison, it would be easier to attribute an
12 effect to avacopan.

13 DR. BECKER: Excellent. Thank you.

14 DR. NIKOLOV: This is Nikolay Nikolov. Can
15 I add --

16 DR. BECKER: Sure. Please.

17 DR. NIKOLOV: -- to Dr. Glaser's comment?

18 We had similar considerations during the
19 development stages, and we had multiple discussions
20 with the applicant on alternative trial designs of
21 how to more reliably assess for treatment effect
22 and avoid any potential unethical trial designs.

1 Some of these are also included in the FDA
2 background document, but there have been quite
3 extensive discussions on the best approach to
4 showing efficacy.

5 DR. BEKKER: So on that point, Dr. Becker,
6 if you don't mind, I would like to ask Dr. Peter
7 Merkel just to briefly comment on that concept
8 because he was there at the FDA meetings when those
9 were discussed.

10 Dr. Merkel, could you please just make a few
11 comments?

12 (No response.)

13 DR. BEKKER: You might be on mute.

14 (No response.)

15 DR. BEKKER: Dr. David Jayne, I think you
16 were at the meeting as well. Could you please
17 comment?

18 (No response.)

19 DR. BEKKER: You might be on mute as well.

20 (Laughter.)

21 DR. BEKKER: The challenges of virtual
22 meetings.

1 I think just suffice it to say we did
2 obviously discuss with the agency, as Dr. Nikolov
3 pointed out, several designs. The one design that
4 included a no or very low-dose prednisone group was
5 considered to be not feasible because these
6 patients do require serious intervention, as you've
7 heard from many of the patients today. And if you
8 do not give them any steroids, and only an
9 immunosuppressant, especially when there's nothing
10 like avacopan on board, that would just simply not
11 be practical in the clinical trial.

12 So we decided after discussion with people
13 like Dr. Merkel, Dr. Jayne, experts in the field,
14 with that kind of study, it would just not be
15 feasible.

16 DR. BECKER: Okay. Thank you for those
17 responses.

18 Doctor Thadhani?

19 DR. THADHANI? Great. Thank you. I'll just
20 pick up on some comments from my colleagues. The
21 first one is this is a very difficult patient
22 population, as was alluded to --

1 DR. MERKEL: Hello?

2 DR. THADHANI: -- by the patients
3 themselves, as well as for those of us who care for
4 these patients. I could not imagine doing a study
5 in this context without steroids. It's ingrained
6 in the way we treat these patients, and I would
7 imagine it would be incredibly difficult to enroll,
8 if not impossible.

9 In fact, I would argue that one of the
10 reasons why the agency had a difficult time finding
11 previous studies to estimate a non-inferiority
12 margin isolated to glucocorticoid is because it's
13 difficult to do those studies. We just haven't
14 been able to do them. So it's not surprising to me
15 that it's difficult looking at the literature to
16 find isolated effects of glucocorticoids in a
17 randomized trial.

18 So that said, like some of my colleagues
19 before me, I find the actual evidence persuasive at
20 26 weeks with what I would have hoped for, not
21 expecting more than that, with a pretty significant
22 p-value. And if the requirement then is, is this

1 robust, well one interpretation of that is that the
2 ancillary data, albeit subgroup analysis,
3 exploratory, whether you look at GFR, relapse,
4 opportunistic infections, and so forth, all point,
5 if you will, in the right direction. Thank you.

6 DR. MERKEL: Am I audible now, by the way?
7 This is Dr. Merkel.

8 DR. BECKER: Yes. Hi, Dr. Merkel. Do you
9 have additional comments?

10 DR. MERKEL: Yes, I do. I apologize. I've
11 been on the whole time. Thank you.

12 Yes, I do. Let me gather my thoughts.
13 Regarding glucocorticoids, I think we are
14 understandably focusing on the non-protocol
15 glucocorticoid, and I would agree with
16 Dr. Thadhani's comments. Really, we just can't
17 treat that way until we have strong data that says
18 you can go with a lower or no-dose approach.

19 So I think this was why the design was as it
20 was, and I think that we could also refocus on the
21 fact that the protocol said the patients in the
22 prednisone group got a substantive amount of

1 glucocorticoid, quite a lot per protocol, and the
2 group in the avacopan did not. And although there
3 was some non-protocol, it wasn't anywhere near as
4 much as the protocol defined if you look at the
5 curves and the area under the curve, and it was
6 less in the patients who got avacopan.

7 So it made sense clinically, and I still go
8 back to the idea that, from a strategy standpoint,
9 if you start someone on avacopan, these data
10 support either giving no additional after the first
11 week or so before you get them on, or much lower
12 and coming off faster. So I think from a treatment
13 strategy, it makes sense, and I think if you put it
14 all together, the efficacy speaks to the clinical
15 question in the first 26 weeks, and then carries
16 over to the 52 weeks.

17 DR. BECKER: Okay. Thank you for your
18 comments.

19 DR. MERKEL: Thank you.

20 DR. BECKER: Dr Chung?

21 DR. GLASER: Dr. Becker --

22 DR. BECKER: Yes? I'm sorry. Did I miss

1 your --

2 DR. GLASER: Sorry. This is Rachel Glaser.
3 We're attempting to bring up a slide that includes
4 the alternative study design that was discussed in
5 the FDA background package.

6 DR. BECKER: Oh, terrific.

7 DR. CHUNG: This is Sharon Chung, University
8 of California, San Francisco. Just to somewhat
9 reiterate the previous commentators, I am also less
10 troubled, if not untroubled, by the goal of
11 non-inferiority for week 26. I agree, in order to
12 achieve a superiority by week 26, I think that is
13 just likely impossible, just given the results that
14 we see with our current treatment regimens.

15 I think what troubles me more about the
16 out-of-study glucocorticoid use is that
17 participants who received a significant amount of
18 outside glucocorticoid use still could be counted
19 as a responder. And this was both true in the
20 avacopan arm, as well as the prednisone arm. So I
21 think just the true efficacy and the true effect of
22 avacopan compared to prednisone is just not well

1 verified by this study.

2 I think my other concern is also that it
3 appears from the sponsor, or the applicant, that
4 the goal for avacopan would be for it to be used in
5 the absence of glucocorticoids from initial
6 remission induction therapy. And I am hesitant
7 with that because there was a significant amount of
8 glucocorticoid use in the avacopan arm after
9 weeks 4 or 5 when the prednisone was tapered off.

10 My last comment is that -- or two
11 comments -- I find the mean prednisone dose very
12 difficult to interpret because it wasn't the mean
13 prednisone dose of participants taking prednisone,
14 but of everyone in the study. So I think it's an
15 underestimation, in some respects, of how much
16 prednisone or the potential impact of prednisone
17 for particular participants.

18 I forgot my second comment, so I'll just
19 leave my comments there. Thank you.

20 DR. BECKER: Thank you, Dr. Chung.

21 Dr. Glaser, would you like to make a
22 comment, when you've found the slides, of the

1 alternative study design?

2 DR. GLASER: Yes. Can you see this slide?

3 DR. BECKER: We can now, yes.

4 DR. GLASER: I'm going to ask Dr. Rothwell
5 to talk though this study design, and then after
6 that, we have the data that Dr. Chung just
7 referenced before, which is the mean steroid dose
8 in the patients who received steroids. So we can
9 review that after we review this slide.

10 DR. BECKER: Excellent.

11 DR. ROTHWELL: Hi. This is Dr. Rothwell
12 from the Office of Biostatistics. I can speak to
13 this a little bit. This was a possible study
14 design that we'd offered in the backgrounder and
15 we'd also like to hear the thoughts from the
16 advisory committee.

17 So in this design, we proposed three
18 treatment arms. The first would be placebo plus a
19 20-week prednisone taper. The second would be
20 avacopan plus a 20-week prednisone taper. And
21 those would be the two arms that would be compared.
22 So in doing so, we would be able to isolate the

1 effect of avacopan on top of a prednisone taper
2 over 20 weeks.

3 We would also recommend including a third
4 arm, avacopan plus no- or low-dose prednisone, and
5 then additional analysis of treatment arms A and C
6 would provide more insight into the need for that
7 additional prednisone taper when avacopan is used.
8 Thank you.

9 DR. BECKER: Thank you.

10 Are there any comments on this from the
11 committee? First, I'm not sure I'm going to pick
12 you out because there are lots of hands rising; or
13 any other comments from maybe ChemoCentryx?

14 DR. LEWIS: I have a comment.

15 DR. BECKER: Go ahead.

16 DR. LEWIS: This is Julie Lewis from
17 Vanderbilt. Did the FDA consider, or the sponsor,
18 using additional off-study drug glucocorticoids and
19 identifying them as a rescue therapy, and only
20 perhaps allowing them for identified rescue
21 therapy, and then sort of like in an anemia study,
22 it would be a failure of one of the arms if they

1 required rescue therapy or at least would be
2 counted as that?

3 DR. BEKKER: Yes. Dr. Lewis, I'm sorry. So
4 we did. Indeed, in this study, if a patient had a
5 relapse, which is what would be akin to what you
6 just referred to, those patients would be
7 considered non-remitters. So the protocol made it
8 clear, so I can say categorically that that was the
9 case.

10 I wanted to also comment on Dr. Chung's
11 comments, because I think there are few important
12 points there to make. The first is that we are not
13 advocating that absolutely no glucocorticoids be
14 used, and obviously there needs to be
15 glucocorticoids used as premedication for
16 rituximab, for example. What we are saying is that
17 the standard all glucocorticoid taper can be
18 replaced with avacopan. That is what we tested in
19 the study, and that is what we've shown with
20 outcome at week 26.

21 The second point I want to make is we did do
22 a sensitivity analysis where we actually looked at

1 the patients with a very high glucocorticoid use,
2 and we considered those patients as non-remitters.
3 And maybe I can just show the slides quickly if you
4 would allow me. There are two slides.

5 May I have --

6 DR. BECKER: Very quickly, please; very
7 quickly.

8 DR. BEKKER: Yes.

9 DR. BECKER: Thank you.

10 DR. BEKKER: -- slide PE-33? This slide
11 shows where we actually imputed high glucocorticoid
12 users as non-remitters at week 26 for the week 26
13 analysis, and you can see that the non-inferiority
14 margin was, again, met for this. Then we also
15 looked -- and I want to show actually that week 52
16 sustained a remitter analysis where it wasn't just
17 non-inferior, but it was also superior.

18 So we did an analysis, and this was one of
19 the prespecified sensitivity analyses that we
20 conducted, to show that high glucocorticoid users
21 did not impact the ultimate outcome of the study.

22 Thank you so much, Dr. Becker.

1 DR. BECKER: Thank you.

2 Dr. Glaser, I think you had another comment
3 you wanted to make after you reviewed the
4 alternative study designs, if I recall correctly.

5 DR. GLASER: Yes. I think a slide to
6 address Dr. Chung's comment about the use of
7 glucocorticoids in only the subjects who received
8 glucocorticoids. But I will need a minute for me
9 to select the right slides.

10 (Pause.)

11 DR. GLASER: Okay. So this is a slide that
12 presents the non-study supplied glucocorticoid use
13 based only on the subjects who received steroids,
14 and I'll ask Dr. Kim to walk us through this.

15 DR. Y. KIM: This is Yura Kim. This slide
16 shows the mean steroid use based only on patients
17 who used non-study supplied glucocorticoids. For
18 example, for weeks zero to 26, there were
19 143 patients in the avacopan arm who used non-study
20 supplied glucocorticoids, and the mean use was
21 1245.5 milligrams among those patients.

22 There were 149 patients in the prednisone

1 group who received non-study supplied
2 glucocorticoids, and the mean use among them was
3 884 milligrams. And from week 27 to 52, there were
4 44 patients in the avacopan arm who received
5 non-study supplied glucocorticoids, and the mean
6 use among them was 1,041.2 milligrams. There were
7 63 patients in the prednisone who got non-study
8 supplied glucocorticoids during that time, and the
9 mean use was 1202.7 milligrams.

10 DR. BECKER: Excellent. Thank you.

11 I think, Dr. May, you may be next on the
12 list of people who would like to comment.

13 DR. MAY: Yes. Susanne May, University of
14 Washington. I feel the same way as some of my
15 other colleagues on the advisory committee, that
16 part C of the question regarding the week 26
17 superiority is not as much of a concern to not have
18 statistical significance there.

19 The question A with regard to the
20 non-inferiority margin and interpretability, I
21 think it's intricately linked to part B with regard
22 to the glucocorticoid use. For that one, it seems

1 as if the answers to the questions and the
2 hypothesis testing were designed for a different
3 study than actually happened because of the change
4 in care and because of the use of glucocorticoids.

5 One of the questions that I would have is,
6 besides the alternative design on the use and
7 tapering off of prednisone, how much different
8 would a study be with regard to glucocorticoid use
9 if it were started and planned now? That would
10 answer potentially the question, or help answer the
11 question, whether the results that we're seeing
12 right now are interpretable in this context. And
13 that was my question.

14 DR. BECKER: Okay, Moon. Who do we have to
15 answer that question? Does anyone want to make a
16 comment on that question?

17 DR. BEKKER: This is Dr. Bekker from
18 ChemoCentryx. I would like to ask Dr. Merkel to
19 comment on that question because they're doing
20 these studies all the time.

21 Dr. Merkel, could you comment?

22 DR. MERKEL: Yes. Thank you. This is --

1 DR. BECKER: Dr. Bekker, before we get
2 to -- excuse me just one moment. Before we move
3 farther along, I will need to call on you before
4 you speak, just to kind of keep track --

5 DR. BEKKER: Oh, okay. I'm sorry.

6 DR. BECKER: -- of all this.

7 No, no, it's quite alright. Just give me a
8 moment to keep track of everyone.

9 Alright, Dr. Merkel. You're up.

10 DR. MERKEL: Thank you. This is Peter
11 Merkel from the University of Pennsylvania, where
12 it's noisy outside. I apologize.

13 So that's a very good question, and I think
14 that the short answer is it would be a very similar
15 study today. People on the committee are aware
16 that Dr. Jayne and I ran another study where we
17 looked at high-dose glucocorticoids versus reduced
18 dose, and found that the reduced dose regimen was
19 equivalent. But that is not that low. It still
20 was high doses for the first 10 days or more, and
21 then it was 30 milligrams a day for quite a while,
22 and tapering off.

1 So while there is a trend towards using less
2 glucocorticoids, this regimen was right in line
3 with the regimens that we use in the trials. So I
4 think it would not be designed particularly
5 differently if it was today, and I think the
6 message of being able to reduce by that much is
7 still significant. It's a good question. I still
8 personally think it still applies to the practice
9 of vasculitis care today.

10 DR. BECKER: Okay. Thank you.

11 Dr. Kim, you're next on the list to speak.

12 DR. NIKOLOV: Dr. Becker, this is Nikolay
13 Nikolov. I have a quick request.

14 DR. BECKER: Yes?

15 DR. NIKOLOV: I think the intent of these
16 questions were more directed towards the advisory
17 committee members, and we would like to have the
18 discussion by the advisory committee, if that's
19 possible.

20 DR. BECKER: Absolutely.

21 DR. BECKER: Oh, absolutely.

22 DR. NIKOLOV: Thank you.

1 DR. BECKER: Apologies. Yes.

2 Dr. Kim, would you like to comment on
3 question 1?

4 (No response.)

5 DR. BECKER: Okay. Dr. Pisetsky, you're up
6 next.

7 DR. PISETSKY: Thank you. I think my
8 question goes back to the issue of, essentially,
9 the two different therapies, rituximab versus
10 Cytoxan plus Imuran. There is really a significant
11 difference between those two arms. While they both
12 have an induction therapy, one has a remission, a
13 period of remission.

14 So we're really comparing avacopan, to some
15 extent, with a drug that maintains remission, and
16 in that case it would be Imuran. So I have
17 ambiguity as to how this drug is really being
18 viewed. Is it an anti-inflammatory like
19 prednisone, although prednisone has
20 immunosuppressives, or is it an immunosuppressive
21 that could maintain remission?

22 With respect to the comment about how we

1 would design the trial now, I think it would be
2 different because I don't think we would just have
3 people with a single cycle of rituximab. The use
4 of just a single cycle I think then creates this
5 uncertainty in how to interpret those data.

6 That's all. Thank you.

7 DR. BECKER: Thank you, Dr. Pissetsky.

8 Dr. Nason?

9 DR. NASON: Hi. Martha Nason from NIAID.
10 That's absolutely perfect timing because I was
11 going to ask much of the same question and was
12 hoping one of my clinical colleagues on the panel
13 could comment on exactly that, about how the
14 rituximab standard of care has changed.

15 I've heard repeatedly that it wouldn't be a
16 single cycle, but I guess for those of us who are
17 not clinicians, it would be helpful to understand a
18 little bit more from the clinical people about how
19 different that might be now, again, to put this
20 non-inferiority comparison into context.

21 And I will say, just before I pass the mic
22 back, that I may be a little bit in the minority

1 here, but I personally don't find the
2 non-inferiority at week 26 particularly compelling.
3 It seems to me there are too many uncertainties in
4 the comparison and in the glucocorticoid use. I
5 don't see it as a negative, but I don't necessarily
6 see it as a positive piece of evidence either, that
7 those two arms look fairly similar.

8 So that's my take on that question. But
9 then I was hoping someone, again, could sort of
10 give a little bit of context on how the standard of
11 care in the rituximab arm might have
12 earned -- rituximab use might have changed now, and
13 how that might influence this. Thank you.

14 DR. BECKER: Thank you for your comment. I
15 can at least answer you from a pediatric
16 perspective. We do not tend to use rituximab as a
17 single dose. But I thought maybe Dr. Pisetsky, who
18 I know certainly treats adults in my own
19 institution, would also want to comment and be a
20 clinical opinion on that.

21 DR. PISETSKY: Yes. I do not think -- I
22 mean, well, Dr. Merkel mentioned that not everybody

1 needs a repeat dose; I think a significant number
2 of people. I mean, this is a long-term disease.
3 It's not just actually within a year. It's well
4 beyond that. So I think there would be interest in
5 assuring, first of all, induction, and then
6 assuring remission.

7 So I think there would be additional therapy
8 given after that first cycle. And I think that's
9 true with Cytoxan, that therapy thereafter would be
10 prolonged, whether it is rituximab, Imuran,
11 methotrexate, or something. I don't think we would
12 have many patients after six months not be on
13 another agent.

14 DR. NASON: And just to make sure I
15 understand, if rituximab, for instance, was
16 repeated, would you expect that there would be less
17 steroid use in those people then, because perhaps
18 that would avoid --

19 DR. PISETSKY: Yes.

20 DR. NASON: -- sort of counterfactual, but
21 that the people historically who might have gone to
22 an additional dose of steroids might instead get

1 that additional dose of rituximab.

2 DR. PISETSKY: Right. They would get
3 additional therapy, and there are differences among
4 the vasculitis subtypes as to what the likelihood
5 of relapse would be as well. So I think that would
6 be conservative with respect to GPA as opposed to
7 MPO, because they all have differences on the
8 relapse rate. But I think, to me, there would be
9 therapy beyond that initial cycle.

10 DR. NASON: Alright. I appreciate your
11 helping me understand the clinical context here,
12 and I think it only reiterates for me that I feel
13 like this non-inferiority comparison is really a
14 little too uncertain to give too much weight to,
15 given all the factors. Thank you.

16 DR. BECKER: Thank you so much for your
17 comments.

18 Dr. Singh?

19 DR. SINGH: Jasvinder Singh, University of
20 Alabama at Birmingham. I think that as I'm hearing
21 all my other colleagues talk about this, some of
22 this dovetails into question 2. But there's like

1 two or three big things that come to mind.

2 One is that with all the good intentions,
3 this is more like an active comparator trial, where
4 one would have to know that both comparators are
5 effective, and one would have to know the estimates
6 of some effect sizes. And I think the other aspect
7 is the change in glucocorticoids, which makes it
8 complicated.

9 The third point is what Dr. Pissetsky has
10 brought up a few times very clearly. This is sort
11 of a combination of induction and maintenance, so
12 one might have to think of additional evidence in
13 which not only do you establish the control or
14 establish the efficacy as one component by
15 including multiple arms, but also try to
16 re-randomize between the induction phase separately
17 and the maintenance phase separately, and pick the
18 most appropriate comparator that is being used
19 clinically today.

20 That's the sort of design that's been
21 practiced in a lot of COVID trials with new
22 medication and discovery. I think it's perhaps

1 needed with this complicated illness for which we
2 do need new drugs, and it seems like this might
3 have the potential.

4 But I think we need to control for three
5 things: A, do we have effect size estimates for
6 the two comparators, prednisone versus avacopan?
7 If not, would one of the designs the FDA scientist
8 team proposed, can that be addressed at the
9 beginning?

10 B, how do we control for the non-study use
11 of glucocorticoids to the best of our ability?

12 C, can we have separate randomizations with
13 induction versus maintenance in a very efficient
14 design for the next study or for additional
15 evidence that can then provide persuasive evidence
16 for this compound, that I'm not sure I'm able to
17 see with a single trial, which then would help with
18 convincing efficacy and safety evidence for one
19 trial's evidence to qualify for approval and making
20 the therapy available?

21 That's the end of my comment.

22 DR. BECKER: Thank you very much.

1 Dr. Kraft, you're next.

2 DR. KRAFT: Walter Kraft, Thomas Jefferson
3 University. So the question reads, "support of
4 clinically meaningful benefit," which would be the
5 summation of both efficacy and safety.

6 If I could just turn our attention to
7 safety, given a non-inferiority design -- which is
8 by definition a lower level of evidence and the
9 issues about that design, which I won't
10 revisit -- when we think about the safety, I think
11 our challenges are the safety appeared comparable,
12 but clearly there were larger events over the
13 duration of the study in the glucocorticoid arm.
14 And we have a large knowledge base of side effects
15 for glucocorticoids, oftentimes which are delayed,
16 as we know.

17 I think the challenge is for the avacopan,
18 while the short-term safety profile appears
19 favorable, we still don't have a large database for
20 long-term efficacy. So I'm a little agnostic on,
21 in general, the virtues of the non-inferiority.
22 But I think if we bring in the uncertainties around

1 the safety, I think it makes the use of the
2 non-inferiority at 26 weeks a little bit less
3 compelling. Those are the end of my comments.

4 DR. BECKER: Thank you very much.

5 Dr. Chung?

6 DR. CHUNG: Actually, I just took myself
7 off. Sorry. This is Sharon Chung. I just wanted
8 to get back to I believe it was Dr. Nason's comment
9 about treatment practices and how they've changed.

10 Presentations referenced RAVE, which did not
11 include remission induction therapy for the
12 rituximab arm. But I will say that the need for
13 remission maintenance, or the use of remission
14 maintenance during the time, was truly standard of
15 care, and was actually standard of care, in part,
16 established by Dr. Jayne in some of his previous
17 studies.

18 So when RAVE was approved, I don't think
19 many of us thought that we could just treat with
20 one dose of rituximab. I think the question that
21 was raised at that point was, "Okay. Now what do
22 we do with remission maintenance, and when do we

1 start it?"

2 I think the sponsor has also indicated that
3 rituximab was not approved for remission
4 maintenance until after the trial commenced. But I
5 would say that the studies that showed efficacy for
6 rituximab for remission maintenance were published
7 before this trial protocol was finalized. So I
8 will say that I was quite surprised that there
9 wasn't a remission maintenance aspect to the
10 rituximab arm for this study. Thank you.

11 DR. BECKER: Thank you, Dr. Chung.

12 Dr. Curtis?

13 DR. CURTIS: Hi. I'm Sean Curtis, industry
14 rep. Yes. I just wanted to provide maybe a little
15 bit of industry perspective on the question at hand
16 about evolving standard of practice, so just
17 building on the last comment.

18 Obviously, it's wonderful that clinical
19 practice advances; that's what we all want. But
20 again, just to remind the committee, it's a
21 challenge to settle on a study design, and it
22 sounds like there were very earnest appropriate

1 discussions between the sponsor and the FDA on
2 trying to establish a study design. I think it's
3 just we have to be a little careful about
4 redesigning a study after it's been agreed upon and
5 the results are made clearer.

6 Understanding clinical practice evolves, and
7 it's very challenging, and it's a continued
8 challenge to try to interpret a study as practice
9 changes, but the fact is there is an agreed-upon
10 proposal, generally. So I just want to remind the
11 committee about that because it's important to the
12 industry to understand what the goal posts are for
13 a study as we, in general, sign up, and agree to a
14 design, and execute on it. Thank you.

15 DR. BECKER: Thank you very much.

16 Dr. Lewis?

17 (No response.)

18 DR. BECKER: Okay. Dr. Kim?

19 DR. S. KIM: Seoyoung Kim from Brigham and
20 Women's Hospital in Boston. I just want to make a
21 comment -- or a question, actually -- to the group
22 and FDA. Is it the non-inferiority margin that's

1 causing some uneasiness or a problem, or is having
2 this non-inferiority analysis the problem?

3 I'm thinking, looking at the primary outcome
4 figure from the earlier presentation this morning,
5 even if the margin was set at minus 20, the lower
6 bound of the confidence interval is minus 6. So
7 it's a little bit far from the bound. So I wasn't
8 sure whether it was the non-inferiority design
9 shouldn't be used in this context or if the margin
10 was set appropriately.

11 That was one question. Then the second
12 comment is, even looking at the alternative design
13 that was the slide the FDA showed earlier, I
14 anticipate the use of non-study steroids will be
15 still a problem, even in that setup. I don't know
16 if we would have very different discussions even if
17 the study designs were using -- as suggested by the
18 slide earlier. That's just my comment.

19 DR. BECKER: Would anyone from the FDA like
20 to comment on that?

21 DR. NIKOLOV: Yes, Dr. Becker. This is
22 Nikolay Nikolov. I think the issue that we wanted

1 the committee mostly to discuss was, what is the
2 scientific justification for the non-inferiority
3 margin selection, which deviates from the way we
4 usually define non-inferiority in order to
5 interpret the data in a reliable way.

6 Whether the margin was selected and the
7 difference shown is clinically important and
8 meaningful, that's a separate question, but we'll
9 be happy to hear both of these points discussed by
10 the committee.

11 DR. BECKER: Dr. Kim, would you like to
12 respond to that before I --

13 DR. S. KIM: I think not knowing a lot of
14 literature in this area, and I don't think we'll
15 have good evidence to appropriately set the margin
16 any time in future, I think based on the data
17 that's given, at least I feel the two drugs are
18 quite similar at week 26. Maybe clinically, maybe
19 that's important and maybe that's meaningful if we
20 can actually achieve about the same clinical
21 outcome with a lower use of steroids. So that's
22 how I view it. Thank you.

1 DR. BECKER: Thank you for your comment. I
2 also think, from my perspective, it seemed
3 difficult to have a thorough evidence base from
4 which to gather that non-inferiority margin from
5 trials other than the trials that they used. So I
6 think that would continue to be challenging.

7 Okay. Dr. Lewis?

8 DR. LEWIS: Yes. I have two comments. One
9 is, with going into the study, knowing that you
10 didn't have adequate information to set up
11 appropriate margins, that's an argument for not
12 having the non-inferiority as your primary design.
13 Although I have often struggled to make studies
14 happen, and I really super appreciate Dr. Curtis'
15 comments, again, if the study doesn't support the
16 safety and efficacy for our population of patients
17 here in the United States, even if it's the
18 agreed-upon study, it's our duty to comment on
19 that; not on, yes, you did what you said you did.

20 DR. BECKER: Thank you for your comments.

21 Dr. Curtis?

22 DR. CURTIS: Dr. Becker, I'm sorry. I

1 forgot to lower my hand. I don't have a question.
2 I apologize.

3 DR. BECKER: Oh, that's terrific. Don't
4 worry. It's hard for me to keep track of all your
5 little hands.

6 Okay. So we are done with question 1. I
7 think I have to summarize, which is going to be
8 hard.

9 It sounds to me that from the discussion,
10 which was very robust -- and I'm grateful for all
11 of the comments and apologize for letting it get a
12 little out of hand for a while there. But there
13 was at least a number of people on the committee
14 that felt the statistical significance or
15 superiority at week 26 was not too concerning, or
16 may be necessary, or even surprising in light of
17 the fact that the patients in both groups received
18 induction type therapy.

19 However, the concept of the appropriateness
20 of the non-inferiority comparison was discussed
21 quite a bit, especially in light of the association
22 or the need in the non-inferiority design to

1 exhibit significant safety, which was somewhat in
2 question; that without a large enough database and
3 enough patients, and with new safety signals that
4 were notable, were these data supporting enough
5 safety to justify a non-inferiority design, on top
6 of the fact that perhaps the margins of
7 non-inferiority were based on lack of adequate data
8 to determine an adequate non-inferiority margin.

9 The use of additional non-study supplied
10 glucocorticoids, I think everyone acknowledged
11 being a challenge in the sense that it made it
12 somewhat hard to interpret the data, not only
13 because patients were on glucocorticoids, but they
14 were quite varied in their presentation and their
15 clinical treatment courses, and their baseline
16 clinical statuses.

17 So I don't think we had an overwhelming
18 agreement, although I think people acknowledged
19 that the non-study supplied glucocorticoids and the
20 non-inferiority comparison both had challenges in
21 our interpretation for this question and this
22 discussion.

1 Alright. If there is no further discussion
2 for this question, we'll now move on to question
3 number 2.

4 Question 2 is to discuss whether the results
5 at week 52 support a clinically meaningful benefit
6 of avacopan. Include discussion of the following.
7 And I'm going to go one by one just to keep it a
8 little bit more organized.

9 The first will be the impact of the lack of
10 maintenance therapy in the rituximab subgroup, and
11 the second will be discrepancies in the Birmingham
12 Vasculitis Activity Score remission responses as
13 determined by the adjudication committee versus the
14 investigators.

15 So let's talk first about the impact of the
16 lack of maintenance therapy in the rituximab
17 subgroup. For the first question, we talked a
18 little bit about that. Thank you, Dr. Pisetsky and
19 I think it may have been Dr. Nason who also brought
20 that, as far as is this really an issue. And I
21 think certainly we can acknowledge that nowadays we
22 are using more maintenance therapy in the rituximab

1 subgroup.

2 It looks like Dr. Lewis has her hand up
3 first.

4 (No response.)

5 DR. BECKER: Okay. I apologize.

6 Does anyone have questions --

7 DR. LEWIS: My fault.

8 DR. BECKER: -- about the wording?

9 DR. LEWIS: Sorry. My fault. I'm sorry. I
10 didn't unmute quickly enough. I will comment
11 quickly or try to.

12 I agree with Dr. Singh that these could be
13 separated into an induction and a maintenance
14 trial. I think that would be much cleaner data
15 since the group who went in from induction, doing
16 it as a continuous trial, you don't have the
17 randomized group when you're trying to look at the
18 benefits of maintenance.

19 Basically, although the company only showed
20 us the rituximab data, clearly the cyclophosphamide
21 group did not show a benefit; so avacopan won over,
22 essentially, nothing. And I don't think many of us

1 practice with no maintenance therapy in this
2 disease, nor is that the guidelines for many of our
3 societies. And I'm done with my comment.

4 DR. BECKER: Thank you for your input.

5 I skipped over whether people had questions
6 about the wording of the question. I apologize for
7 that.

8 (No response.)

9 DR. BECKER: Any other comments on the
10 impact of the lack of maintenance therapy in the
11 rituximab subgroup?

12 DR. PISETSKY: Yes. This is David Pissetsky.
13 I do.

14 DR. BECKER: Oh, wait.

15 DR. PISETSKY: Oh, sorry.

16 DR. BECKER: Dr. Pissetsky, hold on. I'm
17 sorry. I'm waiting for Moon to tell me who's next.
18 She gives me the order. I'm trying to stay on
19 task.

20 (Pause.)

21 DR. BECKER: Okay. Dr. Shaw, you're next.

22 DR. SHAW: Hi. Thanks. This is Dr. Shaw.

1 I had two comments about this question. It's
2 helpful if someone could bring it up, or you could
3 bring up your own copy of the FDA briefing
4 materials. It's figure 7, and it shows the
5 subgroups and the sustained remissions, a risk
6 difference at week 52. Including the risk
7 difference I think comes into question here, which
8 is when you look at the two different background
9 therapies, that there seems to be a different risk
10 difference in those two groups.

11 But I have two really strong cautions
12 statistically. That's my background, is
13 statistics. The first is this trial was not
14 designed to answer that question. These subgroups,
15 these background therapies, as was pointed out,
16 were not randomized, and they're not a robust size.
17 So that means two things.

18 The first is, the denominators, they're
19 quite small, particularly for the non-rituximab
20 group, so we can't look at that null result and
21 accept it as proof that there is no effect. We
22 can't tell the difference between noise, because

1 our sample size is too small and no effect. And I
2 feel like a few times today, people have been sort
3 of accepting that the null has been proven, and it
4 has not. We just didn't design the trial for that
5 question.

6 Perhaps even more importantly, we like to
7 look at these as hypothesis generating, but what's
8 really interesting about this figure of five
9 different subgroups that had to do with ANCA
10 positivity subgroups; AAV status; whether or not
11 you were the AAV type of GPA or MPA; and duration
12 and whether it was less than a year or more than a
13 year, all of those subgroups had the same kind of
14 difference between the two levels of the subgroups
15 with the risk difference; sometimes even stronger
16 differences. And because it was not randomized by
17 background therapy, what that means is there's a
18 lot of overlap, potentially, between these
19 subgroups, a lot of confounding.

20 So what we can't tell is if the difference
21 in the risk between the treatment effect in the two
22 background therapy subgroups is related to the

1 background therapy or the fact that those
2 background therapy subgroups were also imbalanced
3 with respect to other factors related to disease
4 status, which may in fact be driving differences.

5 So all of that is just to summarize, in
6 60 seconds, to say that this trial is really not
7 designed to assess the impact of the lack of
8 maintenance therapy on the one background subgroup
9 versus the other, so we're in a difficult spot to
10 comment on that. I don't think the data in this
11 trial can help us. It's very confusing. And I
12 think perhaps a deep dive into whether or not there
13 was overlap in these different subgroups and how
14 there was confounding or not would be helpful maybe
15 in digging in a little deeper, but it is very
16 difficult to use the data in this trial to answer
17 that particular question.

18 So I think we have to go back to the best
19 estimate of whether or not there was sustained
20 remission at week 52, and it's kind of this overall
21 estimate of the two arms and not the subgroups
22 because of that potential for overlap and

1 confounding in the small subgroups.

2 So right now there is this significant
3 effect. I think the weighted estimates were
4 something like 12.5 percent difference. From
5 hearing the comments today, that sounds like a
6 meaningful difference to the patients. This
7 background of the fact that standard of care has
8 changed over time, to me, that's one of the more
9 difficult things to answer.

10 When you simply look at the treatment arm
11 comparisons, there seems to be evidence that there
12 is a difference between the two arms in the trial.
13 So the question to the committee here is, really,
14 is that still relevant given the standard of care
15 has moved a bit? That's the end of my comments.

16 DR. BECKER: Thank you for your comments.

17 Dr. Richards, you're next.

18 DR. RICHARDS: Thank you. John Richards, VA
19 Pittsburgh in Pennsylvania. Just to comment on the
20 lack of maintenance therapy with rituximab, not
21 having to use repeat doses of rituximab certainly
22 would be beneficial if there was another therapy

1 where we didn't have to repeatedly immunosuppress
2 somebody with rituximab or prednisone I think is
3 useful information.

4 But as the prior speaker said, I don't know
5 that this trial was designed to give us that
6 answer. And while I think it is interesting
7 information and certainly something we should look
8 into more going forward, I don't know that the
9 trial was actually designed to answer that specific
10 question for us. Thank you.

11 DR. BECKER: Thank you very much.

12 Dr. Sperati?

13 DR. SPERATI: My comments are very similar
14 to Dr. Richards. To meet the outcome at week 52,
15 you had to be in remission at week 26 and
16 essentially maintain that to week 52. And all the
17 arms, except the avacopan plus rituximab,
18 essentially had relapse.

19 So one is, is there something different
20 about the use of avacopan with rituximab as
21 compared to its use in cyclophosphamide? Then in
22 regard to maintenance therapy, as Dr. Richards was

1 pointing out, perhaps this suggests that avacopan
2 is an alternative to more traditional maintenance
3 therapy in the rituximab arm. But now we're
4 deriving this from a single subgroup, and that from
5 a single trial may not be sufficient evidence to
6 support this being an effective therapy, as the
7 trial really was not designed to answer that
8 question. Thank you.

9 DR. BECKER: Thank you very much.

10 Dr. Singh is next.

11 DR. SINGH: Jasvinder Singh, University of
12 Alabama at Birmingham. I think because the
13 clinical practice has changed, the impact of lack
14 of maintenance therapy in ritux makes it difficult
15 to interpret where this would fit in terms of the
16 clinically meaningful benefit of avacopan.

17 I think as shared by the previous two
18 speakers, committee members, to have a conditional
19 remission at 26 for you to be at 52 also brings in
20 another challenge. I think that also factors into
21 this issue. But I think it's unfortunate that the
22 clinical practice, or the new evidence that's

1 emerged since the design of the previous trial, now
2 offers ritux as a maintenance therapy.

3 I think if the question is whether avacopan
4 would be a good maintenance vis a vis rituximab,
5 then this trial cannot answer that question. You
6 really need a new trial where induction and
7 maintenance are separated for you to be able to
8 answer that, and I think it is a relevant question
9 at this time.

10 I think I'd also quickly go back to
11 Dr. Shaw's comment that figure 7 -- and I commented
12 briefly before -- except for the first subgroup,
13 the other four subgroups are just duplicative of
14 two characteristics. One is do you have MPA with
15 MPO antibody. The other one is do you have a new
16 disease or previous disease because the duration,
17 less than one year, corresponds.

18 It's not the exact same construct, but
19 they're very similar constructs. And that's why
20 you see exactly those bars and those squares can
21 overlap with each other because those constructs
22 likely have very high overlap. So figure 7 is

1 basically three subgroups broken down into five
2 subgroups, and those are all hypothesis generating,
3 I fully agree. That's the end of my comment.

4 DR. BECKER: Thank you.

5 Dr. Brant?

6 DR. BRANT: So as to point A, sustained
7 remission in the rituximab group at week 52 was
8 attributed by the sponsor to the avacopan. But one
9 of the accepted and proven ways of re-dosing
10 rituximab for maintenance is based on B-cell
11 repopulation. So what I did not see -- maybe it
12 exists -- is whether or not we know, of those
13 patients in this subgroup of rituximab who had this
14 sustained remission, do we know their B-cell
15 status; because maybe the reason they were so
16 successful in maintaining remission was actually
17 because they were still B-cell depleted, not simply
18 because they got avacopan.

19 DR. BECKER: That's a great point. I don't
20 know if the FDA had any of that data released to
21 them, if that was in any of the information that
22 was provided by the sponsor.

1 DR. GLASER: This is Rachel Glaser. We
2 don't have that data today. You can ask the
3 applicant whether they have that to provide for
4 you.

5 DR. BECKER: Dr. Bekker, do you have that
6 information to provide?

7 DR. BEKKER: No. No, we do not have data on
8 B cells in this study.

9 DR. BECKER: Okay. Thank you.
10 Thank you for your question.

11 Dr. Pisetsky?

12 DR. PISETSKY: Yes. I think the lack of a
13 maintenance allows you to see a biological effect,
14 but the problem is it doesn't tell you what the
15 effect is and what the comparator is. Even though
16 this is being positive and it is something that
17 could save use of steroids, on the other hand, you
18 could also say it's equivalent to rituximab or its
19 equivalent to Imuran in terms of maintaining
20 remission.

21 So I don't think we have enough information
22 yet to see actually where this agent fits in,

1 although this approach allows you to see that it
2 does have some activity.

3 DR. BECKER: Thank you for your comment.

4 To summarize point A, it sounds like we did
5 have a bit of consensus that although interesting
6 and potentially useful as the potential for not
7 needing to repeat rituximab doses, which has some
8 certain benefits, there was definitely concern by
9 the committee that the subgroups were small. And
10 it might be difficult to tell a difference between
11 effect and noise, and that the treatment effect
12 related to background confounders might be quite
13 challenging, and that this data from the single
14 subgroup is difficult to interpret and have answers
15 to that question specifically.

16 For discrepancies in the BVAS remission
17 responses as determined by the adjudication
18 committee versus investigators, does the committee
19 have comments or can we discuss a little bit about
20 what the thoughts are on those discrepancies noted
21 as they relate to the results at week 52?

22 Dr. Shaw?

1 DR. SHAW: Hi. Yes. I think my comment is
2 more of a question. I really need to understand
3 why this discrepancy exists. We've heard that BVAS
4 is a validated score, and yet there seems like a
5 persistent difference in how the adjudication
6 committee was using the score and scoring these
7 patients in the trial versus how the investigators
8 were doing that.

9 So I guess a two-part question is, why does
10 this discrepancy exist? Were there instructions
11 that were different? Then the second is do we feel
12 confident that the way it was done by the
13 adjudication committee is clinically important or
14 meaningful? Because that's the one that is the
15 primary endpoint. So yes, two questions there.

16 DR. BECKER: Okay. Anyone from the FDA want
17 to expand a little bit? I know I asked you that
18 question in the first session regarding the
19 differences in adjudication committee scores as
20 compared to the site investigator scores.

21 DR. SHAW: And to make sure my question's
22 clear, I would like to understand just clinically,

1 how do we view this clinical difference in the two
2 scores, some counting some events, some not.

3 DR. GLASER: This is Rachel Glaser. I think
4 we'll defer to the applicant to discuss why there
5 are these discrepancies, and the instructions that
6 were provided to the investigators that may have
7 led to some of these discrepancies.

8 DR. BEKKER: Yes.

9 DR. BECKER: Excuse me. Just one moment.

10 We'll start with Dr. Pirow Bekker.

11 Dr. Pirow Bekker?

12 DR. BEKKER: Hi. This is Pirow Bekker. I'm
13 going to ask Dr. David Jayne to comment on this.

14 Dr. Jayne, I think you're on mute.

15 (No response.)

16 DR. BEKKER: Dr. Merkel, are you still on
17 the line?

18 DR. MERKEL: I am.

19 DR. BEKKER: Well, can you please comment
20 on --

21 DR. JAYNE: This is Dr. Jayne. Can you hear
22 me now?

1 DR. MERKEL: Oh, there he is.

2 DR. BEKKER: Dr. Jayne?

3 DR. JAYNE: All PIs attended a face-to-face
4 training in scoring the Birmingham Vasculitis
5 Activity Score, and all had to undergo some degree
6 of certification in terms of the independent
7 training exercise.

8 The reason why we adjudicate these responses
9 is to have consistency, particularly in the
10 assessment of the renal response, because we have
11 objective data from the urinary abnormalities and
12 the adjudicators to be able to assess whether or
13 not the persistent BVAS reporting is appropriate or
14 not. And that's what we did to ensure there was
15 consistent reporting across all of the
16 investigators for when renal remission had
17 occurred. Thank you.

18 DR. BECKER: Would anyone on the committee
19 like to comment, those of us who are clinicians
20 like to comment on the clinical meaningfulness of
21 those differences in the BVAS scores?

22 I think the one obvious, clearly,

1 significant issue is that when taking into account
2 the site investigators and their scoring, it
3 changed the statistical significance of it. But in
4 looking at the data that the FDA showed earlier
5 today, it looked like those differences might be
6 attributed to certain scores and different clinical
7 domains.

8 Would anyone else like to comment? I will
9 give you a moment to do so before I move on to the
10 next hand-raised person to comment.

11 DR. SHAW: Yes. Chairman, this is Pam.
12 That's exactly my question. Persistent vasculitis
13 I think the difference was between it wasn't be
14 counted by the adjudicated score and it was being
15 counted by the investigators.

16 So is this clinically meaningful that we
17 have a score that didn't count that? As a
18 non-clinician, I find it quite confusing because it
19 is a difference between our main efficacy primary
20 endpoint being significant or not. And it seemed
21 like it was persistent vasculitis, if I were to
22 understand the briefing material.

1 DR. BECKER: It appeared that way as well.
2 And as you probably read, if that persistent
3 vasculitis continued for more than three months, it
4 was considered more chronic damage, which moved on
5 to the VDI scale. So I do think it's confusing
6 even for those of us who see patients, so don't
7 worry.

8 DR. SHAW: Thank you.

9 DR. CHUNG: This is Dr. Chung. I apologize
10 for interrupting, but I believe there was a slide
11 that summarized the adjudication committee rated
12 things differently than the investigator.

13 Is it possible to see that slide again?

14 DR. BECKER: Would the FDA be able to find
15 that slide for us?

16 DR. GLASER: Yes, we will pull it up. We'll
17 need the slide set loaded.

18 (Pause.)

19 DR. GLASER: Dr. Chung, this is Rachel
20 Glaser. Which slide were you -- I'm not sure which
21 slide you were referring to. We do have several
22 backup slides related to the differences. This

1 slide reviews the differences that were seen at
2 week 26 and the BVAS organ systems in which those
3 differences were observed, and we have a similar
4 slide for week 52.

5 DR. CHUNG: I believe there was a slide for
6 week 52 for the participants in the avacopan arm
7 whose BVAS score was different between the
8 adjudicated committee and the investigator. I
9 believe it was for items such as hypertension and
10 proteinuria and such. I may be remembering
11 incorrectly.

12 DR. BEKKER: If I may, this is Dr. Pirow
13 Bekker. It's one of the slides that we showed.

14 DR. BECKER: Or the slide that the FDA had,
15 at least giving numerically the differences between
16 the adjudicated groups, as well as the
17 non-adjudicated groups, correct?

18 If ChemoCentryx can pull up that associated
19 slide.

20 DR. BEKKER: Sorry. This is not the slide.
21 Could I have slide PE-45, please? I think this was
22 the slide that you were referring to, and maybe I

1 can ask Dr. David Jayne to just comment on this.

2 DR. JAYNE: The hypertension in the absence
3 of urinary abnormalities we don't regard as an
4 active feature of ANCA vasculitis. Hypertension
5 was scored. We adjudicated it. It should not have
6 been scored.

7 Again, if headache was scored in the absence
8 of any other features of vasculitis activity, it
9 was not scored. I can go on, but these are sort of
10 examples of where BVAS can be checked, which is
11 against the overall pattern that the patient is
12 following. But they're a small number of these
13 adjudications compared to the size of the trial.

14 DR. CHUNG: Thank you.

15 Okay. Dr. Singh, you're next.

16 DR. SINGH: Jasvinder Singh, University of
17 Alabama at Birmingham. So it's unfortunate that
18 for a primary outcome, due to the discrepancy
19 between the adjudicator and the investigator, that
20 the one thing that one can interpret with
21 confidence is the non-inferiority that still
22 retains the statistical significance and possibly

1 clinical significance.

2 I think that the loss of significance based
3 on investigator assessment at the 52 weeks makes
4 that not a hard outcome that one can put weight on
5 for superiority. So it's unfortunate that for the
6 primary outcome, that there is a measurement error
7 between two groups of people measuring it. To me,
8 non-inferiority is not a question at all.
9 Superiority becomes a question and a debate.
10 That's the end of my comments.

11 DR. BECKER: Thank you.

12 Dr. Richards?

13 DR. RICHARDS: Thank you. John Richards, VA
14 Pittsburgh in Pennsylvania. There was a comment I
15 believe earlier by the FDA that the investigators,
16 when completing the BVAS form, they didn't have the
17 option for persistent vasculitis, and that seems to
18 be something that the adjudicators may have taken
19 into consideration. So I don't know if the FDA
20 could just clarify that if that was an issue that
21 may have led to the difference.

22 Also, I think if we're getting back to the

1 persistent vasculitis, did that lead to increased
2 use of prednisone in that group, which could have
3 had a theory on some of the outcomes here?

4 DR. GLASER: This is Rachel Glaser. Can we
5 have the slide set available? And I will ask
6 Dr. Kim to speak about the analysis of the
7 persistent vasculitis while we bring up the slide.

8 DR. Y. KIM: This is Yura Kim. I have shown
9 the slide on the specifications of the document on
10 slide 70.

11 DR. RICHARDS Okay. Thank you, Dr. Kim.
12 Was there a comment when you showed this slide
13 about -- when you brought up, I think it was the
14 BVAS form, that there wasn't the option for
15 persistent disease as opposed to new or worsening
16 for the investigator?

17 DR. Y. KIM: So we didn't receive the form
18 the investigator used. We only reviewed the
19 adjudication form that was attached to the
20 adjudication charter. In the BVAS adjudication
21 form, the persistent aspect of BVAS was not used.

22 DR. RICHARDS: Thank you. That was my

1 question. Thank you. That's all.

2 DR. GLASER: This is Rachel Glaser. I think
3 that you also asked a second part about the use of
4 glucocorticoids based on persistent vasculitis.

5 DR. BECKER: Yes. He hypothesized that,
6 potentially, if there was a rating of persistent
7 vasculitis, maybe that resulted in increased
8 prednisone use.

9 DR. GLASER: This slide shows the non-study
10 supplied glucocorticoid use based on reasons for
11 the initiation of glucocorticoids. There is the
12 third row of each of these subtables for the
13 treatment of persistent vasculitis. This is the
14 proportion of patients in each treatment group that
15 received additional non-study supplied
16 glucocorticoids used for the treatment of
17 persistent vasculitis; so from week zero to 26.

18 DR. RICHARDS: Thank you.

19 DR. GLASER: Okay. Thank you.

20 DR. BECKER: Thank you both.

21 Dr. Thadhani?

22 DR. THADHANI: Thank you. I think my

1 questions have been answered. I've been listening
2 intently here. I think the last point by the
3 agency regarding the clarification of what the
4 adjudication committee saw in the charter was
5 sufficient.

6 I guess the only comment I'll make was it
7 was prespecified that the adjudication committee's
8 designation was the primary endpoint or the key
9 endpoint. While probably meaningful for another
10 discussion, I'm curious even why the investigator
11 adjudication was examined, but I'm comfortable with
12 the pre-negotiated adjudication committee endpoint.
13 Thank you.

14 DR. BECKER: Would the FDA like to comment
15 on that last point about the rationale for looking
16 at the investigator BVAS's?

17 (No response.)

18 DR. BECKER: Okay. We'll move on to
19 Dr. Wiesendanger.

20 DR. WIESENDANGER: Yes. Thank you. This is
21 Margrit Wiesendanger. With regard to the question
22 of comparing adjudication committee versus

1 investigator scoring for the BVAS, it comes down to
2 what do we believe is more valid and meaningful.

3 I can speak to the fact that even though
4 investigators and the different sites undergo
5 extensive training, as Dr. Jayne has mentioned,
6 it's still very difficult to score these
7 instruments. I don't have personal experience with
8 the BVAS doing studies, but I do with SLEDAI, die
9 which is a simpler, much simpler, instrument. And
10 even then, there can be mistakes by someone who is
11 not fully an expert on this.

12 So I guess my question to the group is, do
13 we believe that the adjudication committee, which
14 was blinded, are they providing a fair judgment on
15 how these patients were doing? Are they missing
16 some persistent vasculitis patients, which should
17 not be considered in remission by applying their
18 standards? That's my question.

19 DR. BECKER: Thank you for that comment and
20 question.

21 I'm going to move on to Dr. Kim and see
22 whether there are any additional comments to your

1 question.

2 DR. S. KIM: Seoyoung Kim, Brigham and
3 Women's Hospital in Boston. I have a question of
4 whether the adjudication only led to a higher
5 number of outcomes or whether the investigator
6 classified remission, but adjudication found no
7 remission, like the other way around, whether that
8 happened at all; and whether other previous trials
9 that FDA has reviewed used adjudicated BVAS in the
10 outcome. Maybe if that's been kind of the
11 standard, then maybe that's what it is. I just was
12 curious.

13 The last comment is, I think the BVAS was
14 also used as one of the eligibility or inclusion
15 criteria, and whether that score was also
16 adjudicated.

17 DR. BECKER: Can anyone --

18 DR. GLASER: We're going to bring up -- I'm
19 sorry.

20 DR. BECKER: Great. Thank you. No.
21 Please, go ahead.

22 DR. GLASER: This is Rachel Glaser. We're

1 going to request slide set from Dr. Kim's
2 prerecorded presentation, please. And I'll ask
3 Dr. Kim to respond as we bring her slides up.

4 DR. Y. KIM: This information on the
5 analysis based on the investigator assessment is
6 presented on my presentation slide 39. In summary,
7 the analysis based on the investigator assessment
8 resulted in a smaller magnitude of treatment effect
9 and smaller magnitude of responder rates. It's
10 slide 39.

11 DR. S. KIM: Yes. I've seen this slide, and
12 my question was actually specific to more
13 individual cases of adjudication, whether the
14 adjudication led to no remission when investigator
15 classified as remission.

16 DR. GLASER: This is Rachel Glaser. Perhaps
17 we can defer to the applicant.

18 DR. JAYNE: This is David Jayne, Cambridge,
19 the United Kingdom. The purpose of the
20 adjudication was to both review the BVAS scores but
21 also to review whether or not the patient was in
22 remission at week 26 and week 52. In addition to

1 the BVAS being zero, the patient had to be free of
2 all glucocorticoids as a treatment for vasculitis
3 in the 4 weeks prior to either the week 26 or the
4 week 52 endpoints.

5 There were certainly patients in whom we
6 changed the definition of remission -- sorry; not
7 the definition. We changed the state of remission
8 to one of no remission because the steroids had
9 been continued, because steroids had been given
10 during that 4-week interval. So there were
11 patients who moved in both directions. Thank you.

12 DR. BECKER: Thank you.

13 Does that adequately answer your question,
14 Dr. Kim?

15 DR. S. KIM: Yes. Thank you.

16 DR. BECKER: Okay.

17 Dr. Chung, you're next.

18 DR. CHUNG: Sharon Chung, University of
19 California, San Francisco. Just responding to an
20 earlier comment about persistent disease activity
21 as an indication of damage, I think the
22 rheumatologists in this group know that sometimes

1 gauging disease activity can be challenging for
2 symptoms that can't be chronic. And what comes to
3 mind is the sinusitis that can be persistent for
4 patients with this disease.

5 So according to their algorithm, for
6 example, participants who have had chronic
7 sinusitis for three months, that [indiscernible]
8 adjudication could have been rated as damaged as
9 opposed to active disease, and I think that line to
10 draw can be very difficult.

11 The same goes with the arthralgias that were
12 shown on the previous screen. It can be very hard
13 to assess whether or not something is due to active
14 disease or if it's due to damage, even considering
15 this persistence of three months.

16 DR. BECKER: That's a really great point.
17 Thank you.

18 I think, Dr. Curtis, you're going to be
19 last, and then we're going to move on to the next
20 two questions. Thank you.

21 DR. CURTIS: Sure. Thank you. Hi. Sean
22 Curtis, industry rep. I was going a little where

1 Dr. Wiesendanger was going, where I think when you
2 have -- and this is true in other therapeutic
3 areas, or imaging studies in oncology where there's
4 central reading of tumor specimens versus
5 investigator or local readings. Typically, one of
6 those is assessed as primary, and then it's
7 important to show consistency for the non-centrally
8 read or non-adjudicated.

9 But at the end of the day, I'm just
10 wondering is there something about the
11 unadjudicated results here that call into question
12 the primary endpoint, which is the adjudicated
13 reading here. And that's just not clear to me if
14 the FDA has fundamental concerns about the
15 adjudicated result, which I think is, to me, the
16 most important question.

17 I didn't quite hear that. I've heard
18 concern that there may be lack of complete
19 consistency, but I didn't hear there was a concern
20 about the integrity of the adjudicated result. But
21 perhaps I didn't understand exactly what the FDA
22 said. Thank you.

1 DR. GLASER: This is Rachel Glaser. As we
2 discussed in the charge slide, there are specific
3 conditions when the FDA can consider a single study
4 to provide substantial evidence of effectiveness,
5 and one of those considerations is when the data is
6 statistically very persuasive and clinically
7 meaningful. The question with regard to the
8 discrepancies in the BVAS remission responses is
9 whether that impacts the assessment of the
10 robustness of the results in order to accept a
11 single study to support an application.

12 DR. CURTIS: Okay. Thank you.

13 DR. BECKER: Okay. To briefly summarize,
14 another robust discussion regarding the
15 discrepancies in the BVAS score. I think it was
16 mentioned throughout, with clarification, about the
17 differences in investigator versus the adjudicator,
18 the adjudication committee, prespecified to utilize
19 the adjudication committee scores for the BVAS.

20 However, there were differences in how those
21 site investigators may have scored the BVAS, and at
22 least in some of the data that was provided by the

1 sponsor explaining why some of those scores were
2 changed by the adjudication committee.

3 However, the loss of significance, based on
4 the investigator assessment for some members of the
5 committee, made that less potentially an important
6 significant outcome, and that it may have impacted
7 the weight of the significance noted at 52 weeks.

8 That's probably the summary that I'll leave.
9 So if there are no further discussions on the
10 question at hand, we will now move on to
11 question 3.

12 May the committee discuss whether the data
13 support the use of avacopan as a steroid-sparing
14 agent in anti-neutrophil cytoplasmic autoantibody
15 associated vasculitis. Include discussion on the
16 following: number one, the use of additional
17 non-study supplied glucocorticoids in the avacopan
18 group; number two, the impact of a potential
19 increase in glucocorticoid exposure due to the
20 CYP3A4 inhibition by avacopan.

21 The question is now open for discussion.
22 Are there any questions, first of all, or issues

1 with the wording of the question?

2 (No response.)

3 DR. BECKER: Dr. Curtis, do you still have
4 your hand up?

5 DR. CURTIS: By mistake. Sorry. I'll put
6 it down right now.

7 DR. BECKER: Dr. Dellaripa?

8 DR. DELLARIPA: Yes. This is Paul
9 Dellaripa. I think this follows up with what has
10 been said already about steroids. I'll stick with
11 point A here, which is that the data in my mind
12 does support the use of this medication as a
13 steroid-sparing agent, but if we looked at the
14 non-protocol use of steroids, as Dr. Kim mentioned,
15 both groups used them.

16 If you looked at some of the slides that
17 were provided by Dr. Glaser, the amount of steroids
18 used was the percentage of people in the study
19 group that did get steroids was less
20 percentage-wise, maybe 25 percent, and the group
21 who are just on prednisone was up to 36 percent.

22 So there was a difference it seems, but it's

1 not as if this drug is going to eliminate the use
2 of steroids, or the potential use of steroids,
3 which goes back to the point that at least for the
4 foreseeable future, there's no magic bullet for the
5 treatment of ANCA-associated vasculitis, and
6 steroids are always going to be part of our
7 armamentarium. And lessening the use of them is
8 our short-term goals, and I think that this does
9 support that. But I think there is a bottom level
10 under which getting below certain dose of steroids
11 or no use of steroids is maybe not within our
12 capability at this time because of the
13 heterogeneity of disease that exists as we know it.
14 And I'll leave it at that. Thank you.

15 DR. BECKER: Thank you very much.

16 We will, just for the sake of time, lump
17 both A and B into our discussion today.

18 I think, Dr. Kraft, you are next on my list.

19 DR. KRAFT: Walter Kraft, Thomas Jefferson.
20 For B specifically, even if the dosing of avacopan
21 in the phase 1 trial had generated exposures
22 similar to that, which we would see at steady

1 state, I think that the potential increase due to
2 inhibition by avacopan is probably insignificant
3 for the purposes of this discussion.

4 I think having precedent from other strong
5 and medium inhibitors demonstrates nominal, if any,
6 effects on prednisone. So I would say that
7 probably could be taken off the table for the
8 purposes of our discussion.

9 DR. BECKER: Excellent. So to summarize,
10 from your opinion, the inhibitory effect on 3A4 by
11 avacopan, based on the data that you have been
12 presented, does not look to be a significant
13 drug-drug interaction and put at risk for increased
14 glucocorticoid exposures.

15 DR. KRAFT: Yes, based upon known studies
16 with other known inhibitors of a stronger
17 magnitude.

18 DR. BECKER: Okay. Thank you.

19 Dr. Pisetsky?

20 DR. PISETSKY: I actually think there are
21 data since the toxicity index was calculated, and I
22 would presume if it was a significant effect of

1 avacopan on metabolism with glucocorticoids, it
2 would have been reflected in the toxicity index; so
3 I would agree.

4 But the other is that I think it's probably
5 useful to distinguish two phases in the use of
6 glucocorticoids, where one is induction and the
7 other is maintaining remission. And I think the
8 data we have really says that in maintaining
9 remission, there may not be that great a
10 steroid-sparing effect because of the similarity in
11 the use of non-study supplied glucocorticoids. But
12 upfront there questionably was a difference, but
13 that was the nature of the design.

14 DR. BECKER: Okay. Thank you.

15 Dr. Thadhani?

16 DR. THADHANI: Thank you. Just to comment
17 and agree with my previous colleagues, in looking
18 at the safety results, I would have expected if
19 there was a significant effect of avacopan on
20 glucocorticoid metabolism, then side effects such
21 as opportunistic infections, psychological,
22 metabolic, and so forth, perhaps would have been

1 more similar between the two arms, albeit depending
2 on what at time point do you look at.

3 It doesn't take away from the fact that
4 there may be an effect on CYP3 for other
5 medications, but at least for steroids, I agree
6 with my colleagues, it does not appear significant.

7 DR. BECKER: Thank you.

8 Dr. Singh?

9 DR. SINGH: Jasvinder Singh, University of
10 Alabama at Birmingham. By dose, there is a
11 difference between the two groups. By proportion
12 of people, I think, as we've seen, the percentages
13 look kind of similar.

14 I think that one unknown, which I think all
15 of us would like to know as adult rheumatologists,
16 is, if this were tested as a drug for maintenance,
17 what would be the cumulative reduction in the total
18 prednisone dose of a duration of exposure to
19 prednisone?

20 But even more importantly, what proportion
21 of people will have infections or osteoporotic
22 fractures in the two arms on a person-year basis,

1 not having that as a primary outcome, but looking
2 at that as data were one or two years?

3 I think there's a potential for us to go for
4 that dose data that did not exist at present. The
5 total milligrams, there's a difference. The
6 question is what is the clinically meaningful
7 impact of that 2-and-a-half gram difference between
8 the two arms? What does it translate into? How
9 about that experience extended over a 2, 5,
10 10-period?

11 Now, we're not going to have a 10-year
12 trial, but does it translate into clinical events,
13 infections or osteoporotic fractures, and some of
14 the other patient-reported outcomes as well?

15 I think GTI does open up an avenue of a
16 physician-based assessment as a validated tool. I
17 think some patient-based assessment or clinical
18 outcomes, at least on a person-year incident, even
19 if not of statistical significance, for a period of
20 more than one year perhaps could shed some light
21 into what is the long-term clinically meaningful
22 impact of the steroid dose-reduction effect of this

1 medication.

2 That is certainly a potential for us to look
3 at additional studies of this medication. That's
4 the end of my comment.

5 DR. BECKER: Thank you very much.

6 Dr. Chung, you'll be last.

7 DR. CHUNG: I actually have a very difficult
8 time with this question -- sorry; Sharon Chung,
9 University of California, San Francisco -- because
10 I do feel that avacopan is likely steroid sparing
11 based on the data that has been presented. But I
12 am not necessarily sure if avacopan can replace
13 prednisone or the oral prednisone that we use
14 outside of, for example, what's given for rituximab
15 therapy or such.

16 Just given the additional non-study supplied
17 glucocorticoids in the study, I'm not sure I can
18 say comfortably that one can use avacopan instead
19 of oral prednisone, for example, at week 14, or
20 week 16, or something along those lines for all
21 patients. That's my last [inaudible - audio
22 distorted]. Thank you.

1 DR. BECKER: Okay. Thank you all very much
2 for all of your comments.

3 To summarize, it sounds -- I'll start with
4 B, which is a little easier -- that there was less
5 concern by the committee that there is potential
6 increase, or at least significant enough increase,
7 in glucocorticoid exposure, due to CYP3A4
8 inhibition by avacopan, to cause toxicity or
9 problems, especially based on some of the stronger
10 CYP3A4 inhibitor data that was presented, as well
11 as no increased toxicity scores presented in the
12 clinical data.

13 The use of additional non-study supplied
14 glucocorticoids continues to be sometimes, it
15 sounds like, hard to interpret as far as the
16 additional steroid-sparing effect, or whether this
17 would be completely steroid sparing or partially
18 steroid sparing of an agent. Certainly, people
19 agree that there may be less steroid usage, but the
20 proportion of patients supported that
21 glucocorticoids continue to be needed, even in
22 patients who used or were randomized to the

1 avacopan group.

2 Just to make note, we are coming upon 4:15,
3 and we will be running past time. We'll try to
4 finish up question 4 and move along as
5 expeditiously as possible. I just wanted to let
6 the committee know that, clearly, we are running a
7 little bit behind.

8 So if there are no further discussions on
9 this question, we will now move on to question 4,
10 which is, based on the data from the clinical
11 program, please discuss how avacopan, if approved,
12 should be used in the treatment of ANCA-associated
13 vasculitis.

14 Are there any questions or issues related to
15 the wording of this question?

16 (No response.)

17 DR. BECKER: Okay. It's open for
18 discussion.

19 Dr. Oliver?

20 (No response.)

21 DR. BECKER: Dr. Oliver, you may still be on
22 mute.

1 DR. OLIVER: Alright. Can you hear me now?

2 DR. BECKER: I sure can.

3 DR. OLIVER: Thank you.

4 What I was going to say is, frankly, I think
5 this is a very difficult question to answer. From
6 all of the discussion that we've been having, we
7 can't get a clear understanding of background
8 steroids and the influence on remission with the
9 non-study steroids. So I think the appropriate
10 question would be what studies we would design so
11 that we could answer the question of how to best
12 use avacopan in the future. That's all I have to
13 say.

14 DR. BECKER: Excellent. Thank you for your
15 comment.

16 DR. BECKER: Dr. Sperati?

17 DR. SPERATI: John Sperati from Johns
18 Hopkins. I agree with that comment, and I think
19 this trial, as designed and then ultimately
20 executed, doesn't directly address its role for
21 induction, nor its role in maintenance, and
22 certainly not maintenance therapy.

1 So to the point of the question itself, I
2 think if approved, one would have to go with the
3 manner in which it was utilized in this study,
4 which was part of induction therapy. But where its
5 true efficacy lies within the different treatment
6 regimens available to us, I think still remains
7 unclear from these data.

8 DR. BECKER: Thank you so much for your
9 comment.

10 Dr. Pisetsky?

11 DR. PISETSKY: I think like many new drugs,
12 it would likely be used, if approved, in people who
13 had persistent activity or had frequent relapses
14 despite being on other agents like rituximab or
15 Cytoxan and Imuran, who still required high doses
16 of steroids. I think any new agent would probably
17 be first used in that patient setting.

18 DR. BECKER: Okay.

19 Dr. Richards?

20 If I could remind folks to lower their hands
21 once they're done speaking, that would be great, so
22 I don't call on you twice. Thank you

1 Dr. Richards?

2 DR. RICHARDS: Hi. John Richards, VA
3 Pittsburgh. Yes, I agree with the comments that
4 were previously made. The study was designed to
5 use this drug, avacopan, to substitute for
6 prednisone at the time of induction. But I think
7 the question is which patients would use that?

8 Would you use it in patients with extremely
9 active vasculitis with increasing creatinine? It's
10 probably [indiscernible] patients, but I don't know
11 that the study really answers that question with it
12 being non-inferior. And using the rituximab and
13 Cytoxan at the time of induction, I think the gist
14 is that you think it may be beneficial as
15 maintenance therapy, yet the trial really wasn't
16 designed to show that. But that seems to be the
17 gist of where its place may be, so we need studies
18 to show that. Thank you.

19 DR. BECKER: Thank you.

20 Dr. Wiesendanger?

21 DR. WIESENDANGER: This is Margrit
22 Wiesendanger. Thank you for calling on me. The

1 way I see the data -- because I'm trying to sort of
2 formulate in my mind where is the best evidence or
3 the most robust evidence for benefit to patients,
4 and it seems to me that induction therapy in
5 patients who are treated with rituximab, that's
6 really where the value of this drug is. I'm
7 thinking of patients who are at highest risk of
8 harm from high-dose steroids and protecting those
9 patients.

10 The best example is a patient with giant
11 cell arteritis who is elderly and could have the
12 most severe side effects from high-dose prednisone.
13 So bringing that to AAV, that would be the
14 population I would be looking at to protect.
15 That's my comment. Thank you.

16 DR. BECKER: Thank you very much.

17 Dr. Thadhani?

18 DR. THADHANI: Thank you. I was actually
19 going to make the same comment as the colleague
20 just before me, those patients at highest risk of
21 complications from steroids: diabetics,
22 pre-existing infection. And albeit a subgroup

1 analysis, certainly the relapse data -- meaning
2 those individuals that came into the study with a
3 history of relapse and clinicians looking for
4 opportunities to try something different in the
5 context of that -- those data seemed compelling,
6 again. But that was the subgroup analysis, as
7 people know. Thank you.

8 DR. BECKER: Thank you very much.

9 Dr. Richards, do you have another comment or
10 is it just a leftover hand raised?

11 DR. RICHARDS: Sorry. I apologize. I
12 forgot to lower my hand.

13 DR. BECKER: No worries.

14 Alright. Dr. Chung?

15 DR. CHUNG: I think I agree that given a new
16 medication, that it would ideally be used in those
17 patients who are relapsing or not responding well
18 to therapy. I just am concerned that given the
19 aura surrounding glucocorticoid use and the
20 well-known side effects of glucocorticoid use, that
21 if approved, it would just rapidly become first
22 line instead of being a rescue therapy. That's all

1 I have. Thank you.

2 DR. BECKER: Okay. To summarize, this was a
3 difficult question for the committee to answer,
4 particularly because the study doesn't necessarily
5 directly address induction versus maintenance
6 therapy, and as such, may force any use to
7 essentially follow the trial design.

8 However, there were some great points
9 brought up about the concept of utilizing this for
10 induction therapy as design, but also in patients
11 with the highest risk for harm, a risk for
12 complications from high-dose steroids, as well as
13 patients who are at risk for relapse

14 So in the conversations that the committee
15 had, difficult to answer but were able to think
16 through some specific patient populations where
17 this may be of benefit. But some additional
18 concerns are that it may be used more widespread
19 than just in patients who had -- or are at
20 excessively high risk for high-dose steroids and
21 their harmful effect.

22 Okay. If there are no further discussions

1 on this question, on this discussion question, we
2 will now move on to the next question, which is a
3 voting question.

4 Dr. Moon Hee Choi will provide the
5 instructions for voting.

6 DR. CHOI: Questions 5, 6, and 7 are voting
7 questions. Voting members will use the Adobe
8 Connect platform to submit their votes for this
9 meeting. After the chairperson has read the voting
10 questions into the record and all questions and
11 discussion regarding the wording of the vote
12 questions are complete, the chairperson will
13 announce that voting will begin.

14 If you're a voting member, you'll be moved
15 to a breakout room. A new display will appear
16 where you can submit your vote. There will be no
17 discussion in the breakout room. You should select
18 the radio button that is the round circular button
19 in the window that corresponds to your vote, yes,
20 no, or abstain. You should not leave the "no vote"
21 choice selected.

22 Please note that you do not need to submit

1 or send your vote. Again, you need only to select
2 the radio button that corresponds to your vote.
3 You have the opportunity to change your vote until
4 the vote is announced as closed. Once all voting
5 members have selected their votes, I will announce
6 that the vote is closed.

7 Next, the vote results will be displayed on
8 the screen. I will read the vote results from the
9 screen into the record. Thereafter, the
10 chairperson will go down the roster and each voting
11 member will state their name and their vote into
12 the record. You can also state the reason why you
13 voted as you did, if you want. However, you should
14 also address any subparts of the voting question,
15 if any.

16 Are there any questions about the voting
17 process before we begin?

18 (No response.)

19 DR. BECKER: Okay. Question number 5. Do
20 the efficacy data support approval of avacopan for
21 the treatment of adult patients with ANCA-
22 associated vasculitis, including granulomatosis

1 with polyangiitis, GPA, and microscopic
2 polyangiitis, MPA? If you vote no, what data are
3 needed?

4 Are there any concerns about the wording of
5 this question?

6 (No response.)

7 DR. BECKER: If there are no questions or
8 comments concerning the wording of the question, we
9 will now begin the voting on question 5.

10 DR. CHOI: We will now move voting numbers
11 to the voting breakout room to vote only. There
12 will be no discussion in the voting breakout room.

13 (Voting.)

14 DR. CHOI: The voting has closed and is now
15 complete. Once the vote results display, I will
16 read the vote results into the record.

17 (Pause.)

18 DR. CHOI: The vote results are displayed.
19 I will read the vote totals into the record. The
20 chairperson will go down the list and each voting
21 member will state their name and their vote into
22 the record. You can also state the reason why you

1 voted as you did, if you want to. However, you
2 should also address any subparts of the voting
3 question, if any.

4 For the record, we have 9 yes, 9 no, zero
5 abstentions.

6 DR. BECKER: Thank you.

7 We will now go down the list and have
8 everyone who voted state their name and vote into
9 the record. You may also provide justification of
10 your vote, if you wish to.

11 I'd like to please ask that you stay brief
12 in your explanation, and if your answer is in
13 repetition from thoughts that were already
14 expressed, feel free to pass on re-explaining.

15 We will start with Dr. Sperati.

16 DR. SPERATI: This is John Sperati, and I
17 confirm that I voted no. I do feel the data
18 suggest avacopan may mildly reduce steroid
19 exposure, but there are still concerns with the
20 study design and the non-study supplied
21 glucocorticoid use to render it rather unclear the
22 extent to which avacopan is providing the benefit

1 versus just benefit from lower dose steroids and
2 maintain disease remission in general. There are
3 unresolved questions in regard to its use with
4 cyclophosphamide versus rituximab as well as the
5 maintenance.

6 In the end, given the charge to us from the
7 FDA for a single study submitted for approval, I do
8 not believe that the data were sufficiently very
9 persuasive from a statistical perspective. Thank
10 you.

11 DR. BECKER: Thank you.

12 Dr. Oliver?

13 DR. OLIVER: Alyce Oliver, Augusta
14 University. I voted no. Although there is an
15 unmet need for improved therapeutics for treatment
16 of ANCA-associated vasculitis, I didn't think that
17 we could determine the magnitude of treatment
18 effect of avacopan, in that I didn't find the
19 statistical analysis persuasive. That is all.

20 DR. BECKER: My name is Mara Becker. I
21 voted no for largely the same reasons, based on the
22 FDA's guidance that a single study should be

1 limited to situations in which the trial has
2 demonstrated a clinically meaningful and
3 statistically very persuasive effect. There were
4 just too many factors to consider in this study to
5 make me feel confident in having it be the single
6 study to result in approval.

7 Susanne May? Dr. May?

8 DR. MAY: Susanne May. I voted yes. Even
9 though there were a number of caveats and
10 challenges with regard to the interpretation, I did
11 think that it maybe just barely met the criteria of
12 substantial evidence for efficacy. That's it.

13 DR. BECKER: Dr. Singh?

14 DR. SINGH: Jasvinder Singh, University of
15 Alabama at Birmingham. I voted no. At the cost of
16 not repeating the previous argument, I would say
17 that I did not see persuasive evidence of
18 clinically meaningful, statistically significant
19 persuasive evidence from the single trial.

20 I do think that additional data can
21 certainly make this a potential treatment, and we
22 really do need new treatments in vasculitis, which

1 is a life-threatening condition for which very few
2 treatments are available.

3 DR. BECKER: Dr. Wiesendanger?

4 DR. WIESENDANGER: This is Margrit
5 Wiesendanger. Even though the results did not show
6 that you could completely remove steroids from the
7 equation, I felt that the steroid-sparing effect
8 was still sufficient to warrant approval of this
9 drug. Thank you.

10 DR. BECKER: Dr. Thadhani?

11 DR. THADHANI: Thank you. I think the
12 combination of orphan indication and a very
13 difficult-to-do study in a population where we're
14 not going to get rid of steroids, this study
15 chipped away at that possibility and met its
16 primary endpoint in a robust fashion. So that's
17 why I voted yes. Thank you.

18 DR. BECKER: Dr. Chung?

19 DR. CHUNG: Sharon Chung, University of
20 California, San Francisco. I voted no along the
21 lines [indiscernible] -- was robust enough to
22 justify a single study for approval, as indicated

1 by the FDA.

2 DR. BECKER: Dr. Kim?

3 DR. S. KIM: Seoyoung Kim, Brigham and
4 Women's Hospital, Boston. I voted yes despite some
5 limitations in the trial. I think with a newer
6 trial, I don't think it would be easy to make a
7 decision even then.

8 DR. BECKER: Dr. Lewis?

9 (No response.)

10 DR. BECKER: Dr. Lewis, are you on mute?

11 (No response.)

12 DR. BECKER: I think she got disconnected.

13 Dr. Dellaripa?

14 DR. DELLARIPA: Yes. This is Paul Dellaripa
15 from the Brigham and Women's Hospital, and I do
16 vote yes. I think it does meet a threshold for
17 efficacy despite the limitations. I also think
18 future trials, looking at some of the details we
19 talked about, will run into some similar
20 limitations, but I think for those reasons I voted
21 yes. Thank you.

22 DR. BECKER: Dr. Nason?

1 DR. NASON: This is Martha Nason from NIH.
2 I voted no. Similar to what my colleagues have
3 expressed, I felt it was certainly promising but
4 not pervasive or robust statistically enough to be
5 a single study.

6 To quickly answer the question of what data
7 do I think are still needed, I would expect a
8 confirmatory study would help clarify the best use
9 as far as maintenance or the initial dose, and also
10 give some insight if it could be compared to a
11 current standard of care that includes the
12 maintenance dose.

13 DR. LEWIS: Dr. Becker, I apologize. I got
14 booted out of the meeting. I'd be glad to read my
15 vote in now, or later if you would prefer.

16 DR. BECKER: Thank you, Dr. Lewis. That
17 sounds like you. Feel free. You can go.

18 DR. LEWIS: Okay. I voted no. I agree with
19 all the previous comments. My additional comment
20 would be that I don't think the weight of the
21 efficacy outweighs the limitations of the safety
22 database, and the suggestions within the safety

1 database that there were safety issues that needed
2 further exploration.

3 On what other data are needed, I think a
4 more limited trial looking at a standard-of-care
5 induction, followed by using the study of avacopan
6 to be in a maintenance study only, rather than kind
7 of an overreach of induction and maintenance, which
8 I think introduced a lot of technical and
9 interpretation questions. Thank you. I'm done.

10 DR. BECKER: Excellent. Thank you.

11 Ms. Johnson?

12 MS. JOHNSON: Hetlena Johnson, and I did
13 vote yes. Although there needs to be even more of
14 a beneficial change shown with some of the
15 therapies that were provided with how it did the
16 GCs, I still feel there was an achievable response
17 in the data to show that it should be sufficient
18 and beneficial in terms of the efficacy data.

19 Hetlena Johnson.

20 DR. BECKER: Thank you.

21 Just to remind folks, if you could also
22 comment on what additional data might be needed,

1 which I think a number of us forgot in the earlier
2 stages, that would be terrific.

3 Dr. Pisetsky?

4 DR. PISETSKY: I voted yes. I think the
5 study met its endpoints. For the novel mode of
6 action for a heterogeneous disease, I think that
7 was sufficient evidence to show benefit.

8 DR. BECKER: Thank you.

9 Dr. Richards?

10 DR. RICHARDS: John Richards. I voted no,
11 somewhat reluctantly. I'm aware of the
12 complications of steroids in this group of
13 patients, and how rare this disease is, and how
14 difficult it is to perform trials. But I think
15 with the FDA mandate, I think the data just weren't
16 robust enough for a single trial; so I think
17 certainly a second trial to show confirmation of
18 efficacy or more robustly showing efficacy.

19 Again, I think the drug seems to be more
20 positioned for maintenance therapy, and I thought
21 that the trial was [indiscernible] maintenance
22 therapy, and longer term data as well, longer term

1 follow-up, open-label extension [indiscernible]
2 with this agent.

3 DR. BECKER: Thank you for your comment.

4 Dr. Shaw?

5 DR. SHAW: Hi. Yes. This is Pamela Shaw.

6 I voted no, I think also somewhat reluctantly. But
7 for the reasons that were stated, the
8 interpretability of this result is difficult
9 because of the maintenance issue not being equal
10 across the arms.

11 In terms of the additional data, just
12 because this was a single trial and there are these
13 questions that persist, I did also think the
14 phase 2 data was somewhat underwhelming, and that
15 we do need additional evidence that's a
16 limited-scope trial.

17 I actually think, given the difficulties
18 with steroids, especially expressed by the patients
19 today, that a non-inferiority would even be
20 acceptable in this additional trial, I think, if
21 there was a robust data collected that showed and
22 confirmed that the steroid use can be lowered and

1 that you can maintain a reasonable remission rate,
2 given more equal arms with respect to standard of
3 care and maintenance. Thank you.

4 DR. BECKER: Thank you.

5 Dr. Brant?

6 DR. BRANT: Hi. Elizabeth Brant. I did
7 vote yes, a little bit reluctantly because I do
8 think there are some issues with the trial design
9 that have been discussed at length. However, there
10 are a number of patients, and we heard from some of
11 them today, who cannot get off maintenance
12 immunosuppression, particularly glucocorticoids,
13 who have other more comorbidities that put them at
14 high risk of complications if they're put on
15 glucocorticoids; and this may offer an option for
16 those patients in particular.

17 DR. BECKER: Thank you.

18 Dr. Kraft?

19 DR. KRAFT: Walter Kraft. I voted yes.
20 Regulatory decisions are not made in a vacuum;
21 they're made in the context of existing
22 therapeutics and societal needs. On that basis, I

1 felt the evidence, though limited, met the societal
2 and therapeutic goals. I was also concerned about
3 the requirement for a subsequent trial in terms of
4 timeline and delay, ultimately, of approval that
5 may put this several years down the road.

6 DR. BECKER: Okay. Thank you everyone.

7 To summarize, as may be expected, we as a
8 committee were split in our vote, half voting yes
9 and half voting no to this answer, with a number of
10 suggestions, including the longer term, larger
11 data, maybe in a maintenance phase, to better
12 characterize the effect, with an acceptability for
13 a non-inferiority trial to be considered as well,
14 especially in light of the fact that there's real
15 benefit in steroid-sparing effects. At least it
16 appears to be with this drug thus far.

17 Okay. We will now move on to question 6,
18 which is another voting question.

19 Is the safety profile of avacopan adequate
20 to support approval of avacopan for the treatment
21 of adult patients with ANCA-associated vasculitis,
22 including GPA and MPA? If you vote no, what data

1 are needed?

2 Are there any questions about the wording of
3 this question?

4 (No response.)

5 DR. BECKER: If there are no questions or
6 comments concerning the wording of this question,
7 we will now begin the voting on question 6.

8 DR. CHOI: We will now move voting members
9 to the voting breakout room to vote only. There
10 will be no discussion in the voting breakout room.

11 (Voting.)

12 DR. CHOI: The voting is closed and is now
13 complete. Once the vote results display, I will
14 read the vote results into the record.

15 (Pause.)

16 DR. CHOI: The vote results are displayed.
17 I will read the vote totals into the record. The
18 chairperson will go down the list and each voting
19 member will state their name and their vote into
20 the record. You can also state the reason why you
21 voted as you did, if you want to. However, you
22 should also address any subparts of the voting

1 question, if any.

2 For the record, we have 10 yes, 8 no, and
3 zero abstentions.

4 DR. BECKER: Thank you. We will now go down
5 the list and have everyone who voted state their
6 name and vote into the record. You may also
7 provide justification of your vote, if you wish to.

8 We'll start with Dr. Sperati.

9 DR. SPERATI: John Sperati. I voted yes.
10 The safety database is admittedly small, and I
11 would have concerns, as I would with any new drug
12 entering the market. But I don't believe the
13 current safety data should preclude approving
14 avacopan. I would support a postmarketing
15 surveillance, though, from a safety perspective.

16 DR. BECKER: Thank you.

17 Dr. Oliver?

18 DR. OLIVER: Alyce Oliver, Augusta
19 University. I voted no. Just as Dr. Sperati said,
20 actually it's a small database. I believe there
21 are only 166 patients who were exposed for
22 52 weeks. So it would be nice, since this is a

1 novel therapeutic, to have a greater number of
2 patients exposed to the drug to really determine if
3 there is hepatobiliary toxicities, risk of elevated
4 CPKs, and a risk of angioedema. Thanks.

5 DR. BECKER: Thank you.

6 My name is Mara Becker. I voted yes. In
7 agreement with Dr. Sperati's comments, I felt that
8 the sponsor also adequately explained some of the
9 signals that were seen, and I think in the
10 complexity of the patient population, they will
11 continue to have signals that will need to be
12 really thought through carefully. More data and
13 more patients to follow over time will be helpful,
14 but I still voted yes.

15 DR. BECKER: Dr. Lewis?

16 DR. LEWIS: I voted no, and I agree that
17 data that needs to be added is more patient data;
18 and certainly looking at adverse events with
19 special interest to include rhabdo and angioedema,
20 in addition to elevated liver function tests.

21 DR. BECKER: Thank you.

22 Dr. May?

1 DR. MAY: Susanne May. I voted yes, and I
2 don't really have much to add to the comments that
3 the previous reviewers who voted yes had. That's
4 it.

5 DR. BECKER: Thank you.

6 Dr. Singh?

7 DR. SINGH: Jasvinder Singh, University of
8 Alabama at Birmingham. I voted have no due to
9 concerns about the hepatotoxicity and angioedema.
10 I suggest we have data on more patients, longer
11 term data than 52 weeks, and also data on
12 minorities such as Hispanics and African-Americans,
13 who are very poorly represented in the current
14 trial.

15 DR. BECKER: Thank you.

16 Dr. Wiesendanger?

17 DR. WIESENDANGER: This is Margrit
18 Wiesendanger, and I voted yes. Even though it was
19 a small database, the trend was favoring avacopan
20 compared to the prednisone arm in terms of safety.
21 There was this mention about Hy's law, potentially
22 one case that the FDA highlighted, but there was

1 some disagreement about whether that truly was a
2 Hy's law case. So since there were no fatalities
3 due to DILI, I still felt that this was safe to
4 proceed. Thank you.

5 DR. BECKER: Thank you.

6 Dr. Thadhani?

7 DR. THADHANI: Thank you. I agree with my
8 colleagues who voted no, albeit for the same
9 reasons that some of the others voted yes. I don't
10 think anyone disagrees that additional data are
11 needed, and monitoring. I think the diversity part
12 was an important point that Dr. Singh brought up.
13 Thank you.

14 DR. BECKER: Dr. Nason?

15 This is Martha Mason. I voted no, and I
16 don't really have much to add beyond what's been
17 brought up. It was mostly just the small sample
18 size and the lingering questions.

19 DR. BECKER: Dr. Kim?

20 DR. S. KIM: Seoyoung Kim. I voted yes, but
21 I think long-term postmarketing surveillance is
22 needed.

1 DR. BECKER: Dr. Dellaripa?

2 DR. DELLARIPA: Yes. It's Paul Dellaripa
3 from The Brigham, and I voted yes. And I don't
4 have anything else to add on top of the comments
5 that have already been added. Thank you.

6 DR. BECKER: Thank you.

7 Dr. Chung?

8 DR. CHUNG: This is Sharon Chung, University
9 of California, San Francisco. I voted yes without
10 additional comments. Thank you.

11 DR. BECKER: Thank you.

12 Ms. Johnson?

13 MS. JOHNSON: Hetlena Johnson. I voted no,
14 as same as the colleagues before me stating that a
15 little bit more data could be sufficient, and I do
16 believe that more subgroups should be studied.

17 DR. BECKER: Thank you.

18 Dr. Richards?

19 DR. RICHARDS: John Richards. I voted no
20 for the reasons already stated by Dr. Singh. Thank
21 you.

22 DR. BECKER: Dr. Pisetsky?

1 DR. PISETSKY: I voted no. We have some
2 small sample size concerns about certain side
3 effects and interest in more long-term data, given
4 the way the agent may be used.

5 DR. BECKER: Dr. Shaw?

6 DR. SHAW: Pamela Shaw. I voted yes for the
7 reasons already stated; no additional comments.

8 DR. BECKER: Thank you.

9 Dr. Brant?

10 DR. BRANT: I voted yes. Even though there
11 are some risks associated with the avacopan, I
12 think those risks could be mitigated with really
13 good patient education and very close monitoring,
14 compared with the risk of glucocorticoids, which
15 are nearly universal, cause severe morbidity, and
16 are often irreversible.

17 DR. BECKER: Thank you.

18 Dr. Kraft?

19 DR. KRAFT: Walter Kraft. I voted yes,
20 largely for reasons that parallel mine for the
21 efficacy. Thank you.

22 DR. BECKER: Thank you.

1 In summary, we have 10 yeses and 8 noes.
2 Interestingly, the rationale tend to be the same on
3 both sides as far as people agreeing that more data
4 would be helpful, more long-term data would be
5 helpful, and that in many folks' eyes it was safe
6 to proceed, and in others, that additional data
7 would be quite helpful in determining its long-term
8 safety in this patient population that are
9 complicated.

10 Okay. We will now move on to question 7,
11 which is a voting question. Question 7 is, is the
12 benefit-risk profile adequate to support approval
13 for avacopan at the proposed dose of 30 milligrams
14 twice daily for the treatment of adult patients
15 with ANCA-associated vasculitis, including GPA and
16 MPA? If you vote no, what further data are needed?

17 Are there any questions or issues around the
18 wording of this question?

19 (No response.)

20 DR. BECKER: If there are no questions or
21 comments concerning the wording of this question,
22 we will now begin the voting on question 7.

1 DR. CHOI: We will now move voting members
2 to the voting breakout room to vote only. There
3 will be no discussion in the voting breakout room.

4 (Voting.)

5 The voting has closed and is now complete.
6 Once the vote results display, I will read the vote
7 results into the record.

8 (Pause.)

9 DR. CHOI: The vote results are displayed.
10 I will read the vote totals into the record. The
11 chairperson will go down the list and each voting
12 member will state their name and their vote into
13 the record. You can also state the reason why you
14 voted as you did, if you want to. However, you
15 should also address any subparts of the voting
16 question, if any.

17 For the record, we have 10 yes, 8 no, zero
18 abstentions.

19 DR. BECKER: Thank you.

20 We will now go down the list and have
21 everyone who voted state their name and vote into
22 the record. You may also provide justification for

1 your vote, if you wish to. Please remember to
2 state your name after I call on you.

3 We'll start with Dr. Sperati.

4 DR. SPERATI: John Sperati. I voted no. I
5 don't believe the phase 2 data, as well as the
6 preclinical data, that was submitted in the
7 briefing documents, clearly establish the optimal
8 dose. We do now, however, have data on
9 30-milligram twice-daily dosing from ADVOCATE. And
10 since I voted no overall for approval of avacopan
11 based on a single study, my feeling is if
12 additional studies are done, then we ought to have
13 adequate data at that point as to whether
14 30 milligrams twice daily would be appropriate.
15 Thank you.

16 DR. BECKER: Thank you.

17 Dr. Pisetsky?

18 DR. PISETSKY: I voted no. Given the issues
19 concerning efficacy, I think the amount of data on
20 risks, which is not sufficient to give a yes vote
21 at this time, I think there are too many unknowns.

22 DR. BECKER: Thank you.

1 I'm Mara Becker. I voted no, in part
2 because I voted no due to the efficacy on the first
3 voting question. And although it pains me, because
4 I really want more steroid-sparing agents, I think
5 a little bit more data with another confirmatory
6 trial to show steroid-sparing effect and a more
7 clearly designed efficacy trial would be supportive
8 and helpful in this regard.

9 Dr. Lewis?

10 DR. LEWIS: I voted --

11 DR. BECKER: Please remember to state your
12 name.

13 DR. LEWIS: -- no.

14 DR. BECKER: Please remember to state your
15 name. Thank you.

16 DR. LEWIS: This is Julia Lewis. I voted
17 no. Even if I had voted yes for the previous two
18 questions, which obviously I didn't -- I voted no
19 for both -- this is a way too broad written
20 indication far exceeding -- I think even if you had
21 believed yes for the other two -- the data
22 presented to us.

1 DR. BECKER: Thank you.

2 Dr. May?

3 DR. MAY: Susanne May. I voted yes,
4 primarily for the same reasons as I stated in the
5 other questions, but also [inaudible - audio lost].

6 DR. BECKER: Dr. May?

7 (No response.)

8 DR. BECKER: Alright. We may come back to
9 her.

10 Dr. Singh?

11 DR. SINGH: Jasvinder Singh. I voted no due
12 to the previously stated concerns about efficacy
13 trial design issues, as well as some concerns of
14 safety data.

15 DR. BECKER: Thank you.

16 Dr. Wiesendanger?

17 DR. WIESENDANGER: This is Margrit
18 Wiesendanger. I voted yes, and I'm going to say
19 that judicious use of this new medication will be
20 warranted, and perhaps additional guidance could be
21 given to rheumatologists to help them decide for
22 whom this medication is best reserved. Thank you.

1 DR. BECKER: Okay. Thank you.

2 I'm going to go back to Dr. May since I
3 think she's back and connected.

4 DR. MAY: Yes. Thank you. My phone call
5 got dropped.

6 So considering the three aspects of
7 substantial evidence, well-controlled studies and
8 robustness of the confirmatory evidence, I think
9 all of them have some concern, but they did not
10 reach the level for me in relationship to the
11 risk-benefit profile. That was it. Thanks.

12 DR. BECKER: Thank you.

13 Dr. Thadhani?

14 DR. THADHANI: Thank you. Ravi Thadhani. I
15 voted yes. I believe the evidence suggests that
16 the benefits outweigh the risks, given this
17 population is desperately in need of therapy and
18 obviously careful monitoring, and judicious issues
19 would be warranted. Thank you.

20 DR. BECKER: Thank you.

21 Dr. Nason?

22 DR. NASON: This is Martha Mason. I voted

1 yes. I want to preface that with saying I voted no
2 on the first two, so I do very strongly feel that
3 we need more data on the benefit and the risk in
4 order to really assess this.

5 I guess I interpreted this question slightly
6 differently since I had already expressed that I
7 thought of this as sort of, if the benefit and risk
8 hold up in a confirmatory study, as they are here,
9 does that seem that the trade-off will be worth
10 moving forward? And in that sort of hypothetical
11 way, I voted yes, but I do not believe we have the
12 data we need yet; so just to clarify that.

13 DR. BECKER: Okay. Thank you for clarifying
14 that.

15 Dr. Kim?

16 DR. S. KIM: Seoyoung Kim from Brigham and
17 Women's Hospital, Boston. I voted yes. However, I
18 think, like some of the others mentioned, maybe if
19 it's approved, labeling can specify appropriate
20 indication or clinical [indiscernible]. Not
21 everybody with the disease are considered with
22 this, so maybe some more directions are needed.

1 DR. BECKER: Thank you. Dr. Dellaripa?

2 DR. DELLARIPA: This is Paul Dellaripa from
3 Brigham and Women's Hospital, and I voted yes,
4 primarily for what appears to be a role, especially
5 in induction, and I think that the risks and
6 benefits weigh in the direction of approval at this
7 time. Thank you.

8 DR. BECKER: Thank you.

9 Dr. Chung?

10 DR. CHUNG: Sharon Chung, University of
11 California, San Francisco. I also actually voted
12 no in the first question, but I voted yes for this
13 question because I feel while the efficacy data is
14 confounded by all the factors we have discussed
15 above, there does suggest a steroid-sparing effect.
16 And given the toxicity of steroids in this
17 population, potentially decreasing the use of
18 steroids would be greatly beneficial. Thank you.

19 DR. BECKER: Thank you.

20 Dr. Oliver?

21 DR. OLIVER: Alyce Oliver, Augusta
22 University. I voted no. In terms of what further

1 data is needed, since there were uncertainties
2 about the effect size of the glucocorticoids, I
3 would recommend specified steroid tapers in the
4 arms, comparator arm and study arm; and then also a
5 study looking solely at induction versus
6 maintenance. Thank you.

7 DR. BECKER: Thank you.

8 Ms. Johnson?

9 MS. JOHNSON: This is Hetlena Johnson, and I
10 voted yes. Although I do feel that more research
11 and data and analysis of the infections should be
12 made, I did vote yes.

13 DR. BECKER: Thank you.

14 Dr. Richards?

15 DR. RICHARDS: John Richards. I voted no.
16 I previously voted no on the other questions; and
17 again, I think just a more clearly defined study
18 showing the specific indication and some more
19 long-term, open-label data on the safety.

20 DR. BECKER: Thank you.

21 Dr. Shaw?

22 DR. SHAW: Hi. Pamela Shaw. I also voted

1 no. No additional comment.

2 DR. BECKER: Thank you.

3 Dr. Brant?

4 DR. BRANT: I voted yes. I agree with one
5 of the other committee members that this may not be
6 the ideal dose, but it was the dose that was
7 studied, and it's probably a reasonable starting
8 point, again, in very carefully selected patients.

9 DR. BECKER: And last but not least,
10 Dr. Kraft?

11 DR. KRAFT: Walter Kraft. I voted yes.
12 Also, I think there may have been some money left
13 on the table in terms of optimizing the dose. But
14 as has been mentioned, the label is driven by the
15 dose that was tested. The dose that was tested,
16 for the reasons I enumerated, is the appropriate
17 one. That's all.

18 DR. BECKER: Thank you.

19 Okay. To summarize, for question 7, there
20 were 10 yeses and 8 noes. Interestingly, I think
21 people mentioned frequently the need for
22 therapeutics for this indication and the need for

1 steroid sparing being a large reason for their vote
2 for yes.

3 But a number of caveats were mentioned,
4 including additional safety data to assess over
5 time and potentially some additional studies to
6 continue to fine-tune dosing over time as well.
7 But this, again, I think further illustrates the
8 complexity of the questions at hand.

9 So before we adjourn, does the FDA have any
10 last comments that they would like to provide to
11 the committee?

12 DR. GLASER: This is Rachel Glaser. On
13 behalf of the entire FDA review team, I just want
14 to extend my gratitude to the committee members for
15 their participation in this meeting today, for
16 staying till the very end.

17 We acknowledge the preparation that was
18 required for this meeting, and not only reading the
19 briefing documents but watching the prerecorded
20 presentations. Your diligence and preparation was
21 apparent, and we're greatly appreciative of your
22 discussion, which is really helpful to us in our

1 decision making of this very important topic.

2 We also understand that all of you took away
3 time from your busy schedules in this very
4 challenging time. And again, a very special thanks
5 to our chair, Dr. Becker, for running a very
6 organized meeting in this virtual format, and thank
7 you again on behalf of all of us here at FDA.

8 **Adjournment**

9 DR. BECKER: Thank you, Dr. Glaser.

10 I'd like to thank the FDA staff, and of
11 course ChemoCentryx, both of whom provided a lot of
12 detailed, wonderful information to the committee
13 before this, including both written and recorded
14 data, which is terrific.

15 I'd also like to thank all of the members of
16 the public who presented their stories today. I
17 think it really puts a face on the importance of
18 the topic at hand; and particularly thank our
19 outstanding panel of experts that were assembled to
20 come and debate this today. The robust discussion
21 was really outstanding and it really helped, I
22 think, contribute towards a very deep and

1 thoughtful discussion, and hopefully that can be
2 helpful for the FDA.

3 So thanks very much everyone and have a
4 wonderful week, and right now we can adjourn the
5 meeting. Thank you very much.

6 (Whereupon, at 5:11 p.m., the meeting was
7 adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22