

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Afternoon Session

Virtual Meeting

Wednesday, June 9, 2021

3:05 p.m. to 6:09 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Takyiah Stevenson, PharmD

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS

(Voting)

Robin H. Bogner, PhD

Professor

University of Connecticut

School of Pharmacy

Department of Pharmaceutical Sciences

Storrs, Connecticut

1 **Seemal R. Desai, MD, FAAD**

2 Founder & Medical Director

3 Innovative Dermatology

4 Clinical Assistant Professor

5 Department of Dermatology

6 University of Texas Southwestern Medical Center

7 Dallas, Texas

8

9 **Timothy D. Fensky, RPh, DPh, FACA**

10 *(National Association of Boards of Pharmacy*

11 *Representative)*

12 Chief Pharmacy Operations Officer

13 Sullivan's Pharmacy and Medical Supply, Inc.

14 Sullivan's Health Care, Inc.

15 Boston, Massachusetts

16

17 **Sandra J. Fusco-Walker**

18 *(Consumer Representative)*

19 Allergy & Asthma Network

20 Vienna, Virginia

21

22

1 **Padma Gulur, MD, FASA**

2 *(Chairperson)*

3 Professor of Anesthesiology

4 Executive Vice Chair for Performance and

5 Operations

6 Department of Anesthesiology

7 Director of Pain Management Strategy and Opioid

8 Surveillance

9 Medical Director Acute Pain Consult Service

10 Duke University Health System

11 Durham, North Carolina

12

13 **Anita Gupta, DO, MPP, PharmD**

14 Head, Comprehensive Pain Center

15 Head, Interventional Pain Management

16 Departments of Medicine and Orthopedic Surgery

17 Scripps Clinic MD Anderson Cancer Center

18 Associate Professor

19 Johns Hopkins School of Medicine

20 Baltimore, Maryland

21

22

1 **Kathleen M. Gura PharmD, BCNSP, FASHP, FASPEN**

2 Assistant Professor of Pediatrics

3 Harvard Medical School

4 Manager, Pharmacy Clinical Research Program

5 Boston Children's Hospital

6 Boston, Massachusetts

7

8 **Linda F. McElhiney PharmD, RPh, MSP, FAPC,**

9 **FACA, FASHP, DPLA**

10 Team Lead Compounding Pharmacist

11 Indiana University Health

12 Indianapolis, Indiana

13

14 **Kuldip R. Patel, PharmD, FASHP**

15 Senior Associate Chief Pharmacy Officer

16 Duke University Hospital

17 Durham, North Carolina

18

19

20

21

22

1 **Elizabeth Rebello, RPh, MD, FASA, CPPS**

2 Professor

3 Department of Anesthesiology and

4 Perioperative Medicine

5 University of Texas MD Anderson Cancer Center

6 Houston, Texas

7

8 **Jeanne H. Sun, PharmD, JD**

9 *(United States Pharmacopeia Representative)*

10 Counsel

11 United States Pharmacopeial Convention

12 Rockville, Maryland

13

14 **Allen J. Vaida, BSc, PharmD, FASHP**

15 Former Executive Vice President

16 Institute for Safe Medication Practices

17 Hatfield, Pennsylvania

18

19

20

21

22

PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**(Non-Voting)****Gus Bassani, PharmD***(Industry Representative, Neomycin Topic Only)*

Chief Scientific Officer

Professional Compounding Centers of America

Houston, Texas

Michael D. Bui, DDS, MPH, JD*(Industry Representative)*

Senior Vice-President, Regulatory Affairs

Kadmon Pharmaceuticals

Cambridge, Massachusetts

ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**(Non-Voting)****Richard L. Green, BS Pharm, RPh, BCNP, FAPhA***(Melatonin, Methylcobalamin, Choline Chloride
and Oxitriptan Topics Only)*

Director of Radiopharmacy Practice

Cardinal Health Nuclear & Precision Health

Butler, Tennessee

1 **TEMPORARY MEMBERS (Voting)**

2 **Jennifer C. Lai, MD, MBA**

3 *(Choline Chloride Topic Only)*

4 Associate Professor of Medicine In Residence

5 Hepatology, Liver Transplantation

6 Director, Advancing Research in Clinical Hepatology

7 University of California San Francisco

8 San Francisco, California

9

10 **Suthat Liangpunsakul, MD, MPH**

11 *(Choline Chloride Topic Only)*

12 Professor of Medicine, Biochemistry and

13 Molecular Biology

14 Division of Gastroenterology and Hepatology

15 Department of Medicine

16 Indiana University School of Medicine

17 Indianapolis, Indiana

18

19

20

21

22

1 FDA PARTICIPANTS (Non-Voting)

2 **Frances Gail Bormel, RPh, JD**

3 Director

4 Office of Compounding Quality and Compliance (OCQC)

5 Office of Compliance (OC), CDER, FDA

6

7 **Gabrielle Cosel**

8 Director (Acting)

9 Division of Compounding Policy and Outreach (DCPO)

10 OCQC, OC, CDER, FDA

11

12 **Rosilend Lawson, VMD, JD**

13 Branch Chief (Acting)

14 DCPO, OCQC, OC, CDER, FDA

15

16 **Charles Ganley, MD**

17 Director

18 Office of Specialty Medicine (OSM)

19 Office of New Drugs (OND), CDER, FDA

20

21

22

1 **Susan Johnson, PharmD, PhD**

2 Clinical Reviewer

3 Pharmacy Compounding Review Team

4 OSM, OND, CDER, FDA

5

6 **Suhail Kasim, MD, MPH**

7 Lead Physician

8 Pharmacy Compounding Review Team

9 OSM, OND, CDER, FDA

10

11 **Madeline Wolfert, MD**

12 *(Oxitriptan Topic Only)*

13 Physician

14 Pharmacy Compounding Review Team

15 OSM, OND, CDER, FDA

16

17 **Jae Ho Hong, MD**

18 *(Neomycin Topic Only)*

19 Medical Officer

20 Division of Anti-Infectives

21 Office of Infectious Diseases

22 OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	SECTION 503A BULK DRUG SUBSTANCES LIST	
4	CHOLINE CHLORIDE	
5	FDA Presentation	
6	Suhail Kasim, MD, MPH	14
7	Clarifying Questions from the Committee	32
8	Nominator Presentation	
9	Paul Anderson, ND	43
10	Clarifying Questions from the Committee	48
11	Committee Discussion and Vote	58
12	SECTION 503A BULK DRUG SUBSTANCES LIST	
13	OXITRIPTAN	
14	FDA Presentation	
15	Madeline Wolfert, MD	65
16	Clarifying Questions from the Committee	78
17	Committee Discussion and Vote	86
18	Conflict of Interest Statement	
19	Takyiah Stevenson, PharmD	92
20		
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
WITHDRAWN OR REMOVED LIST PROCESS	
FDA Presentation	
Gabrielle Cosel	99
DRUGS TO BE CONSIDERED FOR THE WITHDRAWN OR	
REMOVED LIST	
NEOMYCIN SULFATE	
FDA Presentation	
Jae Ho Hong, MD	102
Open Public Hearing	107
Committee Discussion and Vote	118
Adjournment	124

P R O C E E D I N G S

(3:05 p.m.)

1
2
3 DR. GULUR: Welcome back, everybody. Before we
4 begin, Dr. Takyiah Stevenson will introduce the new
5 special government employees, industry representative,
6 and FDA presenters for the afternoon topics.

7 DR. STEVENSON: Hello. This is Takyiah
8 Stevenson again.

9 Dr. Jennifer Lai, please state your name and
10 your affiliation for the record.

11 DR. LAI: Yes. Hi. My name is Jennifer Lai.
12 I'm a hepatologist at UC San Francisco and director of
13 hepatology clinical research for UCSF health. Thank
14 you.

15 DR. STEVENSON: Dr. Liangpunsakul?

16 DR. LIANGPUNSAKUL: Hi. My name is Dr. Suthat
17 Liangpunsakul, professor of medicine at the Indiana
18 University School of Medicine in Indianapolis.

19 DR. STEVENSON: Dr. Bassani?

20 DR. BASSANI: Yes. Good afternoon. I'm Gus
21 Bassani. I serve as chief scientific officer for PCCA
22 in Houston, Texas.

1 DR. STEVENSON: I will now introduce the FDA
2 participants.

3 Dr. Wolfert?

4 DR. WOLFERT: Yes. Thank you. I'm Madeline
5 Wolfert. I'm a physician with the Pharmacy Compounding
6 Review Team with the Office of New Drugs, FDA.

7 DR. STEVENSON: Dr. Hong?

8 (No response.)

9 DR. STEVENSON: Dr. Hong, if you can hear me,
10 please unmute and introduce yourself.

11 DR. HONG: Sure. Hi. I'm sorry. I was
12 double-muted. This is Jay Ho Hong. I'm with the
13 Division of Anti-Infectives, and I'm a medical officer.
14 Thank you.

15 DR. STEVENSON: Thank you so much.

16 I will hand it back to the chair, Dr. Gulur.
17 Thank you.

18 DR. GULUR: Thank you, Takyiah.

19 We will now proceed with the FDA presentation
20 on choline chloride for Dr. Suhail Kasim.

21 **FDA Presentation - Suhail Kasim**

22 DR. KASIM: Good afternoon. I'm Suhail Kasim

1 from the Office of New Drugs. I will discuss the
2 nomination for choline chloride. This slide shows the
3 review staff involved in the choline chloride
4 evaluation.

5 Choline chloride was proposed for use with
6 respect to liver diseases, including non-alcoholic fatty
7 liver disease, hepatic steatosis, fetal alcohol spectrum
8 disorder, and atherosclerosis. In addition to these
9 uses, this evaluation considers the use of choline
10 chloride for supplementation in long-term total
11 parenteral nutrition.

12 Choline chloride has been nominated and
13 proposed for use to be administered by the parenteral
14 injection route. In conducting the 503A evaluation, FDA
15 reviewed publicly available information to assess
16 choline chloride based on four criteria.

17 The first criteria applied was the information
18 considered regarding the physical and chemical
19 characterization of choline chloride. Choline chloride
20 is well characterized physically and chemically and is
21 likely to be stable under ordinary storage conditions in
22 the proposed dosage form. I will discuss briefly the

1 general pharmacology of choline.

2 Choline chloride is an essential nutrient
3 existing in many components of food, and it is
4 designated as generally recognized as a safe nutrient in
5 human food and animal feeds. It plays a vital role in
6 the structural integrity of cell membrane; methylation
7 metabolism; cholinergic neurotransmission; transmembrane
8 signaling; and lipid and cholesterol transport.

9 The majority of the dietary choline consumed in
10 the United States is in the form of phosphatidylcholine.
11 Choline is absorbed from the lumen of the small
12 intestine, and much of its metabolism occurs in the
13 liver, where it is found primarily as
14 phosphatidylcholine. In addition to this dietary
15 supply, choline is found by de novo biosynthesis via an
16 endogenous pathway in the liver by the sequential
17 methylation of phosphatidylethanolamine using
18 S-adenosylmethionine as the methyl donor.

19 Next, we will discuss the safety profile of
20 choline chloride that is the second criteria in this
21 503A evaluation. I will start with the available
22 nonclinical data.

1 In the publicly available data, we located a
2 risk assessment for choline chloride conducted by the
3 EPA. The acute toxicity studies showed a large margin
4 of safety for choline chloride in various species using
5 various routes of administration. In an 8-month,
6 repeat-dose toxicity study, and intraperitoneal
7 injection of choline chloride in rats resulted in lung
8 and liver abnormalities, as shown on this slide, at
9 3 months and at 6 months. These nonclinical toxicities
10 were at much higher doses than proposed for clinical
11 use.

12 The standard panel of genotoxicity assays did
13 not show genetic toxicity activity, and choline chloride
14 did not have genotoxic adverse effects. Choline
15 chloride is important for the normal fetal and early
16 postnatal development.

17 Male rats exposed to high doses of choline
18 chloride showed a transient increase in adverse effects
19 on spermatogonia in the first few days of the
20 study -- [inaudible - audio gap] doses. These
21 nonclinical toxicities observed were at much higher
22 doses than proposed for clinical use. No long-term

1 carcinogenicity studies were conducted.

2 In the FAERS database, 6 cases were identified
3 with use of choline chloride as a weight-loss injection
4 that reported both exposure to choline chloride and at
5 least one adverse event. These cases were confounded by
6 the multiple medications in the injection or the
7 multiple ingredient products that included choline
8 chloride as one of the ingredients.

9 Six cases were reported in the CAERS database
10 that included cases when choline chloride was one of
11 multiple ingredients in energy drinks, muscle
12 performance products, and nutritional supplements.
13 Insufficient information was provided to interpret the
14 contribution of choline chloride. Considering that
15 there were many other substances in the dietary
16 supplement, it was not possible to determine whether a
17 causal connection existed between choline chloride and
18 the adverse events reported.

19 The established adequate daily intake of
20 choline is 550 milligrams for men and 425 milligrams for
21 women, with an upper daily limit ranging up to
22 3 and a half grams per day. Adverse events have been

1 reported in clinical studies at doses much higher than
2 the daily intake for food. Choline chloride has been
3 associated with nausea, fishy body odor, sweating,
4 diarrhea, and salivation. Hypotension is observed with
5 daily use of more than 10 grams of choline chloride.

6 In most clinical studies, choline is found to
7 be well tolerated and not associated with significant
8 adverse events. The subsequent slides will discuss the
9 third criteria in the 503A evaluation, and to do that,
10 each of the uses proposed for the nomination will be
11 discussed.

12 Choline chloride was evaluated for the
13 treatment of liver diseases that included the
14 nominator's proposed use for hepatic steatosis. Hepatic
15 steatosis, or hepatic fat accumulation, or also called
16 fatty liver, is not specific to a disease condition. It
17 is a histological finding with the presence of large and
18 small vesicles of fat, predominantly triglycerides,
19 accumulating within the hepatocytes. For instance, if
20 one were to consume one or two cocktails or glasses of
21 wine tonight, the next day, it is quite conceivable that
22 there will be the presence of hepatic steatosis.

1 The causes of the hepatic steatosis are shown
2 on this slide. Typically, hepatic steatosis is not seen
3 on imaging modalities. It is usually a benign finding,
4 although we will discuss in the subsequent slides, in
5 rare instances, over many years to several decades,
6 chronic liver disease may develop as a result of the
7 increased triglyceride accumulation, leading to chronic
8 inflammation, hepatocyte degeneration, and scarring,
9 with progression to fibrosis and potentially cirrhosis.

10 Next, we will discuss the nominator's proposed
11 use for non-alcoholic fatty liver disease, abbreviated
12 as NAFLD. NAFLD includes a spectrum of histological
13 changes that includes simple fatty infiltration of the
14 liver, also known as simple or isolated steatosis, or
15 non-alcoholic fatty liver, abbreviated as NAFL, and also
16 non-alcoholic steatohepatitis, abbreviated as NASH,
17 N-A-S-H, which is an extreme form of NAFLD and is
18 regarded as a leading cause of cirrhosis in the United
19 States. Therefore, the histological changes of hepatic
20 steatosis are seen across the NAFLD spectrum.

21 The figure illustrates a spectrum of NAFLD in
22 that hepatic steatosis is not specific to a disease

1 condition. The histological changes are seen across the
2 spectrum of NAFLD disease conditions. Typically,
3 hepatic steatosis is transient and reversible. Most
4 humans who have evidence of hepatic steatosis are
5 asymptomatic and are not adversely affected by its
6 presence.

7 The figure illustrates the histological
8 subtypes and the associated risks for progression of
9 NAFLD to other chronic liver diseases. As shown with
10 the blue arrow, very few patients with simple,
11 non-alcoholic fatty liver have progression to diseases
12 like cirrhosis that is very serious or life-threatening.

13 At present, clinicians cannot predict which
14 patients are likely to progress from benign steatosis,
15 shown in box A on the upper-left side of the figure, to
16 steatosis shown in box C on the upper-right side of this
17 figure, or cirrhosis, shown in box E on the bottom of
18 the figure.

19 If we assume that 100 million Americans have
20 benign steatosis, or non-alcoholic fatty liver, and less
21 than 4 percent wind up having cirrhosis, it is not
22 end-stage liver disease. Non-alcoholic fatty liver is

1 likely to be 5 times less frequent an occurrence than in
2 patients who have non-alcoholic steatohepatitis, or
3 abbreviated NASH.

4 Benign steatosis, or NAFL, is reversible; for
5 example, by abstaining from alcoholic beverages, weight
6 loss and exercise, improved diet, management of
7 hypertension and dyslipidemia, and glucose control in
8 some diabetic patients. In conclusion, hepatic
9 steatosis is not specific to a disease condition.

10 I will briefly discuss the relation of choline
11 deficiency to development of hepatic steatosis and the
12 non-alcoholic fatty liver disease spectrum.

13 Theoretically, individuals who were to consume a diet
14 deficient in choline can develop hepatic steatosis, and
15 go on to develop liver damage in the form of NAFLD. It
16 is because of the lack of dietary sources of
17 phosphatidylcholine that limits the export of excess
18 triglycerides from the liver in lipoproteins.

19 We discussed earlier in slide 11 about hepatic
20 steatosis. This fat accumulation within the
21 hepatocytes, predominantly in the form of triglyceride,
22 can result in the non-alcoholic fatty liver disease

1 spectrum, and that includes histological evidence of
2 hepatic steatosis. However, since the United States
3 diet is rich in choline-containing foods, patients are
4 unlikely to need a change in their diet in order to
5 include choline-rich foods, or other nutritional
6 supplements will be available to provide that choline
7 source. It is also known that patients on long-term
8 total parenteral nutrition that lack adequate choline in
9 the form of phosphatidylcholine and phosphatidyl-
10 ethanolamine can develop steatosis. This will be
11 discussed further.

12 The next few slides, we'll discuss the
13 evaluation of the information for effectiveness in NAFLD
14 related to choline, including the current practice
15 guideline recommendations.

16 Six practice guidelines pertaining to the
17 treatment of NAFLD were identified. Use of choline
18 chloride as a treatment of NAFLD was not mentioned in
19 any of these treatment guidelines, stating that
20 nutritional supplements do not show scientific data
21 strong enough to support their recommendation as a
22 treatment of NAFLD.

1 We evaluated three studies in patients with
2 NAFLD. In one trial, 43 children and adolescents aged
3 4 to 16 years, with baseline liver biopsy confirming
4 non-alcoholic steatohepatitis, were evaluated after
5 6 months oral treatment with daily supplement ProDHA
6 Steatolip Plus pills, containing a combination of DHA,
7 choline, and vitamin E. All subjects were to undergo
8 liver ultrasound and blood test at 12 months.

9 The primary outcome measure of improvement in
10 liver hypoechogenicity by liver ultrasound at 12 months
11 decreased from 50 percent to 5 percent of patients in
12 the subgroup analysis of the active-treated subjects
13 with severe steatosis at baseline. However, the
14 multiple ingredients in the treatment prevent
15 attributing any benefit to choline.

16 Observational data from a cross-section
17 analysis of 664 subjects with biopsy-proven NAFLD, who
18 were enrolled in the multicenter, prospective,
19 Non-alcoholic Steatohepatitis Clinical Research
20 Network -- NASH CRN, abbreviated -- was conducted to
21 determine whether there was an association between
22 choline intake and the histologic severity of NAFLD or

1 NASH determined by liver biopsy. Baseline dietary data
2 were based on recall and not prospectively collected.

3 The study showed that postmenopausal women with
4 a reported choline intake less than one-half defined
5 adequate intake had increased fibrosis. There was no
6 intervention to determine whether liver disease improved
7 with choline treatment.

8 Another study reported in 1946 evaluated
9 choline for the treatment of cirrhosis of the liver with
10 varying etiology. Due to the small number of responding
11 patients, the multiple treatments provided, and the
12 confusion regarding whether choline chloride was
13 administered, it was not possible to draw any
14 conclusions regarding the efficacy of choline chloride
15 for the treatment of cirrhosis of the liver.

16 FDA has in its discretion opted to evaluate the
17 clinical effectiveness of choline chloride for the
18 unnominated use of supplementation in long-term total
19 parenteral nutrition. Choline deficiency frequently
20 occurred in long-term total parenteral nutrition
21 patients, and this nutritional deficiency can lead to
22 hepatic steatosis.

1 In 2012, the American Society for Parenteral
2 and Enteral Nutrition published a position paper
3 recommending that choline be routinely added to adult
4 and pediatric parenteral nutrition formulations with
5 even dosing guidance. However, we were not able to find
6 data regarding the extent of use of choline chloride in
7 parenteral nutrition if it is, in fact, added to
8 parenteral nutrition. Although the ASPEN has made this
9 recommendation, it is not clear that it has been
10 implemented in the treatment of patients on total
11 parenteral nutrition.

12 Sources of choline chloride for parenteral
13 nutrition are available as egg phospholipid, which is
14 primarily phosphatidylcholine or phosphatidyl-
15 ethanolamine. An example of an FDA-approved product is
16 Intralipid. The body can convert each to choline
17 through metabolic pathways.

18 In conclusion, we could not find clinical
19 evidence that choline administration will be effective
20 in the treatment of NAFLD that is not related to a
21 deficiency of choline.

22 Next, we will discuss the evaluation of the

1 information for effectiveness in atherosclerosis related
2 to choline chloride. There were no scientific articles
3 evaluating the use of choline chloride for the treatment
4 of atherosclerosis and available in English that were
5 cited by the nominator during the submission.

6 The nominator included two references for this
7 use that FDA did not review because the articles were in
8 a foreign language, although FDA identified three
9 studies evaluating the use of choline chloride in
10 atherosclerosis. One study included a 21-day treatment
11 with a combination of 6 nutrients administered orally,
12 including choline, in 12 patients following acute MI.
13 Any benefit of choline could not be determined from the
14 multiple ingredients used.

15 An 8-year cohort study in 16,000 women failed
16 to show any difference in cardiovascular risk between
17 women in the upper versus the lowest quartile of dietary
18 choline intake. The prospective study of
19 Atherosclerosis Risk in Communities cohort of over
20 14,000 men and women found that choline intake from food
21 was not significantly associated with increased
22 incidence of coronary artery disease, and a higher

1 choline intake was not protective for coronary heart
2 disease. There is insufficient information to support
3 the use of choline chloride for the treatment or the
4 prevention of atherosclerosis.

5 Next, we will discuss the evaluation of the
6 information for effectiveness in fetal alcohol spectrum
7 disorder related to choline chloride. Optimal maternal
8 nutrition is required to produce healthy offspring.
9 When maternal nutrition status is compromised with
10 alcohol use, essential nutrients are displaced or not
11 obtained.

12 Although animal models have shown that choline
13 chloride supplement has reduced some of the detrimental
14 effects of alcohol consumption on fetal animals,
15 randomized-controlled clinical trials evaluating the use
16 of choline chloride to mitigate adverse effects on
17 infant growth and cognitive function from prenatal
18 alcohol exposure have had mixed results.

19 Two placebo-controlled studies evaluated the
20 developmental outcomes during the infant's first year
21 with prenatal choline chloride exposure following
22 choline chloride treatment in women who reported

1 moderate to heavy drinking during their pregnancy. We
2 also identified two trials in which choline was
3 evaluated in children for the treatment of fetal alcohol
4 syndrome that is from postnatal exposure.

5 A prospective prevention cohort study evaluated
6 developmental outcomes in two randomized groups of
7 alcohol-exposed infants born to pregnant women who
8 reported moderate to heavy drinking during their
9 pregnancy compared to a control population of infants
10 born to women who reported low to no drinking.

11 Among the participants in the choline-exposed
12 treatment arm, there was no improvement in cognitive
13 outcome compared with the control group, and there was
14 no difference between the group treated with only
15 multivitamin supplements; that is group 2. The changes
16 observed might have been solely due to the multivitamins
17 and minerals.

18 In an exploratory randomized, double-blind,
19 placebo-controlled clinical trial, 69 heavy drinkers
20 recruited in mid-pregnancy were randomly assigned to
21 either 2 grams of choline or placebo. Although the
22 authors concluded that infants born to choline-treated

1 mothers were more likely to meet criterion on the
2 eyeblink conditioning than the placebo group, it is not
3 clear what the changes in eyeblink conditioning mean
4 when interpreting neurodevelopmental outcome in these
5 infants. At 12 months, the infants in the choline
6 treatment arm had higher preferential looking at the
7 novel stimulus on the Fagan test of Infant Intelligence,
8 indicating better visual memory.

9 There were two trials in which choline was used
10 and was evaluated in children for the treatment of fetal
11 alcohol syndrome. This is from postnatal exposure to
12 choline chloride. In a 6-week controlled trial in
13 children with fetal alcohol spectrum disorders, choline
14 or placebo was administered daily to 60 children, each
15 aged 5 to 10 years of age.

16 Participants in the choline group did not
17 improve in cognitive performance in any domain compared
18 with placebo. In a 9-month controlled trial in children
19 with fetal alcohol spectrum disorders, choline or
20 placebo was administered daily to 60 children aged
21 2 and a half to 5 years of age. The study failed on the
22 primary endpoint of global cognitive ability.

1 We were not able to find clinical effectiveness
2 data that supported the proposed use of choline chloride
3 with respect to liver diseases, including non-alcoholic
4 fatty liver disease, hepatic steatosis, atherosclerosis,
5 and fetal alcohol syndrome. There is insufficient
6 information on the effectiveness of choline chloride for
7 supplementation in long-term parenteral nutrition.

8 Lastly, we apply the fourth criterion; that is
9 the historical use of choline chloride in compounded
10 drug products. Choline chloride has been used in
11 pharmacy compounding since at least 1954. Based on
12 advertised information, though, choline chloride is most
13 often used in compounded injectable products for weight
14 loss in the United States. Choline chloride is
15 available in the United Kingdom as part of a multiple
16 ingredient product, indicated for the prevention of
17 vitamin deficiency in certain conditions.

18 We have balanced the four criteria that was
19 discussed to evaluate choline chloride for the 503A
20 Bulks List. After considering the information currently
21 available, a balancing of the four criteria weighs
22 against choline chloride being added to the 503A Bulks

1 List. Thank you, and that's the end of my presentation.

2 **Clarifying Questions from the Committee**

3 DR. GULUR: Thank you, Dr. Kasim.

4 We will now take clarifying questions for FDA
5 presenters. Please use the raised-hand icon to indicate
6 that you have a question and remember to clear the icon
7 after you have asked your question. When acknowledged,
8 please remember to state your name for the record before
9 you speak and direct your question to a specific
10 presenter, if you can.

11 If you wish for a specific slide to be
12 displayed, please let us know the slide number, if
13 possible. Finally, it would be helpful to acknowledge
14 the end of your question with a thank you and end of
15 your follow-up question with, "That is all for my
16 question," so we can move on to the next panel member.

17 With that, do we have any questions?

18 Dr. Vaida?

19 DR. VAIDA: Yes. Thank you. Allen Vaida. I
20 just have the questions on the use in parenteral
21 nutrition. It seems that you said that there was no
22 evidence of the use in parenteral nutrition. I assume

1 that was just looking for studies.

2 Was ASPEN ever contacted? I saw that the
3 University of Maryland did that survey, but it didn't
4 even look like ASPEN was even contacted in that survey?
5 Could you answer that?

6 DR. KASIM: Yes. Thank you for the question.
7 The information that I discussed regarding the ASPEN
8 discussion from the slide was not after reaching out to
9 ASPEN, but it was based on what was reported in the
10 literature. I'll defer to my colleagues in OCQC to add
11 additional context regarding the University of Maryland
12 study report.

13 DR. HANKLA: Hi. This is Elizabeth Hankla. I
14 can add a couple of comments about the M CERSI Maryland
15 report. They did find evidence of compounding through a
16 literature search, but they didn't identify anything for
17 use in parenteral nutrition, and they did conduct a
18 survey, which, again, they didn't find evidence of use
19 in parenteral nutrition. I hope that helps.

20 DR. VAIDA: Okay. But you listed the groups of
21 associations, and ASPEN wasn't one of them, or any board
22 certified pharmacists in parenteral nutrition. I didn't

1 see them listed.

2 Could you just verify that they weren't?

3 DR. HANKLA: This is Beth again, Elizabeth
4 Hankla. I do not see ASPEN listed as associations that
5 were contacted.

6 DR. VAIDA: Alright. Thank you. No further
7 questions.

8 DR. GULUR: Thank you, Dr. Vaida.

9 Dr. McElhiney?

10 DR. McELHINEY: I thought that I saw -- because
11 I wasn't sure. I hadn't heard too much about choline
12 chloride. I thought that I saw that it was being
13 evaluated to become a commercial product and/or I
14 thought I saw that it was already an orphan drug and
15 being produced by another company.

16 Is that true?

17 DR. KASIM: This is Suhail Kasim. I'm not
18 aware of an approved product distributed, as marketed,
19 as choline chloride. I will again defer to my
20 colleagues in OCQC, if anyone else has any information
21 on the orphan product designation. An orphan product
22 designation does not mean that the product is marketed.

1 It may mean that it has a certain designation provided
2 by the FDA for product development. I'll let others
3 elaborate on this.

4 DR. McELHINEY: Okay. Thank you. That's all I
5 had.

6 DR. GULUR: Thank you.

7 Dr. Gura?

8 DR. GURA: Yes. Hi. Actually, I can comment
9 on the studied IV choline chloride injection. Back in
10 2018, it actually did receive orphan drug designation,
11 and it's currently in phase 2 trials. It might even be
12 finished now.

13 I am a member of ASPEN and board certified in
14 nutrition, so I'm familiar with this work. There have
15 been several centers that have been using choline in
16 their parenteral nutrition as a means to prevent some of
17 the hepatic complications we see with long-term PN use.
18 But I'm looking forward to seeing the results of the
19 phase 2 trial because it's a former-made product as
20 opposed to a compounded product.

21 I just wanted also to find out how do the
22 decisions we make today impact this phase 2 trial study

1 drug? Are they two separate issues?

2 DR. KASIM: I will respond to that. This is
3 Suhail Kasim. I will respond to that initially, and if
4 my colleagues have additional context to add to that
5 information. Products that are being developed for
6 marketing purposes will not be affected, based on the
7 decisions made here. That is independent.

8 Does that answer your question?

9 DR. BORMEL: And this is Gail --

10 DR. KASIM: Sorry, Gail.

11 DR. GURA: Yes, it is. Thank you very much.

12 DR. BORMEL: And this is Gail Bormel. I was
13 just going to echo what was just said. Yes. They're
14 two separate processes. They're distinct.

15 DR. GULUR: Have your questions been answered?

16 DR. GURA: Yes. Thank you.

17 DR. GULUR: Wonderful.

18 Dr. Bui?

19 DR. BUI: Hi. Yes. Dr. Bui here.

20 Dr. Kasim, you mentioned in one of your slides
21 that choline has been used in pharmacy compounding since
22 at least 1954. That's a long time. Do you have any

1 information -- I mean not since 1945 -- any other
2 information that relate to weight loss in the U.S. or
3 how it's been used in the UK, I believe, for vitamin
4 deficiencies in certain conditions?

5 Is there any new information available
6 regarding pharmacy compounding and maybe specific to any
7 safety concerns in that environment?

8 DR. KASIM: This is Suhail again. My
9 colleagues in OCQC can further elaborate on the history
10 of use in compounding and various uses.

11 The slide that was projected did specifically
12 mention that it was in association with weight loss
13 products. The FAERS and CAERS cases that I discussed in
14 the slides earlier did report adverse events that you
15 can consider serious. However, it is not possible,
16 because in those weight-loss products, either in
17 weight-loss products or when it was used for the
18 purposes of treating a patient, it may have been used
19 with additional substances or additional products.

20 So to discern whether choline chloride was a
21 causative agent for adverse events, it was difficult to
22 interpret, but what we do know is from clinical studies,

1 there was a clinical pharmacology study that was
2 included in the evaluation, and that included an IV
3 injection of choline chloride up to 8 milligrams. I saw
4 that there was data for up to 8 milligrams, and that was
5 in patients with hepatic steatosis or in non-alcoholic
6 fatty liver. But in some patients, there needed to be
7 downward dose titration. That means there were adverse
8 events noted, those which were discussed earlier.

9 That gives you the context of clinical safety
10 when it was used as a weight-loss product. Regarding
11 the products used outside the United States, well, just
12 as you have questions, I had questions when I was
13 looking into this issue. I had to web search to see are
14 we using weight loss and how is it being used as an IV
15 product if it is a dietary supplement.

16 So to answer that question, I saw that there
17 were FDA recalls on some of those products, and some of
18 these products are available outside the United States,
19 in Europe, which are marketed. So I cannot provide any
20 more information regarding those products outside the
21 United States that are available. I'll let my
22 colleagues in OCQC add additional context to these

1 therapies using compounding.

2 DR. BORMEL: Thank you. This is Gail Bormel.
3 I just want to emphasize that we don't routinely get
4 adverse event reporting for compounded drugs made under
5 Section 503A of the FD&C Act because it's not required.
6 If there are adverse events, there are many adverse
7 events associated with a particular compounded drug
8 product that's being made under Section 503A, there's no
9 mechanism to require reporting of those adverse events
10 to FDA. What we get is just a snippet of what is
11 voluntarily reported to us, so you have to look at the
12 adverse events under that context.

13 DR. BUI: Thank you, Dr. Kasim and Gail.

14 I have a second question. We discussed here
15 about how choline is being used for NAFLD and NASH. Did
16 the agency look at any investigational drugs out there
17 being developed by other companies at this point for
18 both NAFLD and NASH, and that may be an option for
19 patients if choline's not available?

20 DR. KASIM: Before I go into discussion -- this
21 is Suhail Kasim again. My colleagues for the Division
22 of Hepatology are available to answer questions. But

1 before I approach them, I'm not sure it is a venue to
2 discuss products under investigation, new drugs, or that
3 are being considered in development for marketing
4 applications in this venue. I believe there may be.
5 I'll leave it at that.

6 So if my colleagues in the Division of
7 Hepatology or other colleagues in OND want to provide
8 additional context to respond to Dr. Bui's question?
9 Thank you.

10 DR. ANANIA: Frank Anania from the Division of
11 Division of Hepatology and Nutrition.

12 Hello?

13 DR. LAI: Hello. This is Dr. Lai, hepatology
14 at UC San Francisco. May I speak?

15 DR. GULUR: Yes, Dr. Lai. Go ahead and speak.

16 DR. LAI: Great.

17 I am one of the hepatology colleagues, and I am
18 not aware of the use of choline chloride for the
19 specific treatment of hepatic steatosis or non-alcoholic
20 fatty liver disease.

21 DR. GULUR: Thank you.

22 DR. LAI: I do have a separate question aside

1 from that when it's my turn.

2 DR. GULUR: Please go ahead, Dr. Lai.

3 DR. LAI: Thank you.

4 What we do see in hepatology is that hepatic
5 steatosis in the setting of a long-term parenteral
6 nutrition is common, so we do see fat in the liver as a
7 result of parenteral nutrition. The studies that were
8 shown by the speaker is that one of the mechanisms of
9 this could be choline deficiency.

10 I do recognize that you said that we are
11 unaware of the frequency by which choline is added to
12 parenteral nutrition formulations, but how would choline
13 be added to parenteral nutrition if it is not approved
14 today? And I wonder, if it's not approved on the bulk
15 drugs list, is that a barrier to adding it to parenteral
16 nutrition, and could we be actually causing harm to
17 patients who require long-term parenteral nutrition,
18 particularly given the relatively mild toxicities
19 associated with choline infusion?

20 DR. KASIM: Dr. Lai, probably Dr. Anania
21 intends to respond, but --

22 DR. ANANIA: Yes.

1 DR. KASIM: Okay. Go ahead, Dr. Frank.

2 DR. ANANIA: Yes. First of all, I wanted to
3 concur with the statement that there is no evidence for
4 clinical effectiveness to treat NASH or NAFLD. That was
5 a question that came up, and I had trouble getting
6 through because I was double-muted.

7 Regarding the addition of choline chloride to
8 parenteral nutrition, I'm a hepatologist as well, in the
9 Division of Hepatology and Nutrition. This is a complex
10 question, but to be brief, all FDA-approved lipid
11 supplements for parenteral nutrition include an adequate
12 amount of phosphatidylcholine and phosphatidyl-
13 ethanolamine, which, as the speaker indicated, can be
14 readily converted to choline.

15 There are other issues, as I'm sure the
16 hepatologists on the committee knows, that play a role
17 in the development of parenterally associated fatty
18 liver disease. I think that is all I'd like to say
19 about that. Thank you.

20 DR. LAI: Thank you. That's it for my
21 question.

22 DR. GULUR: Thank you.

1 Dr. Bui and Dr. Gura, I do see your hands are
2 still up. Is it because you still have questions?

3 DR. BUI: This is Dr. Bui. Thank you for the
4 answer. I have no further comment.

5 DR. GULUR: Thank you, Dr. Bui

6 Are there any other questions, any further
7 questions for our presenters?

8 (No response.)

9 DR. GULUR: Seeing none, we will now proceed
10 with the nominator presentation. We have one
11 presentation from Dr. Paul S. Anderson, who is speaking
12 on behalf of the American Association of Naturopathic
13 Physicians

14 Dr. Anderson?

15 DR. ANDERSON: Okay. I'm assuming you can hear
16 me.

17 DR. GULUR: Yes, we can.

18 DR. ANDERSON: Alright. Thank you.

19 **Nominator Presentation - Paul Anderson**

20 DR. ANDERSON: Well, thank you for this time,
21 and thank you, FDA, for those very excellent
22 presentations. I'm just going to focus on a couple of

1 properties.

2 One of the things to point out is we have a lot
3 of data on dietary requirements around all nutrient
4 systems, specifically choline in this case, and we have
5 reasonable data on the effects of deficiency in a number
6 of areas.

7 Now, in one of the reviews, as listed here, we
8 have preterm birth listed there, but about 1 percent of
9 all births have been fetal alcohol syndrome, which there
10 are not many approved treatments. Also as pointed out,
11 there are really no FDA-approved choline drugs with the
12 exception of the lipid product, which has been mentioned
13 already. So the addition and the need for choline
14 products for physicians through compounding is
15 necessary.

16 Overall, in preterm infants, choline deficiency
17 has been noted, and it's also been noted that
18 breastfeeding does not supply enough choline to correct
19 said deficiencies. This would also apply to other
20 infants, including those affected by fetal alcohol
21 syndrome. We have some other, just general, information
22 here as related to deficiency, especially in preterm

1 infants.

2 In my presentation, I've used endnotes, and
3 because of the way that this screen is, I don't have
4 access to the endnotes, but we have the references cited
5 there. Generally speaking, in babies with fetal alcohol
6 syndrome, their choline supplementation was well
7 tolerated and very low amounts of adverse events. I
8 believe in the prior presentation, cognitive
9 characteristic changes were shown. What I would say is,
10 in particular, in reference number 8, there were
11 positive cognitive changes noted in the fetal alcohol
12 syndrome of babies.

13 To reiterate, the supplementation of choline is
14 beneficial for children during developmental stages,
15 especially with respect to neurological development.
16 Because right now the only FDA-approved treatment is
17 parenteral treatment, there isn't anything in the 503
18 setting that would be available to be used for an infant
19 for supplementation.

20 The other data that is out shows that both in
21 animals and humans, there are improved pregnancy
22 outcomes with choline supplementation prenatally, and as

1 this particular citation notes, most pregnant women in
2 the U.S. are not achieving choline intake
3 recommendations of 450 milligrams a day. So while it is
4 certainly available through the diet, it's not being
5 generally achieved in pregnancy. Also, although this is
6 not one of the nominated areas, there is, as most know,
7 an increase in neural tube defects in women achieving
8 less than 300 milligrams of choline, which does fall
9 into the previous slide as well.

10 I think this was well covered by the FDA
11 presentation, officially recognized since 1998, although
12 it's been traditionally used in compounding since the
13 1950s at the very least. In a different citation,
14 number 13 at the end, it's noted that choline intakes in
15 people other than in pregnant women, but including
16 pregnant women, are below adequate intake levels,
17 established by IOM.

18 Now, ASPEN's been brought up a few times, and
19 this is essentially, I think, the same information that
20 was given in the FDA presentation. ASPEN is calling for
21 a parenteral choline product to be developed and
22 available.

1 I do not have a slide on it, but I wanted to
2 mention as a follow-up to some previous discussion that
3 we just had, that there is a choline chloride
4 intravenous product that one year ago, 5-26-2020, was
5 granted a fast track by FDA for the indication of
6 intestinal failure associated liver disease, IFLD; and
7 that is a choline chloride IV product specifically
8 developed by Protara Therapeutics. It is, I believe,
9 now in phase 3 trials.

10 While the parenteral choline product is
11 hopefully going to be available, in the meantime, a
12 choline chloride parenteral product is not available
13 outside of its donor products in the lipid emulsions,
14 et cetera. In this particular presentation, I wanted to
15 focus on fetal alcohol syndrome children, the potential
16 for use in prenatal, and then the availability through
17 503A compounding for a choline chloride intravenous
18 product because even though there's a fast track for a
19 manufactured product, it is not currently available, to
20 the degree that I can find, in any FDA information
21 anyway.

22 One reason for compounding that is important is

1 there are times when people are incompatible, at least
2 due to allergy or sensitivity, with the currently
3 approved products. As has been mentioned a couple of
4 times in previous discussion and the FDA presentation,
5 we have a parenteral source as a phospholipid-approved
6 product, and that one was mentioned a few times.

7 In the case of compounding, if you want a
8 choline donor that is not from an egg phospholipid
9 source -- say somebody has egg allergy, which there are
10 data that that does exist in humans -- choline chloride
11 is the preferred option for a non-egg-based choline
12 product. So in the case of a 503 application, choline
13 chloride would leave an inclusion that did not cross
14 over with any sensitivities for the egg base.

15 Those are the references, so I will yield now
16 for the Q&A

17 **Clarifying Questions from the Committee**

18 DR. GULUR: Thank you.

19 We will now take clarifying questions for the
20 nominator presenter. Please use the raised-hand icon to
21 indicate that you have a question and remember to clear
22 the icon after you have asked your question. When

1 acknowledged, please remember to state your name for the
2 record before you speak and direct your question to a
3 specific presenter, if you can.

4 If you wish for a specific slide to be
5 displayed, please let us know the slide number, if
6 possible. Finally, it would be helpful to acknowledge
7 the end of your question with a thank you and end of
8 your follow-up question with, "That is all for my
9 question," so we can move on to the next panel member.

10 With that, do we have any questions for our
11 nominator presenter?

12 Dr. Ganley, I see that your hand is raised.
13 Did you have a question for our nominator presenter?

14 DR. GANLEY: Yes, for Dr. Anderson.

15 I guess I'm trying to understand here from your
16 presentation, you seem to have different buckets of
17 things. One is nutritional supplementation, which
18 presumably could be done orally and for which there are
19 dietary supplements available. But then you're getting
20 into the treatment of diseases for which we have not had
21 any evidence of effectiveness that we were able to find
22 for the nominated conditions.

1 So I guess I'm wondering where the line is
2 drawn between something that would just go on the 503A
3 list to treat conditions for which we don't know that
4 they would actually work versus conducting studies under
5 an investigational new drug application to determine
6 effectiveness.

7 DR. ANDERSON: Yes. I think you make a
8 reasonable point. There certainly is -- and I think
9 that could be said for a lot of the 503A products.
10 There's a lot of crossover with, say, nutritional
11 supplement availability.

12 One of the things in regard to the bucket, as
13 you mentioned, of nutritional use or nutritional
14 supplement use, say, for preventing deficiency, as an
15 example with 503A, there are in many cases the need for
16 503A to compound a nutritional product that may include
17 something such as, say, choline chloride, and also
18 potentially other components of the nutritional
19 augmentation.

20 If choline chloride was a bulk substance for
21 503A and the physician needed that part of the compound,
22 then the compound that was being ordered would be

1 obviously deficient in that area. And like in the
2 discussion of, say, methylcobalamin, there is oral
3 availability through the supplement world, it would
4 divorce the ability of the 503A pharmacy to be able to
5 use that in the compound as it were.

6 With respect to the disease states, the disease
7 state that I focused on was fetal alcohol. I cannot
8 retrieve anything on my screen and leave the FDA portal
9 up, so I don't have access to those notes. As many
10 things are not extremely conclusive with respect to
11 fetal alcohol, but there is positive data that I see
12 there. So that's why I focused on that particular
13 disease.

14 DR. GANLEY: Yes. Just to follow up on that,
15 I'm not sure I saw the data for the effectiveness in
16 treating fetal alcohol syndrome, and I think the issue
17 comes down to using a treatment, particularly in very
18 young children, for which we don't have evidence that it
19 actually provides benefit.

20 So I'm trying to understand, well, why would we
21 do that under 503A versus actually getting it done under
22 an IND, where there's informed consent, and

1 understanding whether it does have some benefit in that
2 population of patients.

3 DR. ANDERSON: No. That's a very good point,
4 especially with respect to fetal alcohol in children,
5 and it certainly would be exactly the same as the methyl
6 B12 in the autism discussion. That certainly would be
7 the preferred and the safest pathway.

8 If used appropriately in adults and children,
9 choline is overwhelmingly safe with very low adverse
10 events, so much like in the B12 discussion, you could
11 still have it available in 503A for adults and children,
12 and still pursue it through the IND process.

13 DR. GULUR: Did that answer your question?

14 DR. GANLEY: Yes.

15 DR. GULUR: Thank you.

16 Do we have anyone else who has a question?

17 (No response.)

18 DR. GULUR: Seeing as there are none, thank you
19 very much for your nominator presentation. We
20 appreciate it.

21 We will now begin the open public hearing
22 session. I would like to state into the record that

1 there are no open public hearing speakers for this
2 topic. The open public hearing portion of this meeting
3 has now concluded and we will no longer take comments
4 from the audience.

5 We have an opportunity now for clarifying
6 questions. We will now take remaining clarifying
7 questions for all the choline chloride presenters.
8 Please use the raised-hand icon to indicate that you
9 have a question and remember to put your hand down after
10 you have asked your question. Please remember to state
11 your name for the record before you speak and direct
12 your question to a specific presenter, if you can.

13 If you wish for a specific slide to be
14 displayed, please let us know the slide number, if
15 possible. As a gentle reminder, it would be helpful to
16 acknowledge the end of your question with a thank you,
17 and end of your follow-up question with, "That is all
18 for my question," so we can move on to the next panel
19 member.

20 With that, do we have any questions for
21 clarification?

22 (No response.)

1 DR. GULUR: Seeing as there are no clarifying
2 questions for our presenters, the panel discussion will
3 now start. We encourage all our panel members to use
4 this opportunity to bring up comments or summarize any
5 concerns.

6 Dr. Vaida?

7 DR. VAIDA: Yes. I just had a question,
8 probably, from two of the panel members. I think was
9 Dr. Gura that mentioned that choline chloride is
10 available for parenteral nutrition right now in a study.

11 Does that mean that anyone could get that right
12 now?

13 DR. BORMEL: No, that's an investigational
14 product. Oops, I'm sorry.

15 DR. VAIDA: Okay. Thank you.

16 DR. GULUR: Does that answer your question,
17 Dr. Vaida?

18 DR. VAIDA: Yes, it does. Thank you.

19 DR. GULUR: No further questions I'm assuming.

20 Dr. Bogner?

21 DR. BOGNER: Thank you. I find the choline
22 literature very difficult because people refer to lots

1 of different cholines as choline, whereas free choline
2 is also choline, in addition to the choline chloride, of
3 course, which is also free choline.

4 I'm trying to determine -- and I'm wondering if
5 any of the panel members can clarify, or even perhaps
6 Dr. Gura, who's obviously an expert in this
7 field -- what is the advantage of choline chloride
8 versus choline in some sort of phosphatidylcholine? Is
9 there an advantage or a disadvantage?

10 That's it. Thank you.

11 DR. GURA: It's ok for me to answer?

12 DR. GULUR: Yes, please.

13 DR. GURA: I'm not an expert in choline. I'm
14 familiar with some of the literature that has been used
15 for the past, I think, over 20 years now in attempts to
16 mitigate the hepatotoxicity of parenteral nutrition. I
17 think the thought is that the adequate amounts of
18 choline available in the phosphatidylcholine in the
19 emulsifier used in IV lipid emulsions, not all patients
20 receive lipid emulsions in adequate amounts. Some only
21 get enough to prevent essential fatty acid disease.
22 That might be one of the considerations.

1 I know in our practice, we don't really
2 consider the amount of choline present in the lipid
3 emulsions, and as I mentioned previously, I'm more
4 intrigued with learning about the results from the
5 recent trials with the parenteral choline chloride
6 that's underway, I guess now in phase 3.

7 DR. BOGNER: Thank you, Dr. Gura.

8 I'm wondering if anyone else has anything to
9 add, and if not, thank you all.

10 DR. PATEL: Hello? I had my hand raised. This
11 is Kuldip.

12 DR. GULUR: Yes, Dr. Patel. I think that was
13 just Dr. Bogner saying that she was done with her
14 questions.

15 Dr. Patel, please ask yours.

16 DR. PATEL: Yes. Thank you.

17 To Dr. Gura's point, it seemed like, based on
18 Dr. Anderson's presentation, the subset of populations
19 of infants or children who may potentially have an egg
20 allergy, who are on long-term TPN, would likely be
21 running into a situation of not having a therapeutic
22 option available if the committee decides to not add it

1 to the bulk list.

2 So I'm wondering if there's any data on that
3 subset population that might either had suffered adverse
4 events or maybe negative health outcomes as a result of
5 not having that option available. I'm just wondering if
6 there are any case reports or any data that might be out
7 there with regards to those patients suffering from such
8 an issue.

9 (Pause.)

10 DR. PATEL: End of my question.

11 DR. GURA: I'm not aware of any, except for
12 what was in the adult literature, not in the pediatric
13 literature, at least in regard to parenterally fed
14 children.

15 DR. GULUR: Thank you.

16 Dr. Patel, does that answer your question?

17 DR. PATEL: It does. Thank you.

18 DR. GULUR: Is there anyone else who has any
19 comments or questions for this phase?

20 (No response.)

21 DR. GULUR: Seeing none, the committee will now
22 turn its attention to address the task at hand, the

1 careful consideration of the data before the committee,
2 as well as the public comments.

3 **Committee Discussion and Vote**

4 DR. GULUR: We will proceed with the question
5 to the committee and panel discussions for choline
6 chloride. I would like to remind public observers that
7 while this meeting is open for public observation,
8 public attendees may not participate, except at the
9 specific request of the panel.

10 Today's question is a voting question.
11 Dr. Takyiah Stevenson will provide the instructions for
12 the voting.

13 DR. STEVENSON: Question 3 is a voting
14 question. Voting members will use the Adobe Connect
15 platform to submit their votes for this meeting. After
16 the chairperson has read the voting question into the
17 record and all questions and discussion regarding the
18 wording of the vote question are complete, the
19 chairperson will announce the voting will begin.

20 If you are a voting member, you will be moved
21 to a breakout room and the display will appear where you
22 can submit your vote. There will be no discussion in

1 the breakout room. You should select the radio button
2 that is a round circular button in the window that
3 corresponds to your vote, yes, no or abstain. You
4 should not leave the "no vote" choice selected.

5 Please note that you do not need to submit or
6 send your vote. Again, you need only to select the
7 radio button that corresponds to your vote. You will
8 have the opportunity to change your vote until the vote
9 is announced as closed. Once all voting members have
10 selected their vote, I will announce that the vote is
11 closed.

12 Next, the vote results will be displayed on the
13 screen. I will read the vote results from the screen
14 into the record. Next, the chairperson will go down the
15 roster, and each voting member will state their name and
16 their vote into the record. You can also state the
17 reason why you voted as you did, if you want to.

18 Are there any questions about the voting
19 process before we begin?

20 (No response.)

21 DR. GULUR: Dr. Patel, do you still have a
22 question?

1 DR. PATEL: No, I do not. I'm sorry. I'll
2 take the hand down.

3 DR. GULUR: No worries. Thank you.

4 Seeing as there are no clarifying questions on
5 the voting process, I will now read the question at hand
6 into the record.

7 FDA is proposing that choline chloride not be
8 included on the 503A Bulks List. Should choline
9 chloride be placed on the list?

10 Do panel members have any questions or issues
11 with the wording?

12 (No response.)

13 DR. GULUR: To clarify, if you vote no, you are
14 recommending FDA not place the bulk drug substance on
15 the 503A Bulks List. If the substance is not on the
16 list when the final rule is promulgated, compounders may
17 not use the drug for compounding under Section 503A
18 unless it becomes the subject of an applicable USP or NF
19 monograph, or a component of an FDA-approved drug.

20 If there are no questions or comments
21 concerning the wording of the question, we will now
22 begin the voting on question for choline chloride.

1 (No response.)

2 DR. STEVENSON: We will now move voting members
3 to the voting breakout room to vote only. There will be
4 no discussion in the voting breakout room.

5 (Voting.)

6 DR. STEVENSON: The voting has closed and is
7 now complete. Once the vote results display, I will
8 read the vote result into the record.

9 (Pause.)

10 DR. STEVENSON: The voting has closed and is
11 now complete. The votes are displayed. I will read the
12 vote totals into the record. The chairperson will go
13 down the list, and each voting member will state their
14 name and their vote into the record. You can also state
15 the reason why you voted as you did, if you want to.

16 There are 2 yeses, 11 noes, and zero
17 abstentions -- 1 abstention. My mistake; 1 abstention.

18 DR. GULUR: Thank you, Takyiah.

19 To confirm, 2 yeses, 11 noes, and 1 abstain;
20 correct?

21 DR. STEVENSON: Correct, yes. Thank you.

22 DR. GULUR: We will now go down the list and

1 have everyone who voted state their name and vote into
2 the record. You may also provide justification for your
3 vote, if you wish to.

4 We will start with Dr. McElhiney.

5 DR. McELHINEY: Linda McElhiney. I vote no.

6 DR. GULUR: Dr. Fensky?

7 DR. FENSKY: Tim Fensky. I voted no.

8 DR. GULUR: Padma Gulur. I voted no.

9 Dr. Gura?

10 DR. GURA: Kathleen Gura. I vote no.

11 DR. GULUR: Dr. Rebello?

12 DR. REBELLO: Elizabeth Rebello. I voted no.

13 DR. GULUR: Ms. Fusco-Walker?

14 MS. FUSCO-WALKER: Sandra Fusco-Walker. I
15 abstained due to technical difficulties. I could not
16 hear the presentation.

17 DR. GULUR: I'm sorry to hear that,

18 Ms. Fusco-Walker.

19 Dr. Lai?

20 DR. LAI: Jennifer Lai. I vote no.

21 DR. GULUR: Dr. Liangpunsakul?

22 DR. LIANGPUNSAKUL: Suthat Liangpunsakul. I

1 vote no.

2 DR. GULUR: Dr. Bogner?

3 DR. BOGNER: Robin Bogner. I voted yes. I
4 didn't feel like there was very good information either
5 way and don't agree with some of what was said
6 previously, that people wish that we could revisit
7 things in the future again. I find they don't get
8 revisited very quickly, so I went on the side of access.

9 DR. GULUR: Thank you, Dr. Bogner.

10 Dr. Gupta?

11 DR. GUPTA: Hi. Thank you. I voted yes.
12 Similarly, I believe in the situation for patient access
13 based on the discussion about alcohol syndrome, and also
14 the pervasiveness of this condition, and also the need
15 for access in this condition, I felt a compelling reason
16 to vote yes; so thank you.

17 DR. GULUR: Thank you, Dr. Gupta.

18 Dr. Sun?

19 DR. SUN: This is Jeanne Sun. I voted no.

20 DR. GULUR: Dr. Vaida?

21 DR. VAIDA: Allen Vaida. I voted no.

22 DR. GULUR: Dr. Patel?

1 DR. PATEL: Kuldip Patel. I voted no.

2 DR. GULUR: Dr. Desai?

3 DR. DESAI: Seemal Desai. I voted no.

4 DR. GULUR: Thank you.

5 Takyah, can we confirm that we've recorded.
6 everyone's vote?

7 DR. STEVENSON: Yes, I confirm we've recorded
8 everyone's vote.

9 Thank you so much, Dr. Gulur.

10 DR. GULUR: Thank you.

11 With this, we end the choline chloride
12 discussion. Thank you, everyone.

13 We will now take a break until 4:30. We will
14 reconvene, as I just stated, at 4:30 Eastern time for
15 the oxitriptan topic. Panel members, please remember
16 that there should be no chatting or discussion of the
17 meeting topics with other panel members during the
18 break. Thank you.

19 (Whereupon, at 4:20 p.m., a recess was taken.)

20 DR. GULUR: Welcome back, everyone. We will
21 now proceed with the FDA presentation on oxitriptan from
22 Dr. Madeline Wolfert.

1 **FDA Presentation – Madeline Wolfert**

2 DR. WOLFERT: Thank you so much.

3 Good afternoon. My name is Madeline Wolfert.

4 I'm a physician with the Pharmacy Compounding Review
5 Team in the Office of New Drugs, and I will be
6 discussing oxitriptan, also known as 5-hydroxytryptophan
7 or 5-HTP.

8 I would like to recognize the entire evaluation
9 team, including Drs. Lopez and Hankla, as well as
10 acknowledge the contribution of many other FDA
11 colleagues who helped in this effort, and our special
12 thanks to the Division of Rare Diseases and Medical
13 Genetics in the Office of New Drugs.

14 As I'll discuss later in this presentation, we
15 did not perform a full chemistry and nonclinical
16 evaluation for this review, but I'd like to recognize
17 and appreciate the presence of our colleagues from
18 chemistry and nonclinical disciplines, who are present
19 in this meeting to help address any relevant concerns
20 should they come up.

21 Oxitriptan, again, also known as
22 5-hydroxytryptophan or 5-HTP, is being considered for

1 inclusion on the list of bulk drug substances for use in
2 compounding under Section 503A of the FD&C Act, also
3 known as the 503A Bulks List. Oxitriptan is proposed
4 for oral use in the treatment of the rare disease
5 tetrahydrobiopterin or BH4 deficiency.

6 The next few slides offer some background on
7 the regulatory timeline of compounded oxitriptan. In
8 June 2015, oxitriptan was discussed at the PCAC meeting.
9 Oxitriptan was previously nominated for use in the
10 treatment of depression and sleep disorders.

11 FDA evaluated oxitriptan for inclusion on the
12 503A Bulks List for the nominated conditions, depression
13 and sleep disorders. Treatment for BH4 deficiency was
14 not considered, as it was not nominated for this
15 condition.

16 FDA did not recommend inclusion on the list due
17 to a lack of adequate evidence of effectiveness, as well
18 as safety concerns, including risk for serotonin
19 syndrome and inadequately treated depression. Based on
20 the information presented, the PCAC voted not to include
21 oxitriptan on the list, with 10 noes and 2 yeses.
22 Taking into consideration the PCAC's advice, FDA

1 determined not to include oxitriptan on the list.

2 In December 2016, FDA published a proposed rule
3 to not include oxitriptan on the 503A Bulks List. FDA
4 received comments on the proposed rule, but none
5 identified treatment of BH4 deficiency as a proposed use
6 of oxitriptan. In February 2019, the final rule was
7 published in which oxitriptan was not included on the
8 list.

9 Thereafter, several healthcare providers and
10 caregivers of patients with BH4 deficiency contacted
11 FDA, expressing that oxitriptan is an essential and
12 standard treatment for BH4 deficiency. FDA received a
13 citizen petition communicating that compounded drug
14 products containing oxitriptan are used to treat
15 patients with BH4 deficiency.

16 In July 2019, in light of the information
17 brought to our attention, FDA issued guidance that it
18 generally does not intend to take action against
19 compounders who use oral oxitriptan to compound for
20 identified individual patients with BH4 deficiency, and
21 now we are re-evaluating whether oxitriptan should be
22 added to the 503A Bulks List for BH4 deficiency.

1 This slide lists the criteria we consider when
2 conducting evaluations for the 503A Bulks List. These
3 include the physical and chemical characterization;
4 clinical and nonclinical safety; available evidence of
5 effectiveness or lack of effectiveness; and historical
6 use in compounding.

7 The FDA's 2015 evaluation of oxitriptan
8 reviewed physical and chemical characterization and
9 nonclinical safety. Thus, full chemistry and
10 nonclinical assessments were not performed again for our
11 current evaluation. Today's presentation focuses on
12 application of these criteria to oxitriptan in the
13 treatment of BH4 deficiency.

14 As just mentioned, the physical and chemical
15 characterization of oxitriptan was evaluated in 2015,
16 and the following is the summary.

17 Oxitriptan is a relatively simple, well-
18 characterized active pharmaceutical ingredient. It is
19 likely to be stable in solid and solution formulations.
20 It is unlikely to contain significant amounts of toxic
21 impurities. From a chemistry perspective, oxitriptan
22 appears to be acceptable for inclusion on the list.

1 Nonclinical safety data for oxitriptan was also
2 evaluated in 2015. The available nonclinical data on
3 oxitriptan did not identify any particular safety
4 concerns. There is no publicly available information on
5 carcinogenic potential and minimal available data on
6 general toxicity and mutagenicity.

7 In the next few slides, I'll be presenting
8 clinical safety information on oxitriptan. Consistent
9 with FDA's 2015 evaluation, common adverse reactions of
10 oxitriptan include gastrointestinal symptoms such as
11 nausea, vomiting, diarrhea, as well as headache and
12 dizziness.

13 There is also the potential risk of serotonin
14 syndrome. Serotonin syndrome is a clinically diagnosed
15 condition that occurs with hyperstimulation of serotonin
16 receptors in the body. It presents with a variety of
17 symptoms that may include restlessness; confusion;
18 shivering; tachycardia; hypertension; diarrhea; muscle
19 twitches; hyperthermia; seizures; loss of consciousness;
20 or even death.

21 Based on the mechanism of action as a chemical
22 precursor in the biosynthesis of serotonin, concomitant

1 use of oxitriptan with antidepressant drugs could result
2 in serotonin syndrome.

3 In our safety evaluation, we also searched for
4 reports listing oxitriptan as an ingredient in an
5 adverse event report. CSFAN collects reports of adverse
6 events involving food, cosmetics, and dietary
7 supplements in the CSFAN Adverse Event Reporting System
8 or CAERS.

9 A search of CAERS was conducted in July 2018,
10 with an interim follow-up search in March 2021, for
11 reports listing oxitriptan as an ingredient in adverse
12 event reports. A total of 249 reports were identified.
13 Most of the reports involved an oxitriptan product
14 formulated with multiple other substances or concomitant
15 use of other products.

16 There is also wide variability in the quality
17 of the reports, and some provide sparse or confusing
18 descriptions. This limits the determination of a causal
19 relationship between oxitriptan and the adverse event
20 reported. The database does have reports for oxitriptan
21 identifying possible serotonin syndrome.

22 An example of reported serotonin syndrome in

1 the CAERS database described a 35-year-old female taking
2 an unspecified dose of oxitriptan and PharmaGABA-250, a
3 dietary supplement containing gamma aminobutyric acid
4 250 milligrams. She developed palpitations, elevated
5 blood pressure, and bilateral cramping up her calf
6 muscles. She was diagnosed with serotonin syndrome
7 after a cardiac workup was negative, and she had an
8 elevated serotonin level on lab testing. Two months
9 later after stopping supplements, the serotonin level
10 was within reference range, and she was asymptomatic and
11 feeling back to normal.

12 No clinical trials assessing the safety of
13 oxitriptan in patients with BH4 deficiency were
14 identified. Specifically in patients with BH4
15 deficiency, the most common adverse effects reported for
16 oxitriptan were gastrointestinal symptoms such as
17 nausea, vomiting, diarrhea, and abdominal pain.
18 Irritability, motor disorders, such as choreoathetoid,
19 dyskinetic, or myoclonic movements, and sweating were
20 also reported.

21 In most cases, oxitriptan is administered in
22 combination with other medications and interventions to

1 treat BH4 deficiency such as L-dopa in combination with
2 a decarboxylase inhibitor like carbidopa; BH4
3 supplementation with a synthetic analog such as
4 sapropterin dihydrochloride; and/or a reduced
5 phenylalanine diet. This could confound interpretation
6 of adverse effects.

7 Due to these safety risks I've discussed,
8 specifically serotonin syndrome associated with
9 oxitriptan use, if FDA places oxitriptan on the 503A
10 Bulks List, FDA intends to make safety information about
11 the use of oxitriptan available to prescribers,
12 pharmacists, and the public through information on FDA's
13 website, in its safety guide, or through other
14 appropriate mechanisms.

15 I will now switch gears to talk about some
16 background on BH4 deficiency, the role of BH4 in the
17 body, and briefly describe the disease and its
18 treatment. BH4 deficiency is a general term for a group
19 of inborn errors of metabolism characterized by
20 deficiency of the cofactor BH4. I apologize for the
21 busy slide, but it helps to illustrate the role of BH4
22 in these pathways. In this figure, BH4 is highlighted

1 in red.

2 BH4 is an essential cofactor for multiple
3 enzymes, including phenylalanine hydroxylase, tyrosine
4 hydroxylase, and tryptophan hydroxylase. A cofactor is
5 a substance in the body that is necessary for the proper
6 function of certain enzymes.

7 Tyrosine hydroxylase and tryptophan and
8 hydroxylase are key enzymes involved in the conversion
9 of precursors L-dopa and oxitriptan to critical
10 neurotransmitters dopamine and serotonin, respectively.
11 Oxitriptan is denoted as 5-OH-Trp in this figure and is
12 highlighted in yellow. BH4 deficiency disease is caused
13 by pathogenic mutations in the genes encoding the
14 enzymes in its biosynthesis or regeneration pathways,
15 resulting in depletion of available BH4.

16 Thus, BH4 deficiency really comprises a group
17 of heterogeneous neurotransmitter disorders. Deficiency
18 of BH4 limits its availability as a cofactor, and
19 thereby BH4 deficiency typically manifests with
20 hyperphenylalanemia and deficiency of the
21 neurotransmitter precursors L-dopa and oxitriptan.
22 Diagnosis is usually made based on elevated

1 phenylalanine levels detected on the neonatal
2 phenylketonuria or PKU screen. Workup typically
3 includes analysis of blood and urine, cerebrospinal
4 fluid, and gene sequencing.

5 BH4 deficiency disorders are commonly
6 characterized by motor dysfunction, impaired muscle
7 tone, movement abnormalities, intellectual disability,
8 and seizures. Symptoms of BH4 deficiency typically
9 present in infancy, such as in the first few weeks of
10 life with poor suck and decreased spontaneous movements,
11 but are often noted around 4 months of age.

12 BH4 deficiency is a rare disease estimated to
13 affect approximately 1 in 1 million individuals in the
14 general population. The exact prevalence is difficult
15 to determine given variability in newborn screening
16 protocols, and that some cases, especially if mild, may
17 go undiagnosed or misdiagnosed.

18 The treatment strategy described in the
19 literature is two-pronged, first, limitation of
20 hyperphenylalaninemia through a restricted phenylalanine
21 diet and/or BH4 replacement; and secondly, in
22 combination with phenylalanine control, substitution of

1 depleted neurotransmitters by using the precursors oral
2 oxitriptan and L-dopa/carbidopa.

3 We were unable to find information on alternate
4 routes of administration, so our evaluation is limited
5 to oral administration of these medications. Treatment
6 should be initiated as early as possible to optimize
7 neurodevelopmental outcomes and improve and prevent
8 worsening of symptoms. Late detection and late
9 initiation of effective treatment can lead to
10 irreversible brain damage.

11 Treatment is focused on managing the symptoms
12 and preventing long-term nervous system damage.
13 Patients with BH4 deficiency require close and lifelong
14 follow-up. Pediatric patients require frequent visits
15 due to dosing titration and dose adjustments with weight
16 gain.

17 In the next slides, I'll present the
18 information we found on the effectiveness of oxitriptan
19 for BH4 deficiency and some case reports. Oxitriptan is
20 considered first-line treatment in BH4 deficiency in the
21 literature. A consensus guideline for the diagnosis and
22 treatment of BH4 deficiencies published in 2020 included

1 a strong recommendation that from a biochemical
2 standpoint, oxitriptan is considered first-line
3 treatment, as benefits clearly outweigh adverse effects.

4 NORD, the National Organization for Rare
5 Disorders, identifies oxitriptan as a standard therapy
6 used to restore neurotransmitter balance. The
7 recommended pediatric target dose for oxitriptan is
8 variable in the literature, but it has been cited as
9 4 to 10 milligrams per kilogram per day orally. The
10 starting dose is 1 to 2 mgs per kg per day, typically
11 divided in 3 to 6 doses per day. This is slowly
12 titrated up based on clinical response, side effects,
13 and weight gain in pediatric patients. Measurement of
14 neurotransmitter metabolites in cerebrospinal fluid may
15 also be helpful in dose titration.

16 Numerous case reports worldwide regarding
17 treatment for BH4 deficiency with oxitriptan have been
18 published. Some case report examples in children
19 include, an infant showed steady improvement in
20 myoclonus, uncontrolled movements, hypersalivation, and
21 head control when treated with oxitriptan and L-dopa; a
22 27-month-old child showed improvement in areas of

1 receptive language and motor strength, in addition to
2 increased alertness and responsiveness after treatment
3 with L-dopa, carbidopa, and oxitriptan.

4 A long-term, follow-up report of 5 patients
5 with BH4 deficiency showed the range of disease severity
6 and variation in treatment response. Some patients
7 demonstrated improvement in symptoms, such as swallowing
8 difficulties and seizures, with oxitriptan and
9 L-dopa/carbidopa.

10 Here's what we found on the historical use of
11 oxitriptan in compounding. Based on published
12 literature, oxitriptan has been used in pharmacy
13 compounding for BH4 deficiency since at least 2011,
14 however, it can be presumed that it's been used since
15 the 1970s, when dosing for children with BH4 deficiency
16 was first described.

17 We have revisited whether oxitriptan should be
18 added to the 503A Bulks List, addressing the physical
19 and chemical characteristics, safety, effectiveness, and
20 historical use in compounding of oxitriptan for the
21 treatment of BH4 deficiency. Based on this information,
22 the agency has considered a balancing of the criteria

1 weighs in favor of oxitriptan for oral administration
2 being added to the 503A Bulks List. Thank you very
3 much. This concludes my presentation.

4 **Clarifying Questions from the Committee**

5 DR. GULUR: Thank you for that presentation.

6 We will now take clarifying questions for FDA
7 presenters. Please use the raised-hand icon to indicate
8 that you have a question and remember to clear the icon
9 after you have asked your question. When acknowledged,
10 please remember to state your name for the record before
11 you speak and direct your question to a specific
12 presenter, if you can.

13 If you wish for a specific slide to be
14 displayed, please let us know the slide number, if
15 possible. Finally, it would be helpful to acknowledge
16 the end of your question with a thank you and the end of
17 your follow-up question with, "That is all for my
18 questions," so that we can move on to the next panel
19 member.

20 With that, do we have any questions?

21 Dr. Vaida?

22 DR. VAIDA: Yes. Thank you.

1 I just want to clarify, as of right now,
2 oxitriptan isn't on the list, but the FDA has a guidance
3 that says that it can be used for oral compounding for
4 BH4 deficiency with restrictions.

5 Is that correct?

6 DR. WOLFERT: Yes, that's correct.

7 Pardon me. Can I answer? It's Madeline
8 Wolfert.

9 DR. GULUR: Yes. Yes, please.

10 DR. WOLFERT: Yes. It was voted in 2015 not to
11 be included on the list, and it was subsequently not
12 included on the list. The guidance allowed for
13 compounding with those certain restrictions, oral
14 administration, and for patients with BH4 deficiency
15 with the intention to re-evaluate it for inclusion on
16 the 503A Bulks List.

17 DR. VAIDA: Okay. Just to follow up, then, if
18 we do include it on the list, then, once again, it can
19 be used for insomnia and depression with the adverse
20 events that have been identified.

21 Is that correct?

22 DR. WOLFERT: So including it on the list would

1 not limit the indications, yes. And I can also refer
2 this question to Gail Bormel in OCQC for additional
3 information as well.

4 DR. BORMEL: Yes. This is Gail Bormel. What
5 was said is correct.

6 Dr. Vaida, when we put out that guidance, it
7 was included as policy to tell people we would not
8 object to the compounding of drug products using
9 oxitriptan because we had, actually, a final rule which
10 said that oxitriptan would not be placed on the bulks
11 list. So because we found out about this use for
12 oxitriptan after the final rule published, we issued the
13 guidance so that 503A compounders could still use it
14 within the limits described in the guidance.

15 What we're now doing is we are revisiting
16 whether oxitriptan for oral administration should be
17 placed on the 503A Bulks List, and it is correct that
18 when we place oxitriptan on the list, we cannot limit
19 the indication or what the bulk drug substance that's
20 made into a compounded drug product would be used for.

21 DR. VAIDA: Okay. I'm sorry.

22 One other follow-up, is there any in between,

1 that we could just stay with what's current, that is not
2 on the list?

3 DR. BORMEL: To retain the final guidance?

4 DR. VAIDA: Correct.

5 DR. BORMEL: It's possible that we would not
6 have to alter the way things are right now. But
7 remember, under 503A, there is a scheme that is
8 described in order to tell compounders under
9 Section 503A what type of bulk drug substances that can
10 be used in compounding: those bulk drug substances that
11 can be used or those that are components of FDA-approved
12 drugs; those that are subject to an applicable USP and
13 NF monograph; or those that appear on the 503A Bulks
14 List.

15 There's no mention in the law of a discretion
16 policy and guidances that would implement the list.
17 Because we had new information about oxitriptan, we
18 brought it back to the expert, the 503A pharmacy
19 compound -- I'm sorry, the Pharmacy Compounding Advisory
20 Committee to reconsider.

21 DR. VAIDA: Okay. No further questions. Thank
22 you.

1 DR. GULUR: Thank you, Dr. Vaida.

2 Dr. Bormel, if I could follow up on Dr. Vaida's
3 question just for some elaboration and clarification,
4 the FDA recommendation, the presentation seems to be for
5 a specific indication, but the side effects for other
6 indications, which were a concern to start, still exist.

7 Is the recommendation to include this in spite
8 of those potential side effects?

9 DR. BORMEL: I think definitely we're looking
10 at these bulk drug substances because we found out about
11 the use of oxitriptan in compounded drugs for BH4
12 deficiency. As is the case, when we do add bulk drug
13 substances to the 503A list, we can't limit it by
14 indication. We can't limit by uses.

15 There may be some uses that cause adverse
16 events, it's possible, but we know that there are uses
17 for which this bulk drug substance is needed for certain
18 other uses. So we may limit it to the types of routes
19 of administration, but we recommend something go on a
20 list for what it's being evaluated for, and we don't
21 limit it for other particular uses because we don't have
22 the ability to do so. We have to rely on the provider

1 who's writing a patient-specific prescription for the
2 patient, to write the prescription for the use and to
3 appropriately monitor the patient.

4 DR. GULUR: Thank you, Dr. Bormel.

5 Dr. Bui?

6 DR. BUI: Yes. Dr. Bui here.

7 A question for Dr. Wolfert; first my comments.

8 I commend the agency for the commitment to make the
9 safety information available to the public. That's very
10 helpful to the public. My question is relating to
11 pediatric patients. You mentioned dosing.

12 What's the lowest age group that oxitriptan can
13 be used for pediatric patients?

14 DR. WOLFERT: The evaluation for these patients
15 begins with, let's say, an abnormal newborn screen at
16 birth. Once the BH4 deficiency is identified and a
17 concern for neurotransmitter depletion or derangement
18 has been identified, my understanding is it would be
19 started as early as possible. There is recommended
20 dosing in the literature in the newborn age group. That
21 might be a starting dosage of 1 to 2 mgs per kg per day,
22 which would be slowly titrated up, but it is indicated

1 in that age group once diagnosed.

2 DR. BUI: Thank you. No --

3 DR. WOLFERT: I can certainly offer that
4 question to our colleagues in the Division of Medical
5 Genetics Emergencies, if they have anything else to add.

6 MS. SMPOKOU: Yes. Hi. Can you hear me?

7 DR. GULUR: Yes.

8 DR. SMPOKOU: Yes. I don't have anything else
9 to add. I completely agree with the answer. This is
10 Dr. Smpokou from our rare diseases team. Thanks.

11 DR. BUI: Thank you. No more questions for me.

12 DR. GULUR: Thank you.

13 Do we have any other questions for our
14 presenters?

15 (No response.)

16 DR. GULUR: Seeing as there are none, we will
17 be opening the open public hearing session.

18 I would like to state into the record that
19 there are no open public hearing speakers for this
20 topic. The open public hearing portion of this meeting
21 has now concluded and we will no longer take comments
22 from the audience.

1 Are there any further clarifying questions for
2 the presenters? Or we will consider this the start of
3 the panel discussion, and we are open to comments from
4 panel members as well.

5 (No response.)

6 Dr. Vaida? Yes. Just one other question,
7 then. Is there any data from 2019 until current that
8 it's been used under the new guidance?

9 DR. BORMEL: This is Gail Bormel. I believe
10 the data we have is really the calls that we received
11 and the documents that we received, letting us know that
12 this was important to be used in compounding for this
13 particular use and that it needed to continue. That's
14 all the data that we have that the guidance was
15 necessary, and it has been well received, but it's
16 anecdotal.

17 DR. VAIDA: Okay. Thank you. No further
18 questions.

19 DR. BORMEL: Just so you know, Dr. Vaida, we
20 don't receive information on what pharmacies are making,
21 what state-licensed pharmacies, what federal facilities,
22 and what licensed physicians are compounding under

1 Section 503A. There's no way for that information to be
2 reported to us. Currently, we just don't receive that.

3 DR. VAIDA: Okay. Thank you.

4 DR. GULUR: Thank you.

5 Are there any other comments or discussion
6 points?

7 (No response.)

8 **Committee Discussion and Vote**

9 DR. GULUR: Seeing as there are none, the
10 committee will now turn its attention to address the
11 task at hand, the careful consideration of the data
12 before the committee, as well as the public comments.

13 We will proceed with the question to the
14 committee and panel discussion. I would like to remind
15 public observers that while this meeting is open for
16 public observation, public attendees may not participate
17 except at the specific request of the panel.

18 Today's question is a voting question.

19 Dr. Takyiah Stevenson will provide the instructions for
20 the voting.

21 DR. STEVENSON: Question 4 is a voting
22 question. Voting members will use the Adobe Connect

1 platform to submit their vote for this meeting. After
2 the chairperson has read the voting question into the
3 record and all questions and discussion regarding the
4 wording of the vote question are complete, the
5 chairperson will announce that the voting will begin.

6 If you are a voting member, you will be moved
7 to a breakout room. A new display will appear where you
8 can submit your vote. There will be no discussion in
9 the breakout room. You should select the radio button
10 that is the round circular button in the window that
11 corresponds to your vote, yes, no, or abstain. You
12 should not leave the "no vote" choice selected.

13 Please note that you do not need to submit or
14 send your vote. Again, you need only to select the
15 radio button that corresponds to your vote. You will
16 have the opportunity to change your vote until the vote
17 is announced as closed. Once all voting members have
18 selected their vote, I will announce that the vote is
19 closed.

20 Next, the vote results will be displayed on the
21 screen. I will read the vote results from the screen
22 into the record. Next, the chairperson will go down the

1 roster and each voting member will state their name and
2 their vote into the record. You can also state the
3 reason why you voted as you did, if you want to.

4 Are there any questions about the voting
5 process before we begin?

6 (No response.)

7 DR. STEVENSON: Seeing as there are no
8 questions, I will now read the question into the record.

9 FDA is proposing that oxitriptan for oral
10 administration be included on the 503A Bulks List.
11 Should oxitriptan for oral administration be placed on
12 the list?

13 Any questions or concerns with the wording of
14 this question?

15 (No response.)

16 DR. GULUR: I do not see any raised hands.

17 I will clarify that if you vote no, you are
18 recommending FDA not place the bulk drug substance on
19 the 503A Bulks List. If the substance is not on the
20 list when the final rule is promulgated, compounders may
21 not use the drug for compounding under Section 503A
22 unless it becomes a subject of an applicable USP or NF

1 monograph, or a component of an FDA-approved drug.

2 If there are no questions or comments
3 concerning the wording of the question, we will now
4 begin the voting on the question for oxitriptan.

5 (No response.)

6 DR. STEVENSON: We will now move voting members
7 to the voting breakout room to vote only. There will be
8 no discussion in the voting breakout room.

9 (Voting.)

10 DR. STEVENSON: The voting has closed and is
11 now complete. Once the vote results display, I will
12 read the vote result into the record.

13 (Pause.)

14 DR. STEVENSON: The voting has closed and is
15 now complete. The vote results are displayed. I will
16 read the vote totals into the record. The chairperson
17 will go down the list, and each voting member will state
18 their name and their vote into the record. You can also
19 state the reason why you voted as you did, if you want
20 to.

21 There are 11 yeses, zero noes, 1 abstention.

22 DR. GULUR: Thank you.

1 We will now go down the list and have everyone
2 who voted state their name and vote into the record.
3 You may also provide justification for your vote if you
4 wish to.

5 We will start with Dr. McElhiney.

6 DR. McELHINEY: Linda McElhiney. I vote yes.

7 DR. GULUR: Dr. Desai?

8 DR. DESAI: Seemal Desai. I experienced
9 technical difficulty during the voting and was not able
10 to vote as a panel member. Therefore, I had to abstain.

11 DR. GULUR: Sorry to hear that, Dr. Desai.

12 Dr. Fensky?

13 DR. FENSKY: Tim Fensky. I voted yes.

14 DR. GULUR: Padma Gulur. I voted yes.

15 Dr. Rebello?

16 DR. REBELLO: Elizabeth Rebello. I voted yes.

17 DR. GULUR: Dr. Bogner?

18 DR. BOGNER: Robin Bogner. I voted yes, and
19 I'd like to say I stand corrected. We do revisit the
20 bulks list when things are not accepted the first time.

21 Thank you.

22 DR. GULUR: Thank you, Dr. Bogner.

1 Dr. Gura?

2 DR. GURA: Hi. Kathleen Gura. I voted yes.

3 DR. GULUR: Dr. Sun?

4 DR. SUN: Jeanne Sun. I voted yes.

5 DR. GULUR: Dr. Vaida?

6 DR. VAIDA: Yes. Allen Vaida, and I voted yes.

7 My only comment is I wish we could have stayed with the

8 current guidance. Thank you.

9 DR. GULUR: Thank you, Dr. Vaida.

10 Dr. Patel?

11 DR. PATEL: Hi. Kuldip Patel. I voted yes.

12 DR. GULUR: Dr. Gupta?

13 (No response.)

14 DR. GULUR: Dr. Gupta, are you muted?

15 (No response.)

16 DR. GULUR: Dr. Gupta, are you able to record

17 your vote?

18 DR. GUPTA: I voted yes. Hello?

19 DR. GULUR: Yes. We can hear you now.

20 DR. GUPTA: Yes. I voted yes. Thank you.

21 DR. GULUR: Thank you.

22 Ms. Fusco-Walker?

1 MS. FUSCO-WALKER: Sandra Fusco-Walker. I
2 voted yes.

3 DR. GULUR: Thank you.

4 With that, I believe we recorded everyone's
5 vote.

6 Dr. Stevenson, could you confirm?

7 DR. STEVENSON: Yes, I can confirm. Thank you.

8 DR. GULUR: Thank you.

9 DR. STEVENSON: With this, we have ended the
10 oxitriptan topic. We will take a five-minute break
11 before we reconvene at 5:20 Eastern time for neomycin
12 sulfate. Panel members, please remember that there
13 should be no chatting or discussion of the meeting
14 topics with other panel members during the break. Thank
15 you.

16 (Whereupon, at 5:10 p.m., a recess was taken.)

17 DR. GULUR: We will now have Dr. Takyiah
18 Stevenson read the Conflict of Interest Statement for
19 this meetings Withdrawn or Removed list.

20 **Conflict of Interest Statement**

21 DR. STEVENSON: The Food and Drug
22 Administration is convening today's meeting of the

1 Pharmacy Compounding Advisory Committee under the
2 authority of the Federal Advisory Committee Act of
3 1972. With the exception of the National
4 Association of Boards of Pharmacy, NABP; the United
5 States Pharmacopeia, USP; and the industry
6 representatives, all members and temporary voting
7 members of the committee are special government
8 employees or regular federal employees from other
9 agencies and are subject to federal conflict of
10 interest laws and regulations.

11 The following information on the status of
12 this committee's compliance with federal ethics and
13 conflict of interest laws, covered by but not
14 limited to those found at 18 U.S.C. Section 208, is
15 being provided to participants in today's meeting
16 and to the public.

17 FDA has determined that members and
18 temporary voting members of this committee are in
19 compliance with federal ethics and conflict of
20 interest laws. Under 18 U.S.C. Section 208,
21 Congress has authorized FDA to grant waivers to
22 special government employees and regular federal

1 employees who have potential financial conflicts
2 when it is determined that the agency's need for a
3 special government employee's services outweighs
4 his or her potential financial conflict of interest
5 or when the interest of a regular federal employee
6 is not so substantial as to be deemed likely to
7 affect the integrity of the services which the
8 government may expect from the employee.

9 Related to the discussions of today's
10 meeting, members and temporary voting members of
11 this committee have been screened for potential
12 financial conflicts of interests of their own as
13 well as those imputed to them, including those of
14 their spouses or minor children and, for purposes
15 of 18 U.S.C. Section 208, their employers. These
16 interests may include investments; consulting;
17 expert witness testimony; contracts, grants,
18 CRADAs; teaching, speaking, writing; patents and
19 royalties; and primary employment.

20 During the session, the committee will discuss
21 the revisions FDA is considering to the Withdrawn or
22 Removed List. FDA is now considering whether to amend

1 the rule to add one more entry to the list, neomycin
2 sulfate, all parenteral drug products containing
3 neomycin sulfate, except for of ophthalmic or otic use,
4 or when combined with polymyxin B sulfate for irrigation
5 of the intact bladder.

6 As previously explained in the Federal Register
7 of July 2, 2014, 79FR 37687 at 37689 through 37690, the
8 list may specify that a drug may not be compounded in
9 any form or alternatively may expressly exclude a
10 particular formulation, indication, dosage form, or
11 route of administration from an entry on the list.

12 Moreover, a drug may be listed only with regard
13 to certain formulations, indications, routes of
14 administration, or dosage forms because it has been
15 found to be unsafe or not effective in those particular
16 formulations, indications, routes of administration, or
17 dosage forms. FDA plans to seek the committee's advice
18 concerning the inclusion of this drug product on the
19 list.

20 This is a particular matters meeting during
21 which specific matters related to neomycin sulfate will
22 be discussed. Based on the agenda for this meeting

1 and all financial interests reported by the
2 committee members and temporary voting members, a
3 conflict of interest waiver has been issued in
4 accordance with 18 U.S.C. Section 208(b)(3) to
5 Dr. Kathleen Gura. Dr. Gura's waiver involves
6 stock holdings of an affected entity. The
7 aggregate value of the stock is between \$50,000 and
8 \$100,000.

9 The waiver allows the individual to
10 participate fully in today's deliberations. FDA's
11 reasons for issuing the waiver are described in the
12 waiver document, which are posted on FDA's website
13 at [https://www.fda.gov/advisory-committees/
14 committees-and-meeting-materials/human-drug-
15 advisory-committees.](https://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees)

16 Copies of the waivers may also be obtained
17 by submitting a written request to the agency's
18 Freedom of Information Division at 5630 Fishers
19 Lane, Room 1035, Rockville, Maryland, 20857, or
20 requests may be sent via fax to 301-827-9267.

21 To ensure transparency, we encourage all
22 standing committee members and temporary voting

1 members to disclose any public statements that they
2 have made concerning the topic at issue.

3 We would like to note that Dr. Timothy
4 Fensky is a representative member from the National
5 Association of Boards of Pharmacy, NABP, and
6 Dr. Jeanne Sun is a representative member from the
7 United States Pharmacopeia, USP.

8 Section 102 of the Drug Quality and Security
9 Act amended the Federal Food, Drug, and Cosmetic
10 Act with respect to the Advisory Committee on
11 Compounding to include representatives from the
12 NABP and the USP. Their role is to provide the
13 committee with the points of view of the NABP and
14 the USP.

15 Unlike the other members of the committee,
16 representative members are not appointed to the
17 committee to provide their own individual judgment
18 on the particular matters at issue. Instead, they
19 serve as the voice of the NABP and USP, entities
20 with a financial or other stake in the particular
21 matters before the advisory committee.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that
2 Drs. Michael Bui and Gus Bassani are participating
3 in this meeting as non-voting industry
4 representatives, acting on behalf of regulated
5 industry. Their role at this meeting is to
6 represent industry in general and not any
7 particular company. Dr. Bui is employed by Kadmon
8 Pharmaceuticals and Dr. Bassani is employed by
9 Professional Compounding Centers of America, PCCA.

10 We would like to remind members and
11 temporary voting members that if the discussions
12 involve any other topics that are not already on
13 the agenda for which an FDA participant has a
14 personal or imputed financial interest, the
15 participants need to exclude themselves from such
16 involvement, and their exclusion will be noted for
17 the record. FDA encourages all other participants
18 to advise the committee of any financial
19 relationships that they may have with the topic at
20 issue.

21 Thank you very much, and I will turn it back to
22 the chairperson, Dr. Gulur. Thank you.

1 DR. GULUR: Thank you, Dr. Stevenson.

2 We will now proceed with the FDA presentation
3 on the Withdrawn or Removed List process from Gabriel
4 Cosel.

5 **FDA Presentation - Gabrielle Cosel**

6 MS. COSEL: Good afternoon. I will provide
7 brief remarks on FDA's process for identifying drugs for
8 the Withdrawn or Removed List. One of the conditions
9 that must be satisfied for a drug product to qualify for
10 the exemptions under Section 503A or 503B of the Federal
11 Food, Drug, and Cosmetic Act is that the compounder does
12 not compound a drug product that appears on the list
13 published by the Secretary of drug products that have
14 been withdrawn or removed from the market because such
15 product, or their components, have been found to be
16 unsafe or not effective. This is known as the Withdrawn
17 or Removed List. A drug product that is included in the
18 Withdrawn or Removed List is not eligible for the
19 exception exemption provided in Section 503A or 503B.

20 FDA periodically reviews available information
21 on drugs withdrawn or removed from the market because
22 such drugs or their components have been found to be

1 unsafe or not effective with the goal of identifying
2 possible new entries for this list.

3 The information we review may include Federal
4 Register notices announcing withdrawal of approval of a
5 new drug application or an abbreviated new drug
6 application for safety or effectiveness reasons, or
7 Federal Register notices announcing an agency
8 determination that a drug product that was voluntarily
9 withdrawn from sale was withdrawn for reasons of safety
10 or effectiveness.

11 We also review available information to
12 determine whether any approvals of new drug applications
13 would warrant modifications to existing entries on the
14 list. In these cases, appropriate divisions within the
15 Office of New Drugs evaluate each identified candidate
16 or proposed modification using available information
17 about the drug. The responsible division will prepare a
18 review of the information that documents its
19 recommendations as to whether to include the drug on the
20 Withdrawn or Removed List, or remove the drug from the
21 list, or modify an entry.

22 FDA will update the Withdrawn or Removed List

1 through notice and rulemaking as stated in the final
2 rule published in October of 2016. While we intend to
3 propose regulations to revise the list when we identify
4 drugs that we tentatively determine should be listed, we
5 also intend to propose regulations when we tentatively
6 determine that changes to the status of drug products
7 already on the list should result in a revision to their
8 listing.

9 Generally, we will finalize any additions or
10 modifications to the list after consulting the advisory
11 committee about the relevant drug and after providing an
12 opportunity for public comments to be submitted on a
13 proposed rule.

14 As was mentioned today, we are discussing the
15 following entries for potential inclusion on the list,
16 and that is neomycin sulfate; all parenteral drug
17 products containing neomycin sulfate, except for
18 ophthalmic or otic use, or when combined with
19 polymyxin B sulfate for irrigation of the intact
20 bladder. That concludes my slide. Thank you.

21 DR. GULUR: Thank you.

22 We will now proceed with the FDA presentation

1 on neomycin from Dr. Jae Ho Hong.

2 **FDA Presentation - Jae Ho Hong**

3 DR. HONG: Hi. Good afternoon. My
4 presentation is regarding the review of neomycin sulfate
5 for the listing in the Withdrawn or Removed List.
6 First, I'll go over the review of Withdrawn or Removed
7 List, then I'll present the background of neomycin
8 sulfate, including description, regulatory history,
9 adverse reactions with parenteral use, and labeled
10 indications, then we'll address our assessments and
11 recommendations.

12 As a reminder, under Section 503A and 503B of
13 the FD&C Act, FDA has established a list of drug
14 products that were withdrawn or removed from the market
15 because these drugs have been found to be unsafe or not
16 effective.

17 Neomycin sulfate is an aminoglycoside
18 antibacterial drug that was discovered in 1949. It is
19 active against Pseudomonas, Klebsiella, Proteus,
20 E. coli, and Enterobacter. It is poorly absorbed in the
21 GI tract. The currently approved formulations of
22 neomycin sulfate include oral tablets and solution,

1 ophthalmic, otic, and dermatologic products, and a
2 solution for bladder irrigation, which is in combination
3 with polymyxin B.

4 Following is the regulatory history of
5 neomycin. Initially, neomycin was approved for
6 intramuscular injection for serious systemic infections
7 and urinary tract infections; intraperitoneal
8 instillation for the treatment and prevention of
9 peritonitis; intestinal instillation in abdominal
10 surgery; and topical use.

11 In 1972, labeling guidelines for neomycin
12 sulfate sterile powder was amended, stating that the
13 intramuscular neomycin sulfate may be indicated in the
14 treatment of urinary tract infections, and due to its
15 toxicity, limited the use to when other alternatives are
16 not available. Also, the label included a boxed warning
17 regarding nephrotoxicity, ototoxicity, and respiratory
18 paralysis due to neuromuscular blockade.

19 The Anti-Infective Advisory Committee meeting
20 in 1977 concluded that there is no use of neomycin
21 sulfate in sterile vials for parenteral use for the
22 labeled indication and that the risk-benefit for

1 parenteral neomycin sulfate did not warrant its
2 continued marketing. For the non-sterile neomycin
3 sulfate bulk for prescription compounding, the committee
4 concluded that a warning label should be placed.

5 In 1979, FDA proposed to revoke provisions for
6 certification of neomycin sulfate in sterile vials for
7 parenteral use based on the findings of the
8 Anti-Infective Advisory Committee, and to revoke
9 provisions for certification of non-sterile neomycin
10 sulfate for prescription compounding; then in 1988, FDA
11 revoked the provisions for certification of neomycin
12 sulfate in sterile vials for parenteral use.

13 FDA amended the provisions for certification of
14 non-sterile neomycin sulfate for prescription
15 compounding by changing the product name to neomycin
16 sulfate for compounding oral products and required
17 labeling of appropriate uses and warnings about the
18 risks with inappropriate use.

19 FDA withdrew approval of four applications for
20 neomycin sulfate in sterile vials for injection in 1988
21 and also proposed to withdraw six applications for
22 non-sterile neomycin sulfate products for prescription

1 compounding, and subsequently withdrew five of these
2 applications. The remaining last application was
3 withdrawn in 2019, as the holder of this application
4 waived its opportunity for a hearing.

5 The systemic exposure to neomycin sulfate by
6 parenteral administration, including intravenous or
7 intramuscular administration, instillation or irrigation
8 of body cavity structures or spaces, or from use in wet
9 dressings, may cause the following adverse reactions:
10 nephrotoxicity, irreversible ototoxicity, neuromuscular
11 blockade, and respiratory paralysis.

12 The following is the currently approved oral
13 and irrigational neomycin sulfate products and their
14 indications. First, neomycin oral tablet is indicated
15 for adjunctive therapy in hepatic coma and as a part of
16 the regimen for suppression of the normal bacterial
17 flora of the bowel.

18 Neomycin oral solution is indicated as an
19 adjunctive therapy in hepatic coma. Neomycin sulfate in
20 combination with polymixin B solution is indicated for
21 urinary bladder irrigation of abacteriuric patients to
22 help prevent bacteriuria and gram-negative septicemia

1 associated with the use of indwelling catheters.

2 The assessment of the approved formulations of
3 neomycin sulfate, including formulations for oral
4 administration, ophthalmic, otic, or dermatologic use,
5 and in combination with polymyxin B sulfate for
6 irrigation of the intact bladder, remains favorable.

7 However, all other parenteral neomycin sulfate
8 formulations may result in significant systemic exposure
9 to neomycin and be associated with serious adverse
10 reactions such as nephrotoxicity, ototoxicity, and
11 neuromuscular blockade leading to respiratory paralysis.

12 Therefore, we recommend the following statement
13 to be added to the Withdrawn or Removed List. All
14 parenteral drug products containing neomycin sulfate,
15 except when used for ophthalmic, or otic use, or in
16 combination with polymyxin B sulfate for irrigation of
17 the intact bladder, to be added to the Withdrawn or
18 Removed List. This is the end of my presentation.

19 Thank you.

20 DR. GULUR: Thank you.

21 We will now take clarifying questions for FDA
22 presenters. Please use the raised-hand icon to indicate

1 that you have a question and remember to clear the icon
2 after you have asked your question. When acknowledged,
3 please remember to state your name for the record before
4 you speak and direct your question to a specific
5 presenter, if you can.

6 If you wish for a specific slide to be
7 displayed, please let us know the slide number, if
8 possible. Finally, it would be helpful to acknowledge
9 the end of your question with a thank you and end of
10 your follow-up question with, "That is all for my
11 questions," so we can move on to the next panel member.

12 With that, do we have any questions?

13 (No response.)

14 **Open Public Hearing**

15 DR. GULUR: Seeing none, we will now begin the
16 open public hearing session.

17 Both the Food and Drug Administration and the
18 public believe in a transparent process for information
19 gathering and decision making. To ensure such
20 transparency at the open public hearing session of the
21 advisory committee meeting, FDA believes that it is
22 important to understand the context of an individual's

1 presentation.

2 For this reason FDA encourages you, the open
3 public hearing speaker, at the beginning of your written
4 or oral statement to advise the committee of any
5 financial relationship that you may have with the
6 product and, if known, its direct competitors. For
7 example, this financial information may include the
8 payment by a bulk drug supplier or compounding pharmacy
9 of your travel, lodging, or other expenses in connection
10 with your attendance at the meeting.

11 Likewise, FDA encourages you at the beginning
12 of your statement to advise the committee if you do not
13 have any such financial relationship. If you choose not
14 to address this issue of financial relationships at the
15 beginning of your statement, it will not preclude you
16 from speaking.

17 The FDA and this committee place great
18 importance in the open public hearing process. The
19 insights and comments provided can help the agency and
20 this committee in their consideration of the issues
21 before them. That said, in many instances and for many
22 topics, there will be a variety of opinions. One of our

1 goals today is for this open public hearing to be
2 conducted in a fair and open way, where every
3 participant is listened to carefully and treated with
4 dignity, courtesy, and respect. Therefore, please speak
5 only when recognized by the chair. Thank you for your
6 cooperation.

7 Speaker number 1, your audio is connected now.
8 Will speaker number 1 begin and introduce yourself?
9 Please state your name and any organization you are
10 representing for the record.

11 DR. CAROME: Hello. Can you hear me?

12 DR. GULUR: Yes, we can, Dr. Carome.

13 DR. CAROME: I'm Dr. Michael Carome, director
14 of Public Citizen's Health Research Group. I have no
15 financial conflicts of interest.

16 Regarding neomycin, Public Citizen strongly
17 supports the FDA's proposal to add all parenteral drug
18 products containing the aminoglycoside antibiotic,
19 neomycin sulfate, with the exceptions noted by the FDA,
20 to the list of drug products that have been withdrawn or
21 removed from the market because they have been found to
22 be unsafe or not effective, and that, therefore, may not

1 be compounded under the exemption provided by
2 Section 503A or Section 503B of the Food, Drug, and
3 Cosmetic Act, the Withdrawn or Removed List.

4 Such action should have been taken by the
5 agency many years ago. In 1979, more than four decades
6 ago, the FDA first proposed taking regulatory action to
7 remove parenteral neomycin products from the market
8 based on widespread evidence that the drug's risks
9 outweigh its benefits.

10 At that time, the fact that systemic exposure
11 to neomycin caused serious nephrotoxicity, ototoxicity,
12 and neuromuscular paralysis with respiratory arrest was
13 well established, and no safe parenteral dosage regimen
14 of the drug had been recognized. Moreover, neomycin was
15 known to be more toxic than other FDA-approved
16 parenteral aminoglycosides.

17 In 1988, more than three decades ago, the FDA
18 issued a final rule, amending the antibiotic drug
19 regulations to revoke the provisions for certification
20 of neomycin in sterile vials for parenteral use, and
21 another notice withdrawing the approval of four
22 abbreviated antibiotic drug applications for neomycin

1 for parenteral use because the risks involved in the
2 parenteral use of neomycin were judged to outweigh any
3 benefits that might be derived from its continued
4 availability.

5 In 1998, more than two decades ago, the FDA
6 appropriately proposed including all parenteral drug
7 products containing neomycin sulfate in its proposed
8 rule to establish the initial Withdrawn or Removed List.
9 However, in the final rule establishing that list that
10 was issued in 1999, the FDA excluded parenteral neomycin
11 products from the list because the agency had failed to
12 take appropriate administrative actions to, one, address
13 pending requests submitted in 1988 for hearings
14 regarding the withdrawal of approval of applications for
15 neomycin in sterile vials for injection and the
16 withdrawal of approval of the applications for neomycin
17 sulfate for prescription compounding; and, two, respond
18 to four petitions submitted in 1988 to stay these and
19 other agency actions related to neomycin products.

20 Disturbingly, the straightforward
21 administrative actions for resolving these requests for
22 hearings and petitions for stays of action related to

1 neomycin products were not completed until
2 February 2019, more than 30 years after these requests
3 and petitions had been submitted to the agency.

4 The FDA's decades-long delay in placing all
5 parenteral drug products containing neomycin, with the
6 previously noted exceptions, on the Withdrawn or Removed
7 List is unacceptable and borders on regulatory
8 malpractice. The agency now must move swiftly to place
9 parenteral drug products containing neomycin on the
10 Withdrawn or Removed List given their unacceptable
11 risk-benefit profile.

12 The following comments relate to FDA's process
13 for updating the Withdrawn or Removed List.

14 While the case of neomycin is an extreme
15 example of unacceptable delays in adding dangerous drugs
16 to the Withdrawn or Removed List, there are many
17 examples over the past decade of drugs not being added
18 to this list until at least several years after the FDA
19 had determined that the drugs had been withdrawn or
20 removed from the market because they had been found to
21 be unsafe or not effective.

22 Delaying such regulatory actions poses

1 unacceptable and avoidable risks to patients and public
2 health. Therefore, in April 2021, Public Citizen
3 petitioned the FDA to take the following actions.

4 1) Promptly amend, through notice and comment
5 rulemaking, the Withdrawn or Removed List to include all
6 drugs containing potassium hydrochloride and all drugs
7 containing the antibiotic bacitracin; and

8 2) Promptly implement a policy stipulating that
9 whenever the FDA issues a notice announcing a
10 determination that a drug product was withdrawn from
11 sale for reasons of safety or effectiveness, the agency
12 simultaneously will issue an NPRM proposing to amend the
13 Withdrawn or Removed List.

14 Regarding lorcaserin, on March 4th of this
15 year, the FDA announced in the Federal Register that the
16 agency had determined that lorcaserin tablets, marketed
17 under the brand names Belviq and Belviq XR for weight
18 loss, were withdrawn from sale for reasons of safety or
19 effectiveness, and that the agency would not accept or
20 approve ANDAs for lorcaserin tablets. The FDA noted in
21 its notice that results of a required postmarket trial
22 to evaluate the risk of cardiovascular problems

1 suggested an imbalance in cancer in humans.

2 The FDA further stated the following:

3 "Although chance effects cannot be ruled out,
4 the imbalance in cancer persisted throughout multiple
5 analysis approaches. The clinical findings corroborated
6 by the evidence from animal models informed the agency's
7 assessment that the risks outweigh any potential
8 benefits for the current indications. These findings
9 were considered clinically meaningful and could not be
10 adequately addressed through labeling. Additional
11 evidence would be necessary to investigate this signal.

12 "However, the agency has determined that it is
13 unlikely that the necessary safety endpoints, i.e.,
14 cancer and reproductive safety, can be readily or
15 ethically investigated in a clinical trial because
16 preclinical and clinical studies would first need to be
17 conducted. To address these concerns, the agency has
18 determined that this product would not be considered
19 safe and effective if it were reintroduced into the
20 market."

21 Regarding parenteral drug products containing
22 bacitracin, on March 12th of this year, the FDA

1 announced in the Federal Register that the agency was
2 withdrawing approval of five ANDAs for bacitracin for
3 injection from multiple holders. The FDA noted the
4 following in its notice.

5 "Bacitracin for injection is an antibiotic for
6 intramuscular administration, the use of which is
7 limited to the treatment of infants with ammonia and
8 empyema caused by staphylococci shown to be susceptible
9 to the drug. Bacitracin for injection poses serious
10 risks, including nephrotoxicity and anaphylactic
11 reactions. Healthcare professionals generally no longer
12 use bacitracin for injection to treat infants with
13 pneumonia and empyema because other effective
14 FDA-approved treatments are available that do not have
15 these risks.

16 "In April 2019, an FDA advisory committee met
17 and discussed the safety and effectiveness of bacitracin
18 for injection. The advisory committee voted almost
19 unanimously that the benefits of bacitracin for
20 intramuscular injection do not outweigh its risks,
21 including nephrotoxicity and anaphylactic reactions for
22 the drug's only approved indication."

1 The FDA notice reasonably can be read as a
2 determination by the agency that bacitracin for
3 injection was withdrawn from sale for reasons of safety
4 or effectiveness.

5 Moving forward, the FDA should not delay
6 initiating the rulemaking process for amending the
7 Withdrawn or Removed List once the agency has published
8 a determination that a drug product was withdrawn from
9 sale for reasons of safety or effectiveness. Instead,
10 whenever the FDA announces such a determination, it
11 simultaneously should issue an NPRM, proposing to amend
12 the list to include that drug product. Such
13 simultaneous action could shorten the rulemaking process
14 for amending the list by several months to years.

15 Although section 503A(c)(1) of the FDCA
16 stipulates that the FDA must convene and consult with an
17 advisory committee before implementing changes to the
18 Withdrawn or Removed List, it allows the agency to issue
19 such regulations before consultation with an advisory
20 committee if it determines that doing so is necessary to
21 protect public health.

22 Such a determination certainly could reasonably

1 be made in all cases in which the FDA has determined
2 that the drug was withdrawn or removed from the market
3 because it was unsafe, and likely could reasonably be
4 made in most cases in which the FDA has determined that
5 a drug was withdrawn or removed from the market because
6 it was ineffective.

7 Nevertheless, if the agency feels it must seek
8 advice from its committee before issuing a final rule
9 amending the Withdrawn or Removed List, the agency could
10 schedule a meeting of the advisory committee for shortly
11 after the NPRM proposing to amend the list is published.

12 Such expeditious regulatory action would
13 minimize the period during which patients could be
14 potentially harmed by exposure to compounded
15 formulations of drug products that were determined to
16 have been withdrawn or removed from the market for
17 reasons of safety or effectiveness. Delaying such
18 regulatory action poses unacceptable and avoidable risks
19 to patients and public health. Thank you for your
20 attention.

21 DR. GULUR: Thank you, Dr. Carome.

22 We will now take clarifying questions for the

1 presenters. The open public hearing portion of this
2 meeting has now concluded and we will no longer take
3 comments from the audience.

4 Are there any clarifying questions of our
5 presenters?

6 (No response.)

7 **Committee Discussion and Vote**

8 DR. GULUR: Seeing none, we are now in the
9 panel discussion phase and invite comments and
10 discussion from our panel members.

11 I see Dr. Bassani. Do you have a question or a
12 comment?

13 DR. BASSANI: Yes, I do have a comment. This
14 is Gus Bassani, industry non-voting member.

15 Just speaking from the perspective of someone
16 in the industry, I agree with FDA's recommendation. I'm
17 not aware of neomycin being compounded into injectables
18 or other parenteral dosage forms that would raise
19 concerns.

20 FDA has clearly articulated that the addition
21 to the Withdrawn or Removed List does not include
22 ophthalmic, otic, topical, oral and bladder

1 instillations with polymyxin B, so the wording is
2 appropriate. Thank you.

3 DR. GULUR: Thank you, Dr. Bassani.

4 Do we have any other comments or questions?

5 (No response.)

6 DR. GULUR: Seeing as there are none, the
7 committee will now turn its attention to address the
8 task at hand, the careful consideration of the data
9 before the committee as well as the public comment. We
10 will proceed with the questions to the committee and
11 panel discussions. I would like to remind public
12 observers that while this meeting is open for public
13 observation, public attendees may not participate except
14 at the specific request of the panel.

15 Today's question is a voting question.

16 Dr. Takyiah Stevenson will provide the instructions for
17 the voting.

18 DR. STEVENSON: Question 5 is a voting
19 question. Voting members will use the Adobe Connect
20 platform to submit their votes for this meeting. After
21 the chairperson has read the voting question into the
22 record and all questions and discussions regarding the

1 wording of the vote question are complete, the
2 chairperson will announce the voting will begin.

3 If you are a voting member, you will be moved
4 to a breakout room. A new display will appear where you
5 can submit your vote. There will be no discussion in
6 the breakout room. You should select the radio button
7 that is the round circular button in the window that
8 corresponds to your vote, yes, no, or abstain. You
9 should not leave the "no vote" choice selected.

10 Please note that you do not need to submit or
11 send your vote. Again, you need only to select the
12 radio button that corresponds to your vote. You will
13 have the opportunity to change your vote until the vote
14 is announced as closed. Once all voting members have
15 selected their vote, I will announce the vote is closed.

16 Next, the vote results will be displayed on the
17 screen. I will read the vote result from the screen
18 into the record. Next, the chairperson will go down the
19 roster and each voting member will state their name and
20 their vote into the record. You can also state the
21 reason why you voted as you did, if you want to.

22 Are there any questions about the voting

1 process before we begin?

2 (No response.)

3 DR. GULUR: Seeing as there are none, I will
4 read the question into the record.

5 FDA is proposing that neomycin sulfate, all
6 parenteral drug products containing the neomycin
7 sulfate, except when used for ophthalmic or otic use, or
8 in combination with polymyxin B sulfate for irrigation
9 of the intact ladder, be added to the Withdrawn or
10 Removed List under Section 503A and 503B of the FD&C
11 Act. Do you agree?

12 Do panel members have any concerns with the
13 wording of this question?

14 (No response.)

15 DR. GULUR: Seeing none, if there are no
16 questions or comments concerning the wording of the
17 question, we will now begin the voting on the question
18 for neomycin sulfate.

19 DR. STEVENSON: We will now move voting members
20 to the voting breakout room to vote only. There will be
21 no discussion in the voting breakout room.

22 (Voting.)

1 DR. STEVENSON: The voting has closed and is
2 now complete. Once the vote results display, I will
3 read the vote result into the record.

4 (Pause.)

5 DR. STEVENSON: The voting has closed and is
6 now complete. The vote results are displayed. I will
7 read the vote totals into the record. The chairperson
8 will go down the list and each voting member will state
9 their name and their vote into the record. You can also
10 state the reason why you voted as you did, if you want
11 to.

12 There are 12 yeses, zero noes, and zero
13 abstentions.

14 DR. GULUR: Thank you.

15 We will now go down the list and have everyone
16 who voted state their name and vote into the record.
17 You may also provide justification for your vote, if you
18 wish to.

19 We'll start with Dr. McElhiney.

20 DR. McELHINEY: Linda McElhiney. I vote yes.

21 DR. GULUR: Ms. Fusco-Walker?

22 MS. FUSCO-WALKER: Sandra Fusco-Walker. I vote

1 yes.

2 DR. GULUR: Dr. Fensky?

3 DR. FENSKY: Tim Fensky. I vote yes.

4 DR. GULUR: Padma Gulur. I vote yes.

5 Dr. Gura?

6 DR. GURA: Kathleen Gura. I vote yes.

7 DR. GULUR: Dr. Bogner?

8 DR. BOGNER: Robin Bogner. I vote yes.

9 DR. GULUR: Dr. Rebello?

10 DR. REBELLO: Elizabeth Rebello. I vote yes.

11 DR. GULUR: Dr. Sun?

12 DR. SUN: Jeanne Sun. I vote yes.

13 DR. GULUR: Dr. Patel?

14 DR. PATEL: Kuldip Patel. I vote yes.

15 DR. GULUR: Dr. Vaida?

16 DR. VAIDA: Allen Vaida. I vote yes.

17 DR. GULUR: Dr. Desai?

18 DR. DESAI: Seemal Desai. I vote yes, and

19 would like to point out I voted via the backup email
20 mechanism.

21 DR. GULUR: Thank you, Dr. Desai.

22 Dr. Gupta?

1 DR. GUPTA: Dr. Gupta, and I voted yes.

2 DR. GULUR: Thank you.

3 Dr. Stevenson, have we recorded all votes?

4 DR. STEVENSON: Yes.

5 DR. GULUR: Thank you.

6 Before we adjourn, are there any last comments
7 from the FDA?

8 (No response.)

9 **Adjournment**

10 DR. GULUR: Seeing none, I would like to take
11 this opportunity to thank the FDA for holding an
12 excellent session and to all the presenters for their
13 diligent work and presentations. It was extremely
14 helpful to the committee as we made our decisions.

15 I would also like to take this opportunity to
16 thank all the nominator presentations, as well as the
17 public for their comments and opinions, which are of
18 immense value in the decision-making process. And I'll
19 end by thanking my fellow panel members.

20 Thank you for your work today and for your
21 patience as we worked through a few technical issues and
22 the delayed start. Again, thank you for everything with

1 regards to your efforts here, and I'll take a special
2 moment to thank Takyiah Stevenson for her efforts in
3 helping make this as smooth as possible for us, as well
4 as her team.

5 We will now adjourn the meeting. Thank you.

6 (Whereupon, at 6:09 p.m., the afternoon session
7 was adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22