

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIMICROBIAL DRUGS ADVISORY COMMITTEE MEETING
(AMDAC)

Virtual Meeting

Thursday, October 7, 2021

9:00 a.m. to 4:00 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Moon Hee V. Choi, PharmD

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ANTIMICROBIAL DRUGS ADVISORY COMMITTEE MEMBERS

(Voting)

Lindsey R. Baden, MD

(Chairperson)

Director of Clinical Research

Division of Infectious Diseases

Brigham and Women's Hospital

Director, Infectious Disease Service

Dana-Farber Cancer Institute

Associate Professor, Harvard Medical School

Boston, Massachusetts

1 **CAPT Timothy H. Burgess, MD, MPH, FACP**

2 Director, Infectious Disease Clinical Research

3 Program

4 Preventative Medicine & Biostatistics

5 Uniformed Services University of the

6 Health Sciences

7 Bethesda, Maryland

8

9 **Michael D. Green, MD, MPH**

10 Professor of Pediatrics, Surgery and Clinical &

11 Translational Science

12 University of Pittsburgh School of Medicine

13 Division of Infectious Diseases

14 Director, Antimicrobial Stewardship & Infection

15 Prevention

16 Co-Director, Transplant Infectious Diseases

17 Children's Hospital of Pittsburgh

18 Pittsburgh, Pennsylvania

19

20

21

22

1 **W. David Hardy, MD**

2 Scientific and Medical Consultant

3 Co-Investigator - CoVPN, CDU/UCLA CTRC

4 Charles Drew University School of Medicine and

5 Science

6 Los Angeles, California

7

8 **Sally A. Hunsberger, PhD**

9 Mathematical Statistician

10 Biometrics Research Branch

11 National Institute of Allergy and

12 Infectious Diseases

13 National Institutes of Health

14 Rockville, Maryland

15

16 **Jennifer Le, PharmD, MAS, FIDSA, FCCP,**

17 **FCSHP, BCPS-ID**

18 Professor of Clinical Pharmacy

19 University of California, San Diego

20 Skaggs School of Pharmacy and

21 Pharmaceutical Sciences

22 La Jolla, California

1 **Richard A. Murphy, MD, MPH**

2 Staff Physician, Infectious Diseases

3 VA White River Junction Medical Center

4 Medicine Service

5 White River Junction, Vermont

6

7 **Federico Perez, MD, MS**

8 Infectious Disease Physician

9 Louis Stokes Cleveland VA Medical Center

10 Associate Professor of Medicine

11 Case Western Reserve University

12 Cleveland, Ohio

13

14 **George K. Siberry, MD, MPH**

15 Medical Officer, Adult Clinical Branch

16 Office of HIV/AIDS

17 Bureau of Global Health

18 United States Agency for

19 International Development

20 Washington, District of Columbia

21

22

1 **Roblena E. Walker, PhD**

2 *(Consumer Representative)*

3 Chief Executive Officer

4 EMAGAHA, INC.

5 Mableton, Georgia

6

7 **Peter J. Weina, PhD, MD, FACP, FIDSA**

8 Colonel, Medical Corps, US Army

9 Director, Office of Research Protections

10 Defense Health Agency

11 Defense Health Headquarters

12 Falls Church, Virginia

13

14 **ANTIMICROBIAL DRUGS ADVISORY COMMITTEE MEMBER**

15 **(Non-Voting)**

16 **Richa S. Chandra, MD, MBA**

17 *(Industry Representative)*

18 Clinical Development Head

19 Communicable Diseases

20 Global Health Development Unit

21 Novartis Pharmaceuticals

22 East Hanover, New Jersey

1 **TEMPORARY MEMBERS (Voting)**

2 **Catherine Bollard, MD, FRACP, FRCPA**

3 Director

4 Center for Cancer and Immunology Research

5 Professor of Pediatrics and Microbiology,

6 Immunology and Tropical Medicine

7 Children's National Hospital and

8 The George Washington University

9 Washington, District of Columbia

10

11 **Nancy D. Bridges, MD**

12 Chief, Transplantation Branch

13 Senior Scientific Officer

14 Division of Allergy, Immunology, and

15 Transplantation

16 National Institute of Allergy and

17 Infectious Diseases

18 (NIAID), National Institutes of Health (NIH)

19 Rockville, Maryland

20

21

22

1 **Arthur Flatau, PhD**

2 *(Patient Representative)*

3 Bone Marrow Transplant Survivor

4 Austin, Texas

5

6 **Juan Gea-Banacloche, MD**

7 Staff Clinician

8 Division of Clinical Research

9 NIAID, NIH

10 Bethesda, Maryland

11

12 **Ghady Haidar, MD**

13 Assistant Professor of Medicine

14 Division of Infectious Diseases

15 Transplant ID Program

16 Director of Research, Bone Marrow

17 Transplant/Hematologic Malignancy ID

18 University of Pittsburgh and UPMC

19 Pittsburgh, Pennsylvania

20

21

22

1 **Lauren Lee, MD**

2 Assistant Professor

3 Department of Medicine, Hematology/Oncology

4 Bone Marrow Transplant Medical Director

5 Brooke Army Medical Center

6 Uniformed Services University of the

7 Health Sciences

8 San Antonio, Texas

9

10 **FDA PARTICIPANTS (Non-Voting)**

11 John Farley, MD, MPH

12 Director

13 Office of Infectious Diseases (OID)

14 Office of New Drugs (OND), CDER, FDA

15

16 **Debra Birnkrant, MD**

17 Director

18 Division of Antivirals (DAV)

19 OID, OND, CDER, FDA

20

21

22

1 **Yodit Belew, MD**

2 Associate Director for Therapeutic Review

3 Deputy Directory (Acting)

4 DAV, OID, OND, CDER, FDA

5

6 **Mary Singer, MD, PhD**

7 Medical Team Leader

8 DAV, OID, OND, CDER, FDA

9

10 **Andreas Pikis, MD**

11 Medical Officer

12 DAV, OID, OND, CDER, FDA

13

14 **Takashi Komatsu, PhD, RAC**

15 Clinical Virology Reviewer

16 DAV, OID, OND, CDER, FDA

17

18

19

20

21

22

| 1 | C O N T E N T S | |
|----|---|------|
| 2 | AGENDA ITEM | PAGE |
| 3 | Call to Order | |
| 4 | Lindsey Baden, MD | 13 |
| 5 | Introduction of Committee | |
| 6 | Moon Hee Choi, PharmD | 13 |
| 7 | Conflict of Interest Statement | |
| 8 | Moon Hee Choi, PharmD | 21 |
| 9 | FDA Opening Remarks | |
| 10 | Debra Birnkrant, MD | 25 |
| 11 | Applicant Presentations - Takeda Pharmaceuticals | |
| 12 | Introduction | |
| 13 | Michael Cronin, PharmD | 33 |
| 14 | Overview of Post-Transplant | |
| 15 | Resistant/Refractory CMV Infection and | |
| 16 | Unmet Needs | |
| 17 | Camille Kotton, MD | 39 |
| 18 | Maribavir Clinical Efficacy | |
| 19 | Martha Fournier, MD | 45 |
| 20 | Maribavir Clinical Safety | |
| 21 | Adedeji Adefuye, MD, MPH, FRIPH, FRSPH | 62 |
| 22 | | |

| 1 | C O N T E N T S (continued) | |
|----|---|------|
| 2 | AGENDA ITEM | PAGE |
| 3 | Clinical Perspective | |
| 4 | Robin Avery, MD | 71 |
| 5 | Clarifying Questions | 76 |
| 6 | FDA Presentations | |
| 7 | Background and Efficacy | |
| 8 | Andreas Pikis, MD | 98 |
| 9 | Virology | |
| 10 | Takashi Komatsu, PhD, RAC | 115 |
| 11 | Safety Conclusions | |
| 12 | Andreas Pikis, MD | 122 |
| 13 | Clarifying Questions | 130 |
| 14 | Open Public Hearing | 156 |
| 15 | Clarifying Questions (continued) | 193 |
| 16 | Charge to the Committee | |
| 17 | Debra Birnkrant, MD | 228 |
| 18 | Questions to the Committee and Discussion | 229 |
| 19 | Adjournment | 294 |
| 20 | | |
| 21 | | |
| 22 | | |

P R O C E E D I N G S

(9:00 a.m.)

Call to Order

DR. BADEN: Good morning, and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Lindsey Baden, and I will be chairing this meeting. I will now call the October 7, 2021 Antimicrobial Drugs Advisory Committee meeting to order. Dr. Moon Hee Choi is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. CHOI: Good morning. My name is Moon Hee Choi, and I am the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Baden?

1 DR. BADEN: I'm Dr. Lindsey Baden. I'm an
2 infectious diseases physician and investigator at
3 Brigham and Women's Hospital, Dana-Farber Cancer
4 Institute, Harvard Medical School, all in Boston,
5 Massachusetts.

6 DR. CHOI: Dr. Burgess?

7 CAPT BURGESS: I'm Tim Burgess. I'm an
8 infectious diseases physician, and I direct DoD's
9 infectious disease clinical research program at the
10 Uniformed Services University of Health Sciences
11 School of Medicine in Bethesda, Maryland.

12 DR. CHOI: Dr. Chandra?

13 DR. CHANDRA: Hello. I'm Richa Chandra. I
14 am clinical development head for communicable
15 diseases at Novartis Pharmaceuticals, and I'm a
16 non-voting member representing the pharma industry
17 on this advisory committee.

18 DR. CHOI: Dr. Green?

19 DR. GREEN: Hi. This is Michael Green. I'm
20 at UPMC Children's Hospital Pittsburgh in the
21 University of Pittsburgh School of Medicine. I'm a
22 pediatric infectious disease physician with an

1 interest in transplant infectious diseases. Thank
2 you.

3 DR. CHOI: Dr. Hardy?

4 DR. HARDY: This is David Hardy. I'm an
5 adult infectious disease training, scientific and
6 medical consultant. I have an academic appointment
7 as an adjunct clinical professor at the Keck School
8 of Medicine at USC in Los Angeles, California.

9 DR. CHOI: As just a reminder, if you are
10 not speaking, please remember to mute your phone.

11 Dr. Hunsberger?

12 DR. HUNSBERGER: I'm Sally Hunsberger. I'm
13 a biostatistician, and I work at the National
14 Allergy and Infectious Diseases Institute.

15 DR. CHOI: Dr. Le?

16 DR. LE: Hi. I'm Dr. Jennifer Le. I am
17 professor of pharmacy at the University of
18 California, San Diego. My specialty is pediatric
19 infectious diseases and clinical pharmacology.

20 DR. CHOI: Dr. Murphy?

21 DR. MURPHY: Good morning. I'm Dr. Richard
22 Murphy. I'm an infectious diseases physician and

1 researcher at the White River Junction VA Medical
2 Center in Vermont.

3 DR. CHOI: Dr. Perez?

4 DR. PEREZ: Good morning. I'm Federico
5 Perez. I'm an infectious diseases physician at the
6 Cleveland Veterans Affairs Medical Center in
7 Cleveland, Ohio.

8 DR. CHOI: Dr. Siberry?

9 DR. SIBERRY: Good morning. I'm George
10 Siberry, a pediatric infectious disease physician
11 and medical officer in the Office of HIV/AIDS at
12 the United States Agency for International
13 Development, or USAID, in Washington DC. Thanks.

14 DR. CHOI: Dr. Walker?

15 DR. WALKER: Good morning. I'm Dr. Roblena
16 Walker, chief executive officer of EMAGAHA, Inc.,
17 as well as research scientist, located in Mableton,
18 Georgia; consumer representative.

19 DR. CHOI: Dr. Weina?

20 DR. WEINA: Hi. I'm Peter Weina. I am an
21 adult infectious diseases physician. I'm director
22 of the Office of Research Protections with the

1 Defense Health Agency in Washington, DC.

2 DR. CHOI: Dr. Bollard?

3 DR. BOLLARD: Hello. It's Catherine Bollard
4 here. I'm the director of the Center for Cancer
5 and Immunology Research at Children's National and
6 the George Washington University here in
7 Washington, DC.

8 DR. CHOI: Dr. Bridges?

9 DR. BRIDGES: Good morning. This is Nancy
10 Bridges. I am a pediatric cardiologist and a
11 transplant physician. I am the chief of the
12 transplantation branch and a senior scientific
13 officer at the National Institute of Allergy and
14 Infectious Disease in the Division of Allergy,
15 Immunology, and Transplantation.

16 DR. CHOI: Dr. Flatau?

17 DR. FLATAU: Hi. This is Art Flatau from
18 Austin, Texas. I'm the patient representative and
19 a bone marrow transplant survivor.

20 DR. CHOI: Dr. Gea-Banacloche?

21 DR. GEA-BANACLOCHE: Hello. Juan
22 Gea-Banacloche. I am a transplant infectious

1 diseases physician at the NIH Clinical Center in
2 Bethesda, Maryland.

3 DR. CHOI: Dr. Haidar?

4 DR. HAIDAR: Hi, everyone. This is Ghady
5 Haidar. I'm a transplant infectious disease doctor
6 and researcher at the University of Pittsburgh.

7 DR. CHOI: Dr. Lee?

8 DR. LEE: Good morning. My name is Lauren
9 Lee. I'm an adult oncologist and bone marrow
10 transplant physician and the medical director for
11 the bone marrow transplant program at Brooke Army
12 Medical Center in San Antonio, and have an interest
13 in transplant-related infections.

14 DR. CHOI: Dr. Farley?

15 DR. FARLEY: Good morning. John Farley,
16 director of the Office of Infectious Diseases at
17 the Center for Drug Evaluation and Research at FDA.

18 DR. CHOI: Dr. Birnkrant?

19 DR. BIRNKRANT: Good morning. I'm Debbie
20 Birnkrant. I'm the director of the Division of
21 Antivirals, CDER, FDA.

22 DR. CHOI: Dr. Belew?

1 DR. BELEW: Good morning; Yodit Belew. I am
2 the associate director for therapeutic review in
3 the Division of Antivirals, Office of Infectious
4 Disease, CDER, FDA.

5 DR. CHOI: Dr. Singer?

6 DR. SINGER: Good morning. This is Mary
7 Singer, medical team leader, Division of
8 Antivirals.

9 DR. CHOI: Dr. Pikis?

10 (No response.)

11 DR. CHOI: Dr. Pikis, perhaps you might be
12 muted.

13 (No response.)

14 DR. CHOI: Dr. Pikis?

15 DR. PIKIS: Hi. I'm Andreas Pikis. I'm a
16 medical officer with the Division of Antivirals at
17 FDA.

18 DR. CHOI: Dr. Komatsu?

19 DR. KOMATSU: Good morning. My name is
20 Takashi Komatsu, and I am the clinical virology
21 reviewer at the Division of Antivirals.

22 DR. CHOI: Thank you.

1 Dr. Baden, if you can check the message on
2 the chat, please.

3 DR. BADEN: Yes.

4 For topics such as those being discussed at
5 this meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal is that this meeting will be a fair and
8 open forum for discussion of these issues, and that
9 individuals can express their views without
10 interruption.

11 Thus, as a gentle reminder, individuals will
12 be allowed to speak into the record only if
13 recognized by the chairperson. We look forward to
14 a productive meeting.

15 In the spirit of the Federal Advisory
16 Committee Act and the Government in the Sunshine
17 Act, we ask that the advisory committee members
18 take care that their conversations about the topic
19 at hand take place in the open forum of the
20 meeting. We are aware that members of the media
21 are anxious to speak with the FDA about these
22 proceedings, however, FDA will refrain from

1 discussing the details of this meeting with the
2 media until its conclusion. Also, the committee is
3 reminded to please refrain from discussing the
4 meeting topic during breaks or lunch. Thank you.

5 Dr. Moon Hee Choi will read the Conflict of
6 Interest Statement for the meeting.

7 **Conflict of Interest Statement**

8 DR. CHOI: The Food and Drug Administration
9 is convening today's meeting of the Antimicrobial
10 Drugs Advisory Committee under the authority of the
11 Federal Advisory Committee Act of 1972. With the
12 exception of the industry representative, all
13 members and temporary voting members of the
14 committee are special government employees or
15 regular federal employees from other agencies and
16 are subject to federal conflict of interest laws
17 and regulations.

18 The following information on the status of
19 this committee's compliance with federal ethics and
20 conflict of interest laws, covered by but not
21 limited to those found at 18 U.S.C., Section 208,
22 is being provided to participants in today's

1 meeting and to the public. FDA has determined that
2 members and temporary voting members of this
3 committee are in compliance with federal ethics and
4 conflict of interest laws.

5 Under 18 U.S.C., Section 208, Congress has
6 authorized FDA to grant waivers to special
7 government employees and regular federal employees
8 who have potential financial conflicts when it is
9 determined that the agency's need for a special
10 government employee's services outweighs his or her
11 potential financial conflict of interest or when
12 the interest of a regular federal employee is not
13 so substantial as to be deemed likely to affect the
14 integrity of the services which the government may
15 expect from the employee.

16 Related to the discussions of today's
17 meeting, members and temporary voting members of
18 this committee have been screened for potential
19 financial conflicts of interest of their own, as
20 well as those imputed to them, including those of
21 their spouses or minor children and, for purposes
22 of 18 U.S.C., Section 208, their employers. These

1 interests may include investments; consulting;
2 expert witness testimony; contracts, grants,
3 CRADAs; teaching, speaking, writing; patents and
4 royalties; and primary employment.

5 Today's agenda involves discussion of new
6 drug application, NDA 215596, for maribavir oral
7 tablets, submitted by Takeda Pharmaceuticals USA,
8 Incorporated, for the treatment of adults with
9 post-transplant cytomegalovirus infection and/or
10 disease, including infections resistant and/or
11 refractory to ganciclovir, valganciclovir,
12 cidofovir, or foscarnet. This is a particular
13 matters meeting during which specific matters
14 related to Takeda's NDA be discussed.

15 Based on the agenda of today's meeting and
16 all financial interests reported by the committee
17 members and temporary voting members, no financial
18 conflict of interest waivers have been issued in
19 connection with this meeting.

20 To ensure transparency, we encourage all
21 standing committee members and temporary voting
22 members to disclose any public statements that they

1 have made concerning the product at issue. With
2 respect to FDA's invited industry representative,
3 we would like to disclose that Dr. Richa Chandra is
4 participating in this meeting as a non-voting
5 industry representative, acting on behalf of
6 regulated industry. Dr. Chandra's role at this
7 meeting is to represent industry in general and not
8 any particular company. Dr. Chandra is employed by
9 Novartis Pharmaceuticals.

10 We would like to remind members and
11 temporary voting members that if the discussions
12 involve any other products or firms not already on
13 the agenda for which an FDA participant has a
14 personal or imputed financial interest, the
15 participants need to exclude themselves from such
16 involvement and their exclusion will be noted for
17 the record. FDA encourages all other participants
18 to advise the committee of any financial
19 relationships that they may have with the firm at
20 issue. Thank you.

21 DR. BADEN: We will proceed with the FDA
22 introductory remarks from Dr. Deborah Birnkrant.

1 Dr. Birnkrant, please?

2 DR. BIRNKRANT: Thank you; waiting for the
3 first slide. Are they up?

4 DR. BADEN: We see a slide NDA 215596 across
5 the title.

6 DR. BIRNKRANT: Okay. It just showed up on
7 my computer, so we're ready to go.

8 DR. BADEN: Thank you, Dr. Birnkrant.
9 Please go ahead.

10 **FDA Opening Remarks - Debra Birnkrant**

11 DR. BIRNKRANT: Absolutely.

12 Well, good morning again. I would like to
13 welcome everyone to the Antimicrobial Drugs
14 Advisory Committee meeting. I would like to thank
15 today's committee for making the time to review and
16 discuss Takeda's NDA for maribavir tablets for
17 treatment of resistant or refractory CMV infection
18 and disease in transplant patients. I would also
19 like to thank our review team for their efforts in
20 preparing for today's meeting, as well as the
21 applicant.

22 As background, briefly, CMV is a member of

1 the beta herpesvirus group. After primary
2 infection, life-long latency is established. CMV
3 is one of the most frequent opportunistic pathogens
4 in transplant recipients, so it is a rare disease
5 based on the number of transplants in the United
6 States.

7 The incidence of CMV infection and disease
8 depends on a number of factors, including
9 transplant type, donor and recipient serostatus,
10 and level of immunosuppression. Clinical
11 manifestations of CMV infections in transplant
12 patients range from asymptomatic to tissue-invasive
13 disease, such as pneumonitis, colitis, hepatitis,
14 and allograft infection. Clinical manifestations
15 may also include other indirect effects, such as
16 rejection and a higher mortality rate post-
17 transplant. To prevent CMV disease, most patients
18 receive either prophylaxis or pre-emptive therapy.

19 There are limited options for treating or
20 preventing CMV disease, as you are aware. Note the
21 following five drugs have limited indications for
22 either treatment of CMV retinitis or prevention of

1 CMV, and they include letermovir, indicated for CMV
2 prophylaxis in stem cell transplants; ganciclovir
3 and valganciclovir, indicated in prevention of CMV
4 and transplant recipients and solid organ
5 transplant recipients, respectively, and for the
6 treatment of CMV retinitis; and foscarnet and
7 cidofovir, indicated for treatment of CMV
8 retinitis. There are no approved therapeutics for
9 treatment of CMV infection or disease in transplant
10 patients.

11 Adding to the limited therapeutic options
12 are significant toxicities seen with the antiviral
13 products that are used. For example, ganciclovir
14 and valganciclovir cause myelosuppression;
15 foscarnet causes renal toxicity, severe electrolyte
16 abnormalities, and many other adverse reactions;
17 and cidofovir is also known to induce severe renal
18 toxicity.

19 More specifically, some patients, like the
20 ones enrolled in Trial 303 that you will hear about
21 today, will develop CMV infection that is
22 refractory to available therapies with or without

1 documented genotypic resistance. Resistance most
2 commonly occurs after prolonged antiviral treatment
3 in the setting of immunosuppressive therapy
4 post-transplant. These infections are associated
5 with worse clinical outcomes.

6 So for these patients, in particular, there
7 is clearly an unmet medical need for safe and
8 effective anti-CMV drugs because no drugs are
9 approved for treatment of resistant or refractory
10 CMV disease in the post-transplant setting.

11 Underscoring the medical need is the fact that the
12 drugs that were used in the investigator-assigned
13 therapy arm in Trial 303 and in practice are used
14 off-label.

15 What will and what won't we be discussing
16 today? As we are only considering a limited
17 population of refractory patients with and without
18 genotypic resistance, a lot of time will not be
19 spent on review of prophylaxis trials, nor will
20 trials supporting a broader population, namely the
21 population in 302, be discussed. Rather, we will
22 focus on Trials 202 and 303 in support of the

1 indication under discussion today.

2 The applicant's proposed indication that
3 appeared in the Federal Register notice for this
4 meeting appears on this slide, however, a narrower
5 indication will be discussed today -- that is
6 refractory with or without genotypic
7 resistance -- because trial results from Trial 302
8 are not available.

9 I will note that to support the revised
10 indication, we will present primary and secondary
11 analyses, as well as support of sensitivity,
12 analyses and subgroup analyses. Additional
13 analyses were conducted to address potential biases
14 in the open-label design of the phase 3 clinical
15 trial in refractory patients with and without
16 genotypic resistance, where maribavir was compared
17 to investigator-assigned therapy based on
18 resistance testing.

19 The trial also cannot be blinded due to the
20 bitter taste associated with maribavir that would
21 lead to unmasking and the intravenous delivery of
22 some of the products, and the need to dose reduce

1 in the setting of toxicity in the IAT arm thwarted
2 the open-label design.

3 Prior to the voting questions, we will ask
4 the committee to address discussion question
5 number 1 and consider the following: that the
6 indication is for a limited population with an
7 unmet medical need; consider the trial design
8 issues related to an open-label design; the primary
9 efficacy results along with results from the
10 sensitivity and subgroup analyses; as well as the
11 safety of maribavir.

12 Voting question 2 is focused on patients who
13 are refractory with genotypic resistance. Voting
14 question 3 focuses on the population who is
15 refractory to treatment without documented
16 resistance.

17 Note there's a trend of benefit of maribavir
18 over IAT that was seen in this population, and
19 although the findings were not statistically
20 significant, it is important to highlight that the
21 number of patients in this subgroup was relatively
22 small and the clinical trial was not powered for

1 this assessment.

2 I would also like to call your attention to
3 the FDA's guidance document on CMV and
4 transplantation, Developing Drugs to Treat or
5 Prevent Disease. In the section under Trials for
6 Treating CMV Infections Resistant or Refractory to
7 Treatment with Available Drugs, it states that to
8 include both groups of patients, that is resistant
9 and refractory to treatment, the sponsor should
10 demonstrate statistical significance in the overall
11 population. Efficacy in the subgroups of resistant
12 and refractory to CMV antiviral drugs should be
13 consistent with the overall treatment effect.

14 Now we can turn to the agenda. Briefly
15 following my remarks, I'll turn it back to the
16 designated federal official, and then the applicant
17 will present their findings, which will be followed
18 by clarifying questions. This will be followed by
19 the FDA presentation by Dr. Andreas Pikis and
20 Dr. Takashi Komatsu, with time for clarifying
21 questions. There will be an open public hearing at
22 1 p.m., which will be followed by the charge to the

1 committee, discussion, and voting questions.

2 Thank you very much. I'd like to turn it
3 back to Moon Hee Choi.

4 DR. BADEN: Both the Food and Drug
5 Administration and the public believe in a
6 transparent process for information gathering and
7 decision making. To ensure such transparency at
8 the advisory committee meeting, FDA believes that
9 it is important to understand the context of an
10 individual's presentation.

11 For this reason, FDA encourages all
12 participants, including the applicant's
13 non-employee presenters, to advise the committee of
14 any financial relationships that they may have with
15 the sponsor, such as consulting fees, travel
16 expenses, honoraria, and interests in the sponsor,
17 including equity interests and those based upon the
18 outcome of the meeting.

19 Likewise, FDA encourages you, at the
20 beginning of your presentation, to advise the
21 committee if you do not have any such financial
22 relationships. If you choose not to address this

1 issue of financial relationships at the beginning
2 of your presentation, it will not preclude you from
3 speaking.

4 We will now proceed with Takeda's
5 presentation. I will turn it over to Dr. Cronin
6 from Takeda, who will guide the presentation.

7 Dr. Cronin?

8 **Applicant Presentation - Michael Cronin**

9 DR. CRONIN: Thank you and good morning. To
10 the chair, members of the panel, the FDA, and
11 members of the public who are watching today, I'm
12 Michael Cronin, director of Global Regulatory
13 Affairs at Takeda. We're pleased to be here with
14 you today to discuss maribavir.

15 Maribavir is a novel antiviral for the
16 treatment of resistant/refractory, post-transplant,
17 cytomegalovirus infection that represents a
18 therapeutic advance over available therapy.
19 Maribavir is orally bioavailable with a novel
20 mechanism of action that is differentiated from the
21 shared mechanism of action of existing CMV
22 antivirals. This enables maribavir to treat CMV

1 infections that are refractory with or without
2 genotypic resistance to prior therapy.

3 Thus, maribavir fulfills a high unmet
4 medical need due to its demonstrated efficacy in
5 post-transplant CMV infection and its favorable
6 safety and tolerability profile, which provides a
7 safety advantage over existing CMV antivirals.

8 Known as a rare disease overall,
9 post-transplant CMV is a common and serious threat
10 for patients who received a second chance at life
11 with a transplant. Approximately one-third of
12 these transplant recipients will develop CMV
13 infection, and if left untreated, CMV infection can
14 progress -- [inaudible - audio lost].

15 (Pause.)

16 DR. CRONIN: Are we back? And if so, I'll
17 resume I believe with slide CO-4.

18 DR. BADEN: Yes. Dr. Cronin, I can hear you
19 now. We lost you at the second bullet on this
20 slide.

21 DR. CRONIN: Excellent. Thank you so much.

22 So as I mentioned, if left untreated, CMV

1 infection can progress to severe and even
2 life-threatening, tissue-invasive disease.
3 Importantly, CMV, if left untreated, can lead to
4 serious consequences, and these complications are
5 not only associated with symptomatic CMV disease,
6 but asymptomatic CMV infections as well.

7 As the FDA mentioned, to date there are no
8 antivirals which have received FDA approval for
9 treatment of post-transplant CMV. Existing
10 antivirals -- ganciclovir, valganciclovir,
11 foscarnet, and cidofovir -- are used empirically to
12 treat post-transplant CMV infections and, thus,
13 they lack adequate safety and efficacy data from
14 controlled clinical trials in this population
15 typical of FDA-approved therapies.

16 Each of these agents have severe toxicities
17 that limit their use and can potentially lead to
18 failure to control CMV infection. Because they
19 share the same mechanism of action, they're
20 susceptible to cross-resistance, and 3 of the
21 4 agents require IV administration, which can
22 necessitate hospitalization and monitoring.

1 For these reasons, there is an urgent unmet
2 need for an efficacious and safer therapeutic
3 option with a different mechanism of action from
4 these existing antivirals. Maribavir meets that
5 need.

6 This slide shows a schematic of the viral
7 life cycle, showing points at which CMV antivirals
8 work. The gray box represents currently available
9 therapies, while the three red arrows indicate
10 where maribavir exerts its effects on the viral
11 replication cycle.

12 All existing agents commonly used to treat
13 CMV infection are DNA polymerase inhibitors, which
14 target the virus at UL54, a specific location on
15 the viral genome controlling viral DNA replication.
16 In contrast, maribavir is the only antiviral that
17 targets CMV at UL97, which not only results in
18 inhibition of viral DNA replication, but also
19 encapsidation and nuclear egress.

20 Due to this unique and multimodal mechanism
21 of action, strains of human CMV resistant to
22 ganciclovir, foscarnet, cidofovir, or combinations

1 of these drugs, remain sensitive to maribavir. Let
2 me walk you through the history of maribavir's
3 development.

4 Maribavir has been well characterized with
5 more than 1500 subjects exposed to maribavir to
6 date. Maribavir, at a dose of 100 milligrams BID,
7 was initially developed for CMV prophylaxis. This
8 100-milligram BID dose did not meet the primary
9 endpoint in phase 3 studies, and the prophylaxis
10 program was stopped. Accumulated data from limited
11 compassionate use programs suggested that maribavir
12 at higher doses may have potential as a treatment
13 of post-transplant CMV.

14 In 2011, maribavir was granted orphan drug
15 designation by the FDA, and in 2014, two positive
16 phase 2 studies in resistant/refractory and
17 first-episode CMV infection with maribavir at doses
18 400 milligrams to 1200 milligrams BID were
19 completed.

20 In December 2017, the FDA granted maribavir
21 breakthrough therapy designation for the treatment
22 of CMV infection and disease in transplant patients

1 resistant or refractory to prior therapy.

2 As there were no previously conducted
3 phase 3 studies for this indication, we worked
4 closely with the FDA on the pivotal studies design.
5 Two phase 3 trials were initiated in December 2016,
6 Study 302 in treatment-naive patients and Study 303
7 in resistant/refractory CMV, both studies with
8 maribavir 400 milligrams BID. In 2020, we received
9 positive data from Study 303. At this time,
10 Study 302 is ongoing.

11 Based on the overall available data, the
12 proposed indication for maribavir is for the
13 treatment of adults with post-transplant
14 cytomegalovirus infection and disease, resistant or
15 refractory to ganciclovir, valganciclovir,
16 foscarnet, or cidofovir. The recommended dosing is
17 400 milligrams BID orally.

18 This slide shows you our agenda for today.
19 Thank you.

20 I'll now turn the lectern over to
21 Dr. Camille Kotton, who will discuss the unmet need
22 in post-transplant resistant/refractory CMV.

1 **Applicant Presentation - Camille Kotton**

2 DR. KOTTON: Good morning, everyone. I'm
3 Camille Nelson Kotton, and I'm the clinical
4 director of Transplant and Immunocompromised Host
5 Infectious Diseases at Massachusetts General
6 Hospital and associate professor at Harvard Medical
7 School. I take care of many patients with CMV, and
8 I have led development of all three versions of the
9 international CMV guidelines for organ transplant
10 recipients.

11 As I'm sure many of you know, stem cell and
12 organ transplants are successful, life-saving
13 treatments. As of 2018, we did almost
14 10,000 allogeneic bone marrow transplants in the
15 United States. As of 2020, we did over 39,000
16 organ transplants from both deceased and living
17 donors. Both of these fields are rapidly growing,
18 and we are doing ever more complicated transplants,
19 really advancing the field.

20 Post-transplant CMV is the most common
21 infection after organ and bone marrow transplant,
22 and it significantly increases the risk of both

1 transplant loss and also mortality, as shown across
2 multiple different clinical trials. However, when
3 preventing and managing transplant patients at high
4 risk for CMV infection, it's really a series of
5 trade-offs. We both need to give the
6 immunosuppression to manage graft function, prevent
7 rejection, as well as graft versus host disease,
8 but there's always a balance with the increased
9 risk of CMV infection and disease.

10 As shown on this slide, CMV infection
11 represents a broad spectrum of diseases from
12 asymptomatic viremia to tissue-invasive disease.
13 I'm really pleased that over the past 20 years,
14 we've gotten much better at detecting CMV, usually
15 when it's, as you see on the left, asymptomatic
16 viremia.

17 Fortunately, the use of CMV prophylaxis or
18 preemptive therapy has significantly reduced
19 tissue-invasive disease after organ transplant,
20 from about 30 percent down to about 5 percent in
21 recent times. The overall goal of prevention and
22 management is really to prevent people from

1 progressing to tissue-invasive disease because
2 that's really where we see the most problems.

3 The standard approach to treatment of active
4 disease includes the use of oral valganciclovir for
5 mild to moderate disease, or with more significant
6 disease, intravenous ganciclovir along with
7 consideration for reduction of immunosuppression.
8 The general goal is to treat until CMV has resolved
9 clinically and virologically as per the guidelines.

10 In general, that tends to go quite smoothly.
11 However, somewhere less than 10 percent of the time
12 we do see development of resistant/refractory CMV.
13 Risk factors for that include the changing renal
14 function that requires frequent antiviral dose
15 adjustment with a risk for suboptimal dosing or
16 treatment lapses, as well as prolonged antiviral
17 drug exposure, as well as those who have ongoing
18 active viral replication or high viral loads, or
19 those who have more potent immunosuppression.

20 Resistant/refractory CMV includes what we
21 think of as a clinical continuum. Refractory CMV
22 infection is the clinical definition, and that's

1 where there are signs and symptoms of refractory
2 disease and/or ongoing viremia that fails to
3 improve or actually increases after at least
4 2 weeks of appropriately dosed antiviral therapy.
5 A subset of those will have genotypic resistance,
6 which is a laboratory definition defined as a viral
7 genetic alteration that decreases the
8 susceptibility to one or more antiviral drugs.

9 Fortunately, we have not seen person-to-
10 person transmission of resistance reported, and I
11 do want to emphasize that this is among the most
12 vulnerable of all of our post-transplant CMV
13 patients, and they are the ones at highest risk for
14 complications. They tend to be the most
15 immunocompromised with comorbidities and often tend
16 to be more frail and weak as compared with people
17 who are thriving, which is not usually where we see
18 resistant/refractory disease.

19 In the CMV guidelines, we have the following
20 algorithm recommended for management, which is,
21 first of all, to recognize that there may be
22 clinical drug resistance if there has been at least

1 2 weeks of ongoing treatment without improvement,
2 at which point we recommended sending a specimen
3 for testing, as well as possibly reducing the
4 immunosuppression.

5 At this point, it's important to realize
6 that it will take up to 2 to 3 weeks for the
7 resistance testing to result. So in the meantime,
8 if there is severe disease, we recommend
9 empirically switching to foscarnet, and if there is
10 not severe disease, it's reasonable to try
11 high-dose ganciclovir, and then proceeding with
12 treatment until the resistance testing results
13 return, which then drives the remainder of the
14 clinical treatment algorithm.

15 Unfortunately, there are real challenges
16 with the current management of resistant/refractory
17 disease. For example, with intravenous high-dose
18 ganciclovir, we usually see that this is poorly
19 tolerated due to the neutropenia and cytopenia,
20 which may require the use of G-CSF.

21 With intravenous foscarnet, we see
22 significant renal and electrolyte toxicities, and

1 this usually requires hospitalization for safe
2 intravenous administration. I always have my
3 patients in the hospital for at least 2 to 3 weeks
4 for this treatment, which is really challenging for
5 them. Cidofovir also has a serious risk of renal
6 and ocular toxicities, and people either need to be
7 in the hospital or treated at an infusion center
8 for intravenous administration. Also, that is
9 quite burdensome.

10 Unfortunately, these toxicities often lead
11 to premature discontinuation of the drug,
12 predisposition to resistance development, and may
13 increase the risk of subsequent virologic failure.
14 However, alternative treatments such as decreasing
15 immunosuppression also raises the risk of organ
16 rejection or worsening of graft-versus-host
17 disease. And at this point, there are no
18 FDA-approved treatments or prophylaxis for
19 resistant/refractory CMV, which remains a
20 challenge.

21 In summary, effective treatments are really
22 needed for post-transplant resistant/refractory CMV

1 infection, as this is associated with significant
2 morbidity and mortality. The current therapeutic
3 options have significant limitations in toxicity,
4 and there is really an urgent need for treatment
5 with better efficacy, safety, and tolerability, as
6 well as ease of administration. Thank you for your
7 attention.

8 **Applicant Presentation - Martha Fournier**

9 DR. FOURNIER: Thank you, Dr. Kotton.

10 Good morning. I'm Martha Fournier, medical
11 director for Clinical Sciences at Takeda. I will
12 review the efficacy results demonstrating
13 statistically superior CMV viremia clearance with
14 maribavir compared to investigator-assigned
15 treatment in post-transplant patients refractory to
16 currently available treatment.

17 The data supporting the efficacy of
18 maribavir 400 milligrams BID in treating CMV
19 infections refractory to current antivirals comes
20 from one pivotal phase 3 study, Study 303, with
21 supportive data from one phase 2 study, Study 202.
22 Study 303 had an active comparator arm and

1 Study 202 was a single-arm study.

2 Both studies used confirmed CMV viremia
3 clearance as the primary endpoint. While Study 203
4 was in a different patient population and will not
5 be reviewed today, it was a randomized,
6 actively-controlled, dose-finding study that also
7 supports the 400 milligram dose of maribavir.

8 CMV viremia clearance is a validated,
9 objective endpoint that is endorsed by the FDA for
10 assessing clinical outcomes in this indication.

11 CMV viremia is predictive of CMV disease and
12 mortality in transplant recipients.

13 Per FDA guidance, CMV viremia clearance is
14 listed as a validated surrogate endpoint in post-
15 transplant CMV registration trials. Takeda and the
16 FDA aligned on CMV viremia clearance at week 8 and
17 the composite of CMV viremia clearance and symptom
18 control as the primary and key secondary endpoints
19 for this phase 3 study.

20 Let's begin with Study 202. Study 202 was a
21 randomized, dose-ranging study designed to evaluate
22 maribavir's ability to treat CMV infections

1 refractory, with or without resistance to
2 ganciclovir, valganciclovir, or foscarnet.
3 Study 202 was conducted in patients having received
4 either a stem cell or a solid organ transplant.

5 Refractory was defined as documented failure
6 to achieve greater than 1 log decrease in CMV DNA
7 levels after a 14-day or longer treatment with
8 ganciclovir, valganciclovir, or foscarnet.

9 Resistant CMV infection was defined as a refractory
10 CMV infection and documentation of one or more CMV
11 genetic mutations associated with resistance to
12 ganciclovir, valganciclovir, or foscarnet.

13 At the time, it was unknown what dose or
14 duration of treatment may be needed for this
15 challenging-to-treat patient population who had
16 already failed another CMV antiviral. Patients
17 were randomized in a 1-to-1-to-1 fashion to receive
18 oral maribavir at 400, 800, or 1200 milligrams BID
19 for up to 24 weeks.

20 At week 3 and week 6, CMV DNA levels from
21 the prior week were reviewed and comparison was
22 made with baseline CMV DNA levels. If CMV DNA

1 levels had not decreased, study drug was
2 discontinued. All patients and investigators were
3 blinded to the dose strength.

4 The primary efficacy endpoint was confirmed
5 undetectable plasma CMV DNA per central laboratory
6 within 6 weeks of treatment. In the phase 2 study
7 of transplant patients with refractory CMV
8 infection, more than 60 percent of patients
9 achieved CMV viremia clearance within 6 weeks at
10 all 3 doses.

11 The results across multiple studies have
12 demonstrated maribavir 400 milligrams BID as the
13 optimal dose. Two previous phase 3 studies using
14 100 milligrams BID for CMV prevention failed to
15 meet the primary endpoint. Two dose-ranging
16 phase 2 studies in treatment of CMV infection
17 showed similar efficacy across all doses, from
18 400 to 1200 milligrams BID. Because the safety
19 profile of the 400-milligram dose was the most
20 favorable in these studies and the efficacy was
21 similar, it was selected as the phase 3 dose.

22 I will now review our pivotal study.

1 Study 303 is the first large randomized-controlled
2 study designed to demonstrate the efficacy and
3 safety of maribavir in the treatment of CMV
4 infections in the transplant population with
5 refractory CMV. All patients were required to be
6 refractory to prior treatment with or without
7 resistance, as this is how patients present in
8 clinical practice and the population can't be
9 separated for treatment decision.

10 Patients were stratified by transplant type
11 and viral load and randomized 2 to 1 to receive
12 oral maribavir 400 milligrams twice daily or to
13 mono or dual therapy with one of the
14 investigator-assigned CMV antivirals for 8 weeks.
15 This was referred to as IAT.

16 Investigators were allowed to decide which
17 agent to use as an active control against CMV to
18 optimize the efficacy and safety for each patient.
19 Study 303 was an open-label, active-controlled
20 study and included 352 patients 12 years and older.
21 After a minimum of 3 weeks on IAT, patients with an
22 inadequate virologic response to IAT could receive

1 rescue treatment with maribavir 8 weeks.

2 Twenty-two patients randomized to IAT
3 entered the rescue period and received maribavir.
4 After the 8-week treatment period, patients were
5 followed up off treatment for another 12 weeks,
6 providing up to 20 weeks of patient data. For the
7 comparator arm, investigators could choose one or
8 two of the four available CMV antivirals:
9 ganciclovir, valganciclovir, foscarnet, and
10 cidofovir.

11 Investigators could combine products with
12 the exception of cidofovir and foscarnet since
13 combining these agents is prohibited in their
14 labels. This approach enabled physicians to use
15 the same drugs in the study that they would have
16 used otherwise in the real world to treat these
17 patients. Investigators were allowed to switch
18 between IV ganciclovir and oral valganciclovir.
19 However, any other switch to non-study CMV
20 antivirals, besides that selected at randomization,
21 was considered a failure in the primary analysis.

22 To be enrolled in the study, patients at

1 least 12 years of age must have undergone a stem
2 cell or solid organ transplant. Patients must have
3 had a confirmed refractory CMV infection.
4 Refractory was defined as a documented failure to
5 achieve greater than 1 log decrease in CMV DNA
6 level after a 14-day or longer treatment period
7 with ganciclovir, valganciclovir, foscarnet, or
8 cidofovir. Patients were also required to have a
9 viral load and acceptable lab parameters as
10 indicated on the slide.

11 Patients were excluded if they had any other
12 conditions requiring the use of an IAT agent. They
13 were also excluded if they had CMV tissue-invasive
14 disease with CNS involvement or CMV retinitis, as
15 maribavir does not appear to cross the blood-brain
16 barrier. Patients were excluded if they were
17 receiving other CMV antivirals such as leflunomide,
18 letermovir, artesunate or had a marked elevation of
19 liver enzymes. Patients were also excluded if they
20 were pregnant, had active malignancy, or HIV/AIDS.

21 The primary efficacy endpoint was confirmed
22 CMV viremia clearance at the end of week 8

1 regardless of whether study-assigned treatment was
2 discontinued before 8 weeks of therapy. To declare
3 viremia clearance, the patient must have been
4 treated exclusively through study-assigned
5 treatment. A key secondary endpoint was a
6 composite endpoint of CMV viremia clearance and
7 symptom control at week 8, plus maintenance of this
8 treatment effect for an additional 8 weeks beyond
9 the treatment phase.

10 Symptom control was defined as resolution or
11 improvement of tissue-invasive CMV disease, or CMV
12 syndrome for patients who were symptomatic at
13 baseline, or no new symptoms of tissue-invasive
14 disease, or CMV syndrome for patients asymptomatic
15 at baseline. For the key secondary endpoint, the
16 patient must have received exclusively
17 study-assigned treatment. Additional secondary
18 endpoints included resistance development and
19 efficacy of maribavir as rescue therapy.

20 Patient demographics were generally similar
21 between treatment arms. The median age was about
22 53 years and the majority of patients were male and

1 white. Sites in North America accounted for more
2 than half of the randomized patients. The next
3 most common geographic location was Europe,
4 followed by a smaller percentage of patients from
5 Asia.

6 Solid organ transplants accounted for
7 approximately 60 percent of patients in each arm,
8 and stem cell transplants were approximately
9 40 percent of patients in each arm. As expected,
10 the most common solid organ transplant type was
11 kidney, followed by lung and heart transplants. In
12 agreement with the low reported rate of symptoms
13 mentioned by Dr. Kotton, most patients did not have
14 baseline symptomatic CMV infection. Seven to
15 10 percent of patients had confirmed acute graft-
16 versus-host disease at baseline.

17 Baseline disease characteristics were also
18 similar between arms. At baseline, most patients
19 in both arms had the presence of CMV mutations
20 resistant to ganciclovir, foscarnet, or cidofovir,
21 and were in the low category of CMV DNA level. A
22 large percentage of patients in both groups had the

1 CMV serotype associated with a high risk for CMV
2 infection after solid organ transplant; that is
3 donor positive/recipient negative. Likewise, for
4 stem cell transplant patients in the study, most
5 patients in both arms had the high-risk serostatus
6 of recipient positive.

7 Study 303 met the primary endpoint and the
8 result was highly significant. Maribavir was
9 statistically superior to IAT in achieving
10 confirmed CMV viremia clearance at the end of
11 week 8 in post-transplant recipients with
12 resistant/refractory CMV infection. The proportion
13 of maribavir-treated patients who achieved
14 confirmed CMV viremia clearance at week 8 was more
15 than two-fold greater than patients who received
16 conventional treatment with IAT.

17 Given the fixed time point at week 8, it is
18 not surprising that they were a lower response rate
19 than what may be seen in clinical practice, where
20 clearance of CMV viremia at an earlier time point
21 may be considered clinical success.

22 Several sensitivity analyses were performed

1 to confirm that the results for the primary
2 efficacy outcome were not a function of the study
3 design. I will review three of these sensitivity
4 analyses.

5 First, if subjects in both arms who met the
6 criteria of confirmed clearance at the time of
7 treatment switch or study discontinuation are
8 included as responders, maribavir still had a
9 higher rate of viremia clearance compared to IAT.

10 The second sensitivity analysis counted
11 subjects who had viremia clearance within week 8 as
12 a responder. This measures clearance in the
13 absence of other factors such as tolerability.
14 Again, maribavir had a higher rate of viremia
15 clearance compared to IAT.

16 Finally, we performed a sensitivity analysis
17 looking at the response regardless of the use of
18 alternative anti-CMV treatment, including rescue
19 therapy. This analysis assessed efficacy at week 8
20 even if alternative anti-CMV treatment was
21 utilized. In this analysis, maribavir subjects
22 also had a higher rate of CMV clearance at week 8.

1 Let's look at the results across key
2 subgroups. Like most randomized-controlled trials,
3 Study 303 used a sample size sufficient to provide
4 adequate power for the overall study population
5 while lacking adequate power for the consideration
6 of some subgroups.

7 There was no expectation that the treatment
8 effect should be the same in all subgroups,
9 however, the trend in the response was consistent
10 across subgroups, favoring maribavir over IAT. The
11 benefit of maribavir was observed for the primary
12 endpoint regardless of IAT agent chosen by the
13 investigator, the type of transplant, or the
14 baseline CMV viral load.

15 The cohort of refractory CMV infection
16 without documented resistance was relatively small
17 for the IAT arm, as mentioned by the FDA, yet the
18 trend in the outcome for the baseline
19 refractory-only subgroup was consistent with the
20 overall result; that is, a greater proportion of
21 refractory-only subjects in the maribavir arm
22 achieved a primary endpoint compared with IAT, the

1 active control.

2 Now, let's look at the key secondary
3 endpoint for the study. Maribavir achieved
4 statistically superior CMV viremia clearance and
5 CMV infectious symptom control compared to IAT at
6 week 16. This represents a maintenance of effect
7 8 weeks after the treatment phase.

8 The proportion of responders that achieved
9 CMV viremia clearance and CMV infectious symptom
10 control through weeks 12, 16, and 20 off treatment
11 was approximately two-fold higher for
12 maribavir-treated patients than for the IAT group.
13 Of note, the proportion of responders in both arms
14 at week 16 is much less than what we saw at week 8.
15 This is not surprising, as CMV is a latent virus,
16 transplant patients continue to have significant
17 immunosuppression, and patients were no longer on
18 CMV antiviral therapy after week 8.

19 Now I'll review results from other secondary
20 endpoints starting with resistance development. In
21 the 303 study, there was extensive sampling for
22 viral resistance. This is more comprehensive and

1 performed more frequently than is typical for
2 clinical practice. Throughout the study, samples
3 were genotyped every 4 weeks, as well as for CMV
4 recurrence or rebound. Rebound was defined as an
5 increase in viral DNA load greater than 1 log above
6 the nadir without prior viremia clearance.

7 Entire genes were sequenced at a central
8 specialty laboratory. In current clinical
9 practice, treatment is empiric, and testing for
10 resistance is typically performed for increasing
11 viral load for deterioration and clinical
12 condition. Overall, baseline resistance to
13 maribavir was rare in patients with CMV infections
14 resistant and refractory to conventional agents.

15 320 patients had a genotyped sample at
16 baseline that could be evaluated. Approximately
17 60 percent had a UL97 or UL54 mutation, conferring
18 resistance to IAT. Only 1 percent had a mutation
19 at UL97 that confers resistance to maribavir. This
20 is not surprising, as maribavir is not yet
21 commercially available.

22 The only characteristic that was predicted a

1 maribavir resistance development was baseline viral
2 load. Overall, 58 patients treated with maribavir
3 developed a resistance mutation at UL97 while on
4 the study. Of the 58 cases that developed
5 maribavir mutation, 23 had mutations that conferred
6 cross-resistance to ganciclovir, 3 contained an
7 F342Y mutation, and 20 contained a C480F mutation.
8 Of the 23 patients with ganciclovir cross-resistant
9 mutations, only one patient achieved viremia
10 clearance at week 8. Of the 35 patients with
11 non-cross-resistant mutations, 10 patients achieved
12 the primary endpoint.

13 Susceptibility is expressed as a drug
14 concentration required to reduce growth by
15 50 percent, otherwise known as the half maximal
16 effective concentration or EC50. The F342Y
17 mutation EC values demonstrate low-grade resistance
18 for maribavir and ganciclovir.

19 However, the C480F mutation EC values
20 demonstrate that there is a high-grade resistance
21 to maribavir but low-grade ganciclovir resistance.
22 This suggests that CMV infections with the C480F

1 mutation may be cleared by ganciclovir. This is
2 consistent with the clinical Study 303 results.
3 All mutations conferring maribavir resistance have
4 been previously described in the phase 2 studies.

5 CMV treatment failure due to maribavir
6 mutations can be effectively treated with
7 alternative CMV antiviral. Of the 48 patients
8 randomized to maribavir that developed a maribavir
9 mutation and were subsequently treated with an
10 alternative CMV antiviral on the study, 63 percent
11 went on to clear viremia following treatment with
12 an alternative CMV antiviral. For these patients,
13 treatment options utilized on Study 303 included
14 foscarnet, letermovir, ganciclovir, or
15 valganciclovir. Some patients were treated with
16 more than one agent.

17 Let's now look at the response in patients
18 that received maribavir rescue therapy. As a
19 reminder, patients with a poor response to IAT
20 could receive maribavir at week 3 to 7 of the
21 treatment period. The rescue treatment period with
22 maribavir was for 8 weeks. Overall, 22 patients in

1 the IAT arm received maribavir as rescue therapy.
2 Of these, half achieved confirmed CMV viremia
3 clearance at week 8 with maribavir rescue
4 treatment.

5 In summary, maribavir cleared
6 post-transplant CMV infection in patients with
7 refractory CMV infection with or without
8 resistance. Efficacy was demonstrated by pivotal
9 Study 303, and Study 202 supports treatment with a
10 400-milligram BID dose. In pivotal Study 303,
11 maribavir met its primary endpoint, demonstrating
12 statistical superiority to IAT with respect to CMV
13 viremia clearance at week 8. These beneficial
14 effects were also observed across multiple key
15 subgroups.

16 In addition, maribavir was statistically
17 superior to IAT with regards to the key secondary
18 endpoint, showing that maribavir is not only
19 effective in viremia clearance, but also in
20 improving or resolving CMV symptomatic disease.

21 I will now turn the presentation over to
22 Dr. Adefuye to discuss the safety results.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Applicant Presentation - Adedeji Adefuye

DR. ADEFUYE: Good morning. My name is Adedeji Adefuye, and I'm the vice president and head of Medical Safety for Rare Diseases at Takeda. I'm pleased to be here today to review the safety data for maribavir.

Transplant patients will have comorbidities and take many concomitant medications with accompanying side effects. As stated in the FDA briefing book, the currently available treatments have toxicities that limit their use.

Adverse events were presented as the most commonly seen in the transplant population. The review will show similarities and differences between treatment arms. However, maribavir provided a favorable safety profile with an advantage over currently available CMV antivirals. It avoids myelosuppression and renal treatment-limiting toxicities of IAT.

The rates of treatment discontinuations due to adverse events were also substantially lower in the maribavir arm compared to IAT. Dysgeusia, the

1 most frequent adverse events which drove the
2 overall rate of adverse events, was mild to
3 moderate in severity and rarely led to
4 discontinuation.

5 The absence of treatment-limiting toxicities
6 and lower discontinuation allows patients to remain
7 on maribavir for a longer period of time and
8 benefit from treatment. Maribavir has a well-
9 characterized safety profile over the entire
10 clinical development program, and the total of
11 1,555 patients have been exposed to maribavir
12 across several different doses and durations,
13 ranging from 50 to 2400 milligrams and 8 to
14 24 weeks. Approximately a third of the patients
15 have been dosed with the 400-milligram BID or
16 higher.

17 Adverse event rates reflect the adverse
18 events, including lab abnormalities of special
19 interest such as neutropenia and acute kidney
20 injury that were collected at the points of care,
21 some of which were not captured in our case report
22 forms. The per protocol labs were collected every

1 2 weeks, therefore this explains the absence of a
2 beneficial effect in the [indiscernible] laboratory
3 values. It's important to note that maribavir was
4 well tolerated, allowing patients to stay longer on
5 maribavir than other available anti-CMV antivirals.

6 In pivotal Study 303, patients remained on
7 maribavir about 50 percent longer than they did on
8 other treatments. The mean duration of exposure
9 was 52.5 days for maribavir and 36 days for IAT.
10 Staying longer on treatment allowed for a longer
11 period of follow-up for safety observations or in
12 patients who were treated with maribavir.

13 I'll now review Study 303 that best
14 represents the maribavir safety profile. On this
15 slide, we have separated the IAT arm into
16 individual drugs to highlight the differences in
17 safety and toxicity between maribavir and those
18 drugs. Overall, the maribavir safety profile
19 allowed patients to stay longer on treatment.
20 Importantly, none of the adverse events incidence
21 rates I will share have been adjusted to account
22 for a 46 percent longer duration of exposure,

1 therefore, the data shall be interpreted in this
2 context.

3 Almost all patients in each group
4 experienced at least one adverse event. Adverse
5 event rates were high in both groups, which was not
6 surprising given the underlying disease and
7 associated treatment in this patient population.
8 Patients in the maribavir arm reported more adverse
9 events than patients in the IAT group, driven
10 largely by dysgeusia, which I will discuss more on
11 the next slide. However, the adverse events
12 reported were less severe in the maribavir arm.

13 Slightly more maribavir-treated patients
14 reported a serious adverse event, however, there
15 were more related serious adverse events and
16 significantly more related severe
17 treatment-emergent adverse events in the IAT arm.
18 Patients on maribavir were also less likely to
19 discontinue treatment and had fewer adverse events
20 leading to study withdrawal.

21 Here you see adverse events reported by
22 10 percent or more of patients in either arm. The

1 most commonly reported adverse event for maribavir
2 was dysgeusia, which is unique to maribavir and is
3 driving the higher overall incidence of adverse
4 events. The dysgeusia cases were grade 1 or 2 in
5 severity and resolved while patients remained on
6 therapy or within a median of 7 days after
7 discontinuing treatment.

8 The other major difference between the
9 groups was much higher rates of neutropenia in the
10 IAT arm. Neutropenia occurred predominantly in
11 patients who received ganciclovir or
12 valganciclovir, which is consistent with a known
13 side-effect profile.

14 Discontinuation of therapy was also much
15 lower with maribavir, with 13 percent of patients,
16 compared with IAT, for which we have 32 percent for
17 ganciclovir and valganciclovir and 36 percent for
18 foscarnet.

19 CMV infection was the most frequently
20 reported type of infection that led to
21 discontinuation of maribavir, followed by CMV
22 viremia. No patients in the maribavir arm

1 discontinued treatment due to myelosuppression or
2 renal events. Serious adverse events were
3 comparable with similar percentages reported by
4 patients in both groups. In both treatment groups,
5 serious adverse events were reported for one
6 patient only.

7 Here we show the all-cause mortality for
8 Study 303. Please note that the majority of the
9 deaths were assessed by investigator as unrelated
10 to maribavir. All-cause mortality was low and
11 comparable in both arms. Attributable mortality
12 was even lower, as only one death in each treatment
13 arm was assessed as related to study treatment.

14 I'll next review the adverse events of
15 special interest. Here, the adverse events,
16 including dysgeusia, are well-documented adverse
17 events of maribavir treatment. They occurred in
18 half of patients receiving the 400-milligram twice
19 daily dose. The majority of these events were mild
20 to moderate in severity and did occur early in
21 treatment. Despite the frequency of taste
22 disturbance, only two patients in Study 303

1 discontinued treatment due to dysgeusia.
2 Additionally, the events of dysgeusia did not lead
3 to loss of weight.

4 I will now move on to immunosuppressant
5 events. It is well known that co-administration
6 with maribavir may increase the concentration of
7 tacrolimus and other immunosuppressants.
8 Consistent with this known drug-drug interaction,
9 an 8 percent higher occurrence of maribavir-treated
10 patients had an increase in immunosuppressant
11 concentration levels during the on-treatment
12 observation period or periods with patients who
13 received IAT. This was reported as a
14 treatment-emergent serious adverse event in one
15 maribavir-treated patient. Maribavir's approval
16 and proposed label will recommend therapeutic drug
17 monitoring when maribavir is co-administered with
18 tacrolimus type drugs.

19 Let's now review neutropenia since that's a
20 known risk for ganciclovir and valganciclovir.
21 Maribavir-treated patients had much lower adverse
22 event rates of neutropenia than patients treated

1 with ganciclovir and valganciclovir during the
2 on-treatment observation period, even with longer
3 treatment exposure for maribavir. Nine percent of
4 maribavir-treated patients reported neutropenia
5 events compared to 34 percent of patients on
6 ganciclovir and valganciclovir. Febrile
7 neutropenia occurred in 7 percent of patients in
8 this population.

9 As expected, due to the known ganciclovir
10 risk, severe neutropenia and febrile neutropenia
11 were also much greater in the comparator arm. We
12 also see differences when looking at
13 treatment-emergent neutropenia serious adverse
14 events and adverse events leading to
15 discontinuation. No patients discontinued
16 maribavir due to neutropenia. In comparison,
17 13 percent of patients on ganciclovir or
18 valganciclovir had serious adverse events and
19 20 percent needed to discontinue due to their
20 neutropenia events.

21 Moving now to renal events and known risks
22 with foscarnet and cidofovir, renal events were

1 much lower for maribavir-treated patients compared
2 to patients treated with foscarnet, even with
3 longer treatment exposure for maribavir. Renal
4 adverse events and severe adverse events occurred
5 less frequently with maribavir.

6 We also see significant differences when
7 looking at treatment-emergent renal and serious
8 adverse events leading to discontinuation. Seven
9 percent of patients on maribavir experienced renal
10 serious adverse events compared to 17 percent on
11 foscarnet. No patients discontinued maribavir due
12 to renal events compared to 21 percent for patients
13 who were on foscarnet.

14 In summary, maribavir provides a safety
15 advantage over currently used agents. Importantly,
16 maribavir avoids the two most concerning
17 treatment-limiting adverse events known to be
18 associated with currently available treatments,
19 namely neutropenia and renal events. The most
20 common adverse events in the maribavir group was
21 taste disturbance, which was grade 1 or 2 in
22 severity, non-serious, and rarely led to

1 discontinuation.

2 Patients were able to tolerate maribavir for
3 up to 24 weeks at doses up to 1200 milligrams twice
4 daily, and the tolerability of maribavir allows
5 patients to be on treatment longer, which allows
6 them to continue to get treatment benefits.

7 Thank you. I'll now invite Dr. Avery to
8 provide a clinical perspective.

9 **Applicant Presentation - Robin Avery**

10 DR. AVERY: Good morning. I'm Robin Avery,
11 professor of medicine in the Division of Infectious
12 Disease at Johns Hopkins. I want to thank you for
13 the opportunity to provide my clinical perspective
14 on how maribavir will help with the treatment of
15 post-transplant CMV infection.

16 As a transplant infectious disease physician
17 with almost 30 years experience, I can tell you
18 that post-transplant CMV infections and disease are
19 some of the most challenging scenarios that
20 patients and clinicians can encounter. These
21 include episodes that do not resolve in 3 months;
22 result in 2 or more recurrences or tissue-invasive

1 disease with complications; high viral loads with
2 multi-organ dysfunction; and severe intolerance to
3 standard drugs.

4 Importantly, treatment decisions are
5 typically made before testing for resistance.
6 Resistance testing is highly specialized, involves
7 viral genome sequencing, and is frequently sent out
8 to reference labs. Consequently, it takes a long
9 time to get results and we generally don't wait
10 before making treatment decisions.

11 As you have heard this morning from
12 Dr. Kotton, existing CMV therapies are problematic
13 in terms of efficacy, toxicities, and some are only
14 available in the IV formulation. As clinicians, we
15 feel there's a major unmet need for an effective
16 and less toxic treatment for CMV.

17 To illustrate the challenges we face with
18 the existing therapies for treating refractory CMV
19 infections, let me provide you some real patient
20 examples. Once patients become refractory, we are
21 urgently adapting treatments to resolve the
22 infection and prevent graft loss and other

1 complications.

2 Patient 1 was a 20-year-old woman with acute
3 myelogenous leukemia; status, post to stem cell
4 transplant; and CMV donor negative/recipient
5 positive. She was admitted at 5-weeks
6 post-transplant with fever nausea, vomiting,
7 hypotension, and tachycardia. Cultures were
8 negative except a positive CMV PCR initially with
9 low viral load. The CMV viral load rose on
10 ganciclovir.

11 The genotype was negative for resistance
12 mutations. Neutropenia worsened. Ganciclovir was
13 changed to foscarnet with improvement but not
14 clearance of the CMV viral load. On foscarnet, she
15 developed acute kidney injury requiring renal
16 replacement therapy, progressed to profound
17 neutropenia and graft loss. And, unfortunately,
18 she died of multi-organ and respiratory failure and
19 sepsis, although her CMV viremia ultimately
20 cleared.

21 I also have personal clinical experience
22 that aligns with the efficacy and safety benefits

1 of maribavir over available therapies in
2 post-transplant patients with refractory and
3 resistant CMV infection. Patient number 2 is a
4 lung transplant recipient with CMV pneumonitis
5 resistant and refractory to valganciclovir,
6 ganciclovir, foscarnet, leflunomide, and CMVIg,
7 with renal dysfunction from foscarnet with very
8 poor performance status. He, fortunately, had an
9 amazing response and cleared CMV with maribavir,
10 demonstrated marked clinical improvement, and was
11 maintained on maribavir for secondary prophylaxis.
12 He was alive and CMV-free five years later.

13 Patient number 3 is another lung transplant
14 recipient who had symptomatic CMV with high viral
15 load, then developed an L595S UL97 ganciclovir
16 resistance mutation and had very poor tolerance of
17 foscarnet with acute kidney injury, severe nausea,
18 weight loss, and malnutrition.

19 He also had an excellent response. He
20 cleared CMV with maribavir with marked clinical
21 improvement. His nausea resolved, he gained weight
22 back, and also was successfully suppressed for

1 months on maribavir secondary prophylaxis, which
2 was allowed in Study 202.

3 In conclusion, this is why we need
4 maribavir. Over the past 28 years, I have seen far
5 too many patients with CMV infection who've had
6 inadequate responses or who experienced harmful
7 toxicities on currently available therapies. Even
8 if CMV clears, its therapies may cause long-lasting
9 morbidity that impairs the lifespan of allograft
10 and the quality of life of the transplant
11 recipient.

12 No other drug for CMV treatment combines
13 efficacy with lack of hematologic and renal
14 toxicity and is available orally. These benefits
15 are for both refractory and resistant infections
16 since our treatment decision follows the same
17 process, and patients with CMV often express desire
18 for a drug like maribavir and frustration with side
19 effects of available therapies.

20 In summary, maribavir will be a truly
21 valuable addition to our antiviral armamentarium
22 and will transform the landscape of CMV treatment

1 for resistant/refractory CMV infection. Thank you
2 very much for your attention.

3 DR. UMEH: Thank you, Dr. Avery.

4 Good morning. My name is Obi Umeh. I'm the
5 vice president and global program lead for
6 maribavir at Takeda. I'll be the moderator for
7 today's Q&A session, and we're very happy to answer
8 your questions during this session or at any point
9 during the meeting. In situations where we have
10 data to support your discussion or can address a
11 question later during the day, I will be emailing
12 to indicate my request to be acknowledged. Thank
13 you.

14 **Clarifying Questions**

15 DR. BADEN: I would like to thank the
16 applicant for a terrific set of presentations,
17 outlining the data available and that we need to
18 consider, and your incredible precision on staying
19 on time that is greatly appreciated.

20 We will now take clarifying questions for
21 Takeda, for the panel members. Please use the
22 raised-hand icon to indicate that you have a

1 question and remember to lower your hand by
2 clicking the raised-hand icon after you have asked
3 your question. When acknowledged, please remember
4 to state your name for the record before you speak
5 and direct your question to a specific presenter,
6 if you can.

7 I assume, Dr. Umeh, you will help guide the
8 responses.

9 DR. UMEH: That's correct.

10 DR. BADEN: If you wish for a specific slide
11 to the panel members to be displayed, please let us
12 know the slide number, if possible. Finally, it
13 would be helpful to acknowledge the end of your
14 question with a thank you and end of your follow-up
15 question with, "That is all for my questions," so
16 we can move on to the next panel member.

17 If you would like to chime in to add your
18 thoughts on what another panel member or Takeda is
19 stating, please use the green check mark icon.
20 When you are done chiming in, please remember to
21 clear the check mark.

22 I will ask the panel members to start

1 raising your hands to ask clarifying questions.

2 I see Dr. Hardy. Please ask your question.

3 (No response.)

4 DR. BADEN: You are on mute, Dr. Hardy.

5 DR. HARDY: Thank you. This is Dr. David
6 Hardy from Los Angeles, California, adult
7 infectious disease specialist and long-term treater
8 of persons with CMV infection.

9 Could you describe in a little more detail
10 what you know about the resistance to maribavir?
11 Has that been worked out? And what kind of
12 mutations have you found that cause resistance to
13 maribavir when you've actually been able to
14 characterize this?

15 DR. UMEH: In our co-presentation, we have a
16 slide that shows the resistance breakdown.

17 Put that up. The two main resistance
18 mutations that are consequential are the C480F and
19 the F342Y; the C480F, especially, because 19 out of
20 20 patients have that mutation.

21 For the rest of them -- these are the
22 mutations -- the thing to know is that this study

1 did not bring up any new resistance mutations that
2 weren't previously identified in phase 2, and all
3 of these patients who had mutations were
4 successfully treated in about 60 percent of cases
5 across the board.

6 DR. HARDY: May I ask a follow-up question?

7 DR. BADEN: Yes, please.

8 DR. HARDY: Have you found CMV that is
9 resistant to maribavir, a combination of mutations,
10 or any other mutations that cause genetic
11 resistance to mariba -- to your drug?

12 DR. UMEH: Maribavir.

13 DR. HARDY: Maribavir.

14 DR. UMEH: The mutations I just put up on
15 the slide are the major ones that have been seen,
16 and all of these were previously in our phase 2
17 studies. I can show you a long list, but you'll
18 see that the more consequential ones are the ones
19 that I already mentioned, are the ones that occur
20 frequently.

21 DR. BADEN: Thank you.

22 DR. HARDY: Thank you.

1 DR. BADEN: Dr. Siberry?

2 DR. SIBERRY: Thanks very much. George
3 Siberry, USAID. Thanks for these presentations.

4 First, I appreciated that your inclusion
5 criteria went down to age 12. I wanted to clarify
6 if you had any enrollees who were between 12 and 17
7 that could make us consider this for adults and
8 adolescents. Then second, the dysgeusia, is that a
9 problem, that taste disturbance, that persists
10 after cessation of treatment or that resolves?
11 Thank you.

12 DR. UMEH: I'll take the second question
13 first. Dysgeusia was transient, and in only
14 2 patients out of 235 that were treated with
15 maribavir was it discontinued.

16 With regard to pediatrics, yes, you're
17 right. We did open up this study to patients
18 age 12 and above, and we had a lot of transplant
19 centers that have children in them. Unfortunately,
20 despite our efforts over four years, we could not
21 enroll any pediatric patients, however, we are in
22 discussion with the agency for a pediatric program.

1 DR. SIBERRY: Thank you.

2 DR. BADEN: Doctor Flatau?

3 DR. FLATAU: Hi. This is Arthur Flatau, and
4 I wanted to ask about the washout periods that were
5 mentioned in the briefing document, the various
6 washout periods depending on what drug they're on.
7 I'm wondering if that is expected to be used in
8 clinical practice, and if not, what effect on
9 efficacy and safety that might have.

10 DR. UMEH: No, we have the washout just to
11 prevent confounding. So we're not recommending
12 that people have a washout before they go on to
13 maribavir, but we didn't want a situation where any
14 of the effect that was observed was attributed to
15 the agent that was previously given. So for those
16 agents that went on standard use or
17 conventional-use treatment, we had a washout
18 period.

19 DR. FLATAU: Okay. So you don't expect any
20 drugs to now be overlapping if you switch to
21 maribavir?

22 DR. UMEH: No requirement. Our label will

1 include areas where you don't co-administer, like
2 with ganciclovir.

3 DR. FLATAU: Okay. Thank you.

4 DR. BADEN: I would remind the panel members
5 and others, when you're done speaking to uncheck
6 your raised hand and to go on mute to minimize
7 background sound. Thank you.

8 DR. FLATAU: And I've done so.

9 DR. BADEN: Dr. Le, you're next.

10 DR. LE: Hi. This is Dr. Jennifer Le. I
11 wanted to ask, I know maribavir is not active
12 against the herpes and the varicella zoster, so
13 that's why you needed to add a acyclovir in your
14 303 study. I'm just curious. In your 202, did you
15 see any reports of these infections while patients
16 were on maribavir?

17 DR. UMEH: No, not beyond the risk. We know
18 that maribavir is active, in vitro, against EBV and
19 CMV. We have a human study in CMV, and we've
20 always asked that people use prophylaxis for other
21 types of herpes viruses if they need to.

22 DR. LE: Okay. Thank you.

1 DR. BADEN: Dr. Gea-Banacloche?

2 DR. GEA-BANACLOCHE: Yes. Thank you. This
3 is a question regarding slide CO-41. Is that the
4 number that caught my attention? Yes. This is in
5 Study 303.

6 In Study 303, you have 48 patients who
7 developed resistance to maribavir and you rescued
8 63 percent of them with the alternative treatment.
9 But that is actually better than the alternative
10 treatment, leaving the general group that was
11 randomized to even 303.

12 How do you explain that?

13 DR. UMEH: That is the observation that the
14 study showed us. The 303 is an 8-week trial in
15 which you had to maintain your clearance all the
16 way to 8 weeks. Here, we have evidence of people
17 who had a resistance mutation, had virus present,
18 and then cleared the virus. They were not
19 necessarily subjected to the same 8-week standard
20 to demonstrate their primary endpoint.

21 DR. BADEN: Thank you. I will ask the next
22 question. In conducting the trial, when you

1 initiated the randomization to IAT versus
2 maribavir, was there manipulation of the host
3 immunosuppression and how was that managed and
4 accounted for?

5 DR. UMEH: There was no prospective
6 manipulation of the host immune system. We relied
7 on randomization to balance out people with
8 different levels of immunocompetence and the fact
9 also that we had different centers. I think our
10 baseline demographics showed that most of the key
11 predictive factors were balanced between the two
12 treatment arms.

13 DR. BADEN: But you did not measure if there
14 was a decrement or a change in hosting
15 immunosuppression. That was not tracked so you
16 could actually compare it.

17 DR. UMEH: Not systematically, no.

18 DR. BADEN: Okay. Randomization hopefully
19 takes care of that concern.

20 DR. UMEH: That's correct.

21 DR. BADEN: Thank you.

22 Dr. Haidar?

1 DR. HAIDAR: Hi. This is Ghady Haidar from
2 the University of Pittsburgh. I just had a
3 clarifying question. I know that in the trial you
4 guys gave the drugs for 8 weeks, but in one of the
5 safety slides, you talk about patients tolerating
6 maribavir for up to 24 weeks. Is that based on
7 some of the older trials or compassionate-use
8 cases?

9 DR. UMEH: Thank you. That was from the
10 phase 2 studies. So in the phase 2 studies, in
11 Study 202, which is a supportive study for this
12 submission, a cohort of patients went as far as
13 24 weeks of therapy, and some of them up to
14 1,200 milligrams. So we mentioned that as data
15 that we have that demonstrates that a safety signal
16 at increased doses and increased durations is
17 essentially the same as the safety signal from the
18 phase 3 study -- I'm sorry, the absence of safety
19 signal from the phase 3 study.

20 DR. HAIDAR: Thank you.

21 DR. BADEN: Dr. Perez?

22 DR. PEREZ: Thank you. This is Federico

1 Perez from the Cleveland VA. My question is, were
2 all the baseline characteristics of the resistant
3 and the refractory groups comparable? Thank you.

4 DR. UMEH: We did this study by the baseline
5 characteristics of everybody that was comparable,
6 and this was also consistent in the subgroups, more
7 or less, other than the fact that there was
8 resistance in the resistant group and none in the
9 refractory group.

10 DR. PEREZ: I have a follow-up question.

11 DR. BADEN: Please, go ahead.

12 DR. PEREZ: Similarly, were the frequency of
13 mutations conferring resistance to maribavir
14 similar in the resistant group and the refractory
15 group? Thank you.

16 DR. UMEH: I believe most of the mutations
17 we calculated for that of the overall population.
18 I don't believe we broke it down. I mean, I think
19 the context to give here is that we had extensive
20 and serial sampling. So unlike clinical practice,
21 we had scheduled times that we would look for
22 resistance, and we would also track for resistance

1 when there was an increase in viral load. So this
2 is tracking much more than you would have done in
3 clinical practice, as the slide I showed up here
4 showed. But no, we didn't have that broken down by
5 refractory versus overall population.

6 DR. BADEN: Thank you.

7 Dr. Hunsberger?

8 DR. HUNSBERGER: Yes. I just want to make
9 sure I understood the outcomes slide. I think you
10 had some bar graphs that showed the percentages,
11 and it seemed that one of the bar graphs showed at
12 8 weeks what the percentage of responders was, no
13 matter what treatment they got.

14 I was wondering if you could put that up. I
15 didn't quite catch the number of the slide, but it
16 looked like there wasn't much --

17 DR. UMEH: Is it --

18 DR. HUNSBERGER: Oh, sorry.

19 DR. UMEH: No. I was asking you if it was
20 the primary endpoints slide. That would be
21 C -- the primary endpoints are the subgroups. Do
22 you know which one you're referring to?

1 DR. HUNSBERGER: It was the slide that had
2 the overall percentages, and then it showed what
3 happened if you didn't take out the people who
4 crossed over, essentially, to the experimental arm.
5 So it looked like --

6 DR. UMEH: Can I show --

7 DR. HUNSBERGER: Sorry.

8 DR. UMEH: Can I show you some slides? And
9 then maybe you can tell me the one.

10 DR. HUNSBERGER: I didn't get the number.
11 Sorry.

12 DR. UMEH: This is CO-33, and it's the
13 primary endpoint slide.

14 Is it this one?

15 DR. HUNSBERGER: Go down. I think it was
16 the next one where it had several different groups.
17 This one I think it is.

18 DR. UMEH: Okay.

19 DR. HUNSBERGER: So at the last line, that's
20 saying that at week 8 it doesn't matter what
21 treatment they got. So essentially for the IAT
22 arm, that would be people who crossed over and

1 got --

2 DR. UMEH: Yes.

3 DR. HUNSBERGER: -- maribavir.

4 Okay. So this is showing that some of the
5 people who crossed over actually did improve when
6 they got it. Okay. I thought that the numbers
7 were closer. Well, it's the 43 percent versus the
8 42 percent, so it doesn't seem to reflect the fact
9 that people who maybe got maribavir after the IAT
10 then improved.

11 Am I understanding that right?

12 DR. UMEH: That slide is the last slide of
13 the efficacy presentation, where we look at the
14 rescue patients and we show that we were able to
15 rescue some of those patients. The point of the
16 sensitivity analysis is to show that any potential
17 confounding in the study, either due to the
18 perceived early discontinuation or the perceived
19 absence of switching to other agents, that despite
20 that, if you let the outcome be whenever they
21 cleared the virus, or if you let them switch to any
22 number of therapies, maribavir was still the same.

1 But if you're asking for this slide on the rescue
2 patients, I can pull that up for you.

3 DR. HUNSBERGER: But I'm wondering why it's
4 not reflected in that 42 percent. Is it that it
5 wasn't long enough?

6 DR. UMEH: I'm not sure I follow the
7 question. That analysis included people who
8 switched over because they got maribavir after
9 rescue. So a treatment switch would be anybody who
10 took a treatment other than that they were
11 randomized to.

12 Those people who were in the IAT arm were
13 randomized to the IAT, but when they met
14 prespecified criteria for failure to improve, they
15 could be switched over to maribavir rescue therapy.
16 And we're saying if we allow the IAT arm to have
17 the benefit of maribavir therapy and still look at
18 the outcomes, we're still better than the
19 comparator.

20 DR. HUNSBERGER: I understand that, but I'm
21 wondering if what you're seeing is true, I don't
22 understand why that percentage didn't increase. I

1 thought what you were advocating was that when they
2 crossed over, they improved, so why isn't that
3 42 percent increase? And I think it's just because
4 I'm not understanding the slide, but can you
5 explain that?

6 DR. UMEH: No. The IAT outcome was
7 24 percent in the primary analysis. I think in the
8 one you're talking about, it's 42 percent.

9 DR. HUNSBERGER: Okay.

10 DR. UMEH: Could you put up the slide again?

11 So it did increase. The IAT did much better
12 when you allowed maribavir patients. If you
13 allowed maribavir treatment in the IAT to count
14 towards the effect of the IAT, the number would
15 increase.

16 DR. HUNSBERGER: Okay. I got the baseline
17 wrong. Okay, I see it. It went from 24 to 42.
18 Got it. Okay. Thank you so much.

19 DR. BADEN: Please keep that slide up.
20 Dr. Weina has a follow-up question.

21 DR. UMEH: Okay.

22 DR. WEINA: Yes. I just want to be clear

1 about some numbers, and that is Trial 303, there
2 were 22 patients that failed IAT and were put into
3 a rescue arm with maribavir. But there were also
4 in that same trial 48 patients who failed maribavir
5 and were then rescued with IAT.

6 Is that correct?

7 DR. UMEH: No. I think you're using the
8 word "rescue" interchangeably. For the purpose of
9 the study design -- can you still see our screen?
10 We have a blank screen?

11 DR. WEINA: No.

12 DR. BADEN: No. We see blank.

13 DR. UMEH: Is that from our end? Are we
14 fixing it? Okay, we're fixing it, but I'll keep
15 talking in the meantime.

16 So the study design had maribavir versus
17 IAT, 8 weeks/8 weeks. Then for a proportion of
18 patients who had been treated for at least 3 weeks,
19 because of the fact that the patients who raised up
20 their hand for this study really wanted to get
21 maribavir, what we did was is we said if you have
22 stayed in the study long enough, received enough

1 IAT, and you're not doing better, on an ethical
2 basis, we will allow you to proceed to this other
3 group called the rescue arm.

4 So that was rescue with maribavir based on
5 not meeting the criteria for improvement within the
6 study. That's separate from the results we showed
7 you, which is answering the question what happened
8 to patients who had cross-resistance, and should I
9 be concerned that when there's cross-resistance,
10 people can be treated? And I think the answer is
11 no; there isn't a concern because this remains very
12 susceptible to ganciclovir and the other agents.
13 We're not to confuse the word "rescue" in that
14 sense with "rescue" in the design.

15 DR. WEINA: Yes. I just wanted to be
16 clear -- whatever term you use, whether you use
17 "rescue" or any other term -- that there were
18 22 patients that went from the IAT arm and were
19 then put into the drug of choice arm, and on the
20 other side, there were 48 patients that were
21 originally out of 235, or 20 percent of them, who
22 were then subsequently treated with IAT.

1 Is that correct?

2 DR. UMEH: In the post-follow-up period.
3 And the reason for that is that maribavir was
4 available only for 8 weeks. So there was no
5 post-trial access to maribavir. So even if
6 Dr. Avery or Dr. Kotton wanted to treat that
7 patient with additional therapy with maribavir,
8 they couldn't do that. So they could only use what
9 they had, which was IAT, and that's why we're
10 presenting that data to you.

11 DR. WEINA: Okay. Thank you.

12 DR. BADEN: Dr. Green, you have a follow-on
13 question. And I'll remind panel members after you
14 ask your question, please uncheck your box and also
15 go on mute.

16 Dr. Green, a follow-on.

17 DR. GREEN: Yes. Thank you. This is Mike
18 Green, Children's of Pittsburgh. Just to follow up
19 on what Pete was just talking about, for those who
20 were originally on maribavir, and it seems like
21 they're now in that post 8-week time period and
22 then seemed to respond to ganciclovir or

1 valganciclovir, I'm just going to double-check.
2 Was that a group of individuals who at onset of the
3 study did not have resistance mutations against
4 ganciclovir and valganciclovir? Thank you.

5 DR. UMEH: No. This was a group of
6 individuals who at the beginning of the study did
7 not have any maribavir mutations and they developed
8 treatment-maribavir mutations.

9 I think I want to make sure I re-emphasize
10 that. So the primary outcome of this study was to
11 demonstrate virologic clearance against IAT.
12 Maribavir was superior to that. There were a
13 number of recurrences, some of which were caused by
14 resistance. If I show you our week 16 slide, which
15 is despite the recurrences, the maintenance of
16 effect at week 16, maribavir was still
17 statistically significantly better than the
18 comparator with respect to clearance of viremia.

19 So never mind that there was resistance,
20 never mind this accord. The potential for
21 maribavir to be better than the comparator at
22 week 16, 8 weeks after treatment had ended, was

1 still superior. So our recurrence rates, or
2 sustained cure rates at week 16, still demonstrated
3 a benefit of maribavir, and I think that's really
4 the message that we have.

5 Maybe I'll invite Dr. Avery here. What
6 we're looking at when we look at resistance is
7 we're comparing the pretreated population with the
8 population of patients naive.

9 Dr. Avery, do you want to comment?

10 DR. AVERY: Sure. I think it's also
11 important to draw a distinction here between, for
12 example, antimicrobial resistance in bacteria or
13 resistance in HIV. I think the clinical
14 significance of resistance mutations in this
15 setting, it does not always portend a failure of
16 therapy, as you've seen a number of these patients
17 were successfully treated. And I guess from the
18 clinical perspective, we really don't feel that the
19 resistance is the major issue. We feel that the
20 potential benefit for this extraordinarily sick
21 population with poorly tolerated drugs is very
22 high.

1 DR. BADEN: Thank you.

2 We have come to 10:41. We were supposed to
3 break at 10:40. There are still multiple panel
4 members with questions. What we shall do is take
5 the break. The agency will give their
6 presentation, we'll have clarifying questions with
7 the agency, and then I ask my Takeda colleagues to
8 be available for more clarifying questions later in
9 the presentations, as I want to make sure all panel
10 members get their issues addressed.

11 DR. UMEH: Thank you. Will do.

12 DR. BADEN: So we will take a quick
13 10-minute break. Panel members, please remember
14 that there should be no chatting or discussion of
15 the meeting topics with other panel members during
16 the break. We'll reconvene at 10:51 sharp. Thank
17 you.

18 (Whereupon, at 10:42 a.m., a recess was
19 taken.)

20 DR. BADEN: It is now 10:51, and we shall
21 resume. I would like to remind the committee
22 members to please take down their hands and check

1 boxes, as we have noted who has further clarifying
2 questions, and we will resume the questions to the
3 applicant later in the meeting.

4 At this time, we will now proceed with the
5 FDA presentation, starting with Dr. Pikis.

6 Dr. Pikis?

7 (No response.)

8 DR. BADEN: You're on mute if you are
9 talking, Dr. Pikis. We cannot hear you.

10 DR. PIKIS: Sorry.

11 **FDA Presentation - Andreas Pikis**

12 DR. PIKIS: Good morning, everybody. My
13 name is Andreas Pikis. I'm the medical reviewer
14 for this new drug application, and together with
15 Dr. Komatsu, the virology reviewer, we'll present
16 the data submitted under this NDA to support the
17 approval of maribavir for the treatment of CMV
18 infection and disease, resistant or refractory to
19 at least one of ganciclovir, valganciclovir,
20 foscarnet, or cidofovir.

21 The agenda includes the background, trials
22 targeted to a limited population: refractory CMV

1 infection or disease with or without genotypic
2 resistance; efficacy and safety data from the
3 phase 3 trial, 303; efficacy and safety data from
4 the phase 2 trial, 202, which is a supportive
5 trial; and the virology data from the phase 3
6 trial.

7 In the next two slides, I will try to
8 summarize the drug development milestones for the
9 use of maribavir for prophylaxis or treatment of
10 CMV infection in transplant patients. The
11 applicant initially developed maribavir for
12 prophylaxis. First, they conducted a phase 2
13 trial, Trial 200. That was a randomized, placebo-
14 controlled, dose-ranging trial, comparing 3 doses
15 of maribavir -- 300 milligram BID, 400 milligram
16 QD, and 400 milligram BID -- against placebo for
17 CMV prophylaxis and CMV seropositive stem cell
18 transplant recipients.

19 The results of that phase 2 trial
20 demonstrated fewer CMV infections or disease with
21 maribavir compared to placebo. However, there was
22 no-dose response. Based on those results, the

1 applicant selected the 100-milligram BID dose for
2 the two phase 3 prophylaxis trials, Trial 300 and
3 Trial 301.

4 The two phase 3 prophylaxis trials, one was
5 a stem cell transplant recipients superiority study
6 comparing maribavir to placebo, and the other one
7 was a noninferiority trial comparing maribavir to
8 oral ganciclovir in liver transplant recipients.
9 Both studies failed to meet the primary and key
10 secondary endpoints.

11 The lower dose selected, the 100-milligram
12 BID dose, was considered by the applicant as a
13 possible explanation for why the two phase 3
14 prophylaxis trials didn't meet the primary and key
15 secondary endpoints.

16 Subsequently, the applicant conducted two
17 new phase 2 trials with higher maribavir doses:
18 400-milligram BID, 800-milligram BID, and
19 1200-milligram BID. They conducted Trial 202 in
20 CMV resistant or refractory patients and Trial 203
21 in patients with asymptomatic CMV viremia.

22 Although no dose response was observed in

1 the phase 2 trials, the applicant selected the
2 400-milligram BID dose for further evaluation in
3 two phase 3 treatment trials, Trial 303 in patients
4 with CMV resistant/refractory and Trial 302 in
5 patients with asymptomatic CMV viremia. This is an
6 ongoing trial comparing maribavir versus
7 valganciclovir in stem cell transplant recipients
8 with CMV viremia. The NDA is based on the phase 3
9 trial, 303, and supportive data from the Trial 202.

10 In the next several slides, I will try to
11 summarize the efficacy initially for the phase 3
12 trial, Trial 303. First, I will describe the trial
13 design that was a randomized, open-label,
14 positive-controlled trial, maribavir versus
15 investigator-assigned treatment in transplant
16 recipients with CMV infections resistant or
17 refractory to treatment with ganciclovir,
18 valganciclovir, foscarnet, or cidofovir.

19 The treatment duration was up to 8 weeks.
20 The selected maribavir dose was 400-milligram BID.
21 The IAT dose was based on drug labels with dose
22 adjustment at the discretion of the investigators,

1 and of course the patients' clinical condition.
2 Upon completing the 8-week treatment, the patients
3 entered a 12-week follow-up period.

4 Patients randomized to the
5 investigator-assigned treatment were started on one
6 or two of the agents. If the patient was started
7 on two agents, they were allowed to discontinue one
8 of the two agents. Changes between the ganciclovir
9 and valganciclovir were permissible, as well as
10 changes in the dose or dosing regimen.

11 Patients in the IAT arm were not allowed to
12 add another agent. Also, switches to another
13 agent, with the exception of ganciclovir and
14 valganciclovir, were not allowed. Patients who
15 received the prohibited medications were considered
16 for the primary endpoint as failures.

17 The study included a maribavir rescue arm
18 for patients randomized to the investigation-
19 assigned treatment. Subjects were eligible for
20 maribavir after at least 3 weeks of treatment if
21 any of the following criteria were met: increased
22 CMV viral load; 1 or more log; subjects with

1 tissue-invasive CMV disease after being on
2 treatment for 3 weeks and met both of the following
3 criteria: had a decrease in viral load less than
4 1 log from baseline and symptoms of CMV disease
5 didn't improved or worsened.

6 The third point was CMV viremia clearance
7 was not achieved and the subjects demonstrated
8 intolerance to the IAT drug. For example, the
9 patients had severe neutropenia or increased
10 creatinine levels. Subjects who switched to the
11 rescue arm were considered failures in the primary
12 analysis.

13 The definitions of resistant/refractory for
14 the purpose of this trial is resistant/refractory
15 CMV patients were defined as follows: for
16 resistant, documented failure to achieve more than
17 1 log decline in CMV DNA levels either in the whole
18 blood or the plasma after at least an interval of
19 two or more weeks of treatment with IV ganciclovir,
20 oral valganciclovir, foscarnet, or cidofovir;
21 patients that had documentation of one or more CMV
22 resistance-associated amino acid substitutions to

1 ganciclovir, valganciclovir, foscarnet or
2 cidofovir.

3 Refractory patients had the same criteria
4 for the documented failure, however, genotypic
5 analysis didn't demonstrate any
6 resistance-associated amino acid substitutions
7 related to resistance to at least one of the four
8 drugs.

9 Stratification was based on two factors:
10 the transplant type, stem cell or solid organ, and
11 baseline CMV viral load. We have three brackets:
12 low, intermediate, and high viral load. The low
13 viral load was between 910 and 9100 international
14 units per mL; the intermediate, between 9100 to
15 less than 91,000; and the high viral load, more
16 than 91,000.

17 For population, all subjects had refractory
18 CMV infection with or without genotypic resistance.
19 The primary efficacy endpoint was the proportion of
20 subjects with confirmed clearance of plasma CMV DNA
21 at the end of study week 8. The clearance was
22 defined as two consecutive samples separated by at

1 least 5 days with DNA levels below the lower level
2 of quantification.

3 The key secondary endpoint applies to
4 patients who met the primary endpoint and confirmed
5 CMV viremia clearance and control of CMV symptoms
6 at study week 8 and maintenance through week 16,
7 which was 8 weeks off treatment. The primary
8 analysis population is all subjects randomized to
9 the study treatment.

10 This slide summarizes the primary efficacy
11 analysis, and it is clear that maribavir performed
12 much better compared to the IAT arm. Grossly,
13 56 percent of the subjects in the maribavir arm met
14 the primary endpoint compared to 24 percent in the
15 IAT arm, and of course that one was statistically
16 significant.

17 We were a little surprised with the very low
18 response in the IAT arm. Actually, we were
19 expecting more than 24 percent. We tried to find
20 the reasons for the failures, and we made a
21 comparison between the two treatment arms, the
22 maribavir and the IAT arms.

1 The results are shown in the next slide.
2 Overall, we had counted for subjects in the
3 maribavir arm who failed the primary endpoint,
4 which was 44 percent, and 89 subjects in the IAT
5 arm, which was 76 percent. We tried to group the
6 failures into two groups, those due to the
7 virologic failure and those to drug or study
8 discontinuation.

9 The virologic failure was similar between
10 the two groups, 34 percent and 36 percent in the
11 IAT arm. It was mainly due to some of the
12 patients; they never achieved levels lower than the
13 LLOQ, 20 percent in the maribavir, 30 percent in
14 the IAT. The breakthrough was higher in the
15 maribavir arm, 10 percent compared to 6 percent in
16 the IAT.

17 The failures due to study drug
18 discontinuation were significantly higher in the
19 IAT arm, 58 percent compared to only 9 percent in
20 the maribavir arm. That was mainly driven by the
21 adverse events, 22 percent in the IAT compared to
22 only 3 percent in the maribavir arm. The deaths

1 were similar between the two groups. However,
2 withdrawal of consent was much higher in the IAT
3 arm, 8 percent compared to less than 1 percent in
4 the maribavir arm. Other reasons were also higher
5 in the IAT arm compared to maribavir. A few
6 patients, three in each arm, remained in the study,
7 but were considered failures.

8 Two lines of this graph summarizes and shows
9 that the major effect of maribavir was mainly due
10 to drug study discontinuation rather than to the
11 virologic effect.

12 In the next two couple of slides, I will try
13 to present the sensitivity analyses of the primary
14 endpoint. This slide includes the subjects who met
15 the criteria of CMV viremia clearance at the time
16 of early discontinuation, and it still shows that
17 maribavir performed much better compared to the
18 IAT, 60 percent versus 44 percent. The adjusted
19 p-value was 0.001.

20 The next slide shows the confirmed CMV
21 viremia clearance at week 8 regardless of
22 prohibited anti-CMV treatment or maribavir rescue

1 therapy. Again, the gap is less compared to the
2 primary endpoint, but is still significantly
3 favoring maribavir. It was 59 percent versus
4 43 percent, with a p-value of 0.001.

5 I have two slides about the subgroup
6 analyses of the primary endpoint. The first one is
7 showing the results for the solid organ and the
8 stem cell transplant recipients. The effect is
9 similar between the two major groups, 56 percent,
10 and is much better compared to the IAT arm. The
11 IAT arm is relatively low, around 26 and
12 21 percent.

13 I don't have any slides for this, but the
14 efficacy was consistent across the type of solid
15 organ and the age groups, including patients more
16 than 65 years of age.

17 I would like to bring your attention to this
18 slide because one of the questions is mainly based
19 on this slide, and this is whether the provided
20 data support the approval of maribavir for the
21 treatment of patients who were refractory to
22 treatment without genotypic resistance. It's

1 obvious that in the resistant patients, the
2 efficacy of maribavir was significantly higher in
3 studies compared to the IAT. It was 63 percent
4 versus 20 percent. That one was very statistically
5 significant with a p-value less than 0.001.

6 With regards to refractory, the results were
7 favoring maribavir, 44 percent versus 32 percent.
8 It was not statistically significant, but the study
9 was not powered to show statistical significance.
10 However, the patients comprised with refractory
11 were about, totally, 40 percent of the total of the
12 study population.

13 Also, I will show that the Breslow-Day
14 p-value for interaction was statistically
15 significant, adjusting for the transplant type and
16 the baseline CMV DNA level. Grossly, this test
17 demonstrates the magnitude, that there was some
18 difference in the response between the two groups,
19 even though the refractory was heading in the same
20 direction as with the resistance.

21 Here we have some group analyses based on
22 the CMV syndrome or disease at baseline. We have a

1 very small number of patients with tissue-invasive
2 CMV disease or CMV syndrome. Totally, there were
3 only 29 subjects with CMV syndrome. We had 16 with
4 CMV syndrome and 13 with a tissue-invasive CMV
5 disease. Of the 16 with CMV syndrome, 9 were
6 assigned to maribavir and 7 to the IAT. Of the
7 tissue-invasive CMV disease, we had 13 subjects, 12
8 assigned to maribavir and only one to the IAT.

9 For patients who either had CMV syndrome or
10 disease, the efficacy was 57 percent in maribavir
11 compared to 25 percent in the IAT. For the
12 patients with CMV syndrome or before disease, it
13 was in the same direction, almost reaching
14 statistical significance as 0.07. However, as I
15 noted before, the number of patients was very
16 small, totally only 29 subjects.

17 Here we have the analysis by baseline viral
18 load, which was the second classification factor.
19 For starters, for less than 5,000 international
20 units per mL at baseline, the efficacy was -- okay.
21 Sorry. The IAT was more or less similar to all
22 groups, ranging around 25 percent, so I will

1 emphasize the results for the maribavir groups,
2 which is obvious that the lower the level, the
3 higher the efficacy.

4 For patients less than 5,000, the efficacy
5 was 67 percent; between 5,000 and 20,000, it was
6 46 percent; between 20,000 and less than 50,000,
7 43; and for patients more than 50,000, it was only
8 30 percent. It is obvious that we don't have too
9 many patients, particularly for the levels above
10 20,000.

11 Two points for this slide are that we had an
12 inclusion criterion that the minimum baseline CMV
13 DNA levels were supposed to be more than 1,000
14 copies per mL. However, approximately 20 percent
15 of the subjects in each treatment arm had the lower
16 levels, and that was based on the TaqMan assay.
17 Also, among the subjects with baseline CMV DNA
18 levels below 5,000, more than 60 percent of those
19 had CMV DNA levels less than 2,000. It was
20 67 percent in the maribavir group and 62 percent in
21 the IAT group.

22 In this slide, we have done the most

1 conservative approach of analysis and compared the
2 patients who completed 8 weeks of treatment. This
3 is, I can say, a little unlikely, for example, for
4 patients who received total 8 weeks of treatment
5 with cidofovir or with foscarnet. Totally, we have
6 only 37 subjects out of the 117 in the IAT who
7 completed 8 weeks of treatment. On the other hand,
8 we have 183 in the maribavir arm. The difference
9 was not statistically significant, even though it
10 was numerically favoring maribavir. It was
11 70 percent versus 59 percent.

12 The key secondary endpoint was the confirmed
13 CMV viremia clearance and control of the CMV
14 disease symptoms at study week 8 and maintenance
15 through week 16. Again, maribavir performed better
16 compared to the IAT, 19 percent versus 10 percent.
17 That one was statistically significant. However,
18 it is obvious that most of the subjects in both
19 groups couldn't maintain the CMV viremia clearance
20 through week 16.

21 Of the 131 subjects who met the primary
22 endpoint in the maribavir arm, only 44 maintained

1 the clearance through week 16, 8 weeks of
2 treatment. Also, for the 28 subjects who met the
3 primary endpoint in the comparator arm, the IAT,
4 only 12 were able to maintain the CMV viremia
5 clearance through week 16. Most of the failures in
6 both arms were due to CMV viremia relapses, off
7 treatment, with about 75 percent in the maribavir
8 arm and 69 percent in the IAT arm.

9 The next slide summarizes the all-cause
10 mortality and the timing of deaths. Mortality was
11 similar between the two treatment arms. We have
12 totally 27 subjects in the maribavir and
13 13 subjects in the IAT. Half of the patients
14 died -- almost half -- during the first 8 weeks.

15 The next slide summarizes the new onset of
16 symptomatic CMV infection; no difference between
17 the two treatment options. It was totally
18 6 percent of new onset symptomatic CMV infection.

19 In the next two slides, I will try to
20 summarize the phase 2 trial. Trial 002 was a
21 phase 2, randomized, dose-ranging trial in subjects
22 more than 12 years of age who underwent stem cell

1 or solid organ and had CMV infection, resistant or
2 refractory to treatment with ganciclovir,
3 valganciclovir, or foscarnet. The eligible
4 subjects were stratified by the transplant types,
5 stem cell or solid organ, and they were randomized
6 to one of the to one of the three maribavir doses:
7 400-milligram BID, 800-milligram BID, and
8 1200 milligram BID.

9 The subjects who received maribavir were
10 blinded, as well as the investigators. However, it
11 is obvious that there is no comparator arm in this
12 trial. The primary efficacy endpoint was the
13 proportion of subjects with undetectable CMV DNA
14 levels. For this study, it was defined as less
15 than 200 copies per mL in two consecutive samples
16 separated by at least 5 days, at any time, within
17 the first 6 weeks of treatment.

18 The efficacy results show no difference
19 between the three maribavir doses. Overall,
20 maribavir was efficacious for 70 percent of the
21 subjects. There were no appreciable differences in
22 the safety among the three treatment groups,

1 however, we had about 35 percent of CMV viremia
2 recurrence or relapse.

3 Now, I will invite Dr. Komatsu to present
4 the virology data from the phase 3 trial, and then
5 I will follow up with the safety summary.

6 **FDA Presentation - Takashi Komatsu**

7 DR. KOMATSU: Thank you, Dr. Pikis.

8 Good morning. My name is Takashi Komatsu,
9 and I am the clinical virology reviewer for this
10 NDA, and I will go over the virology data.

11 So first, a little bit of background for
12 maribavir. Just to remind you, maribavir is an
13 inhibitor of the protein kinase activity of HCMV
14 UL97, which results in the inhibition of the
15 phosphorylation of proteins.

16 Resistance to maribavir occurs as a result
17 of substitutions in both UL97 and UL27. Resistance
18 to ganciclovir occurs as a result of substitutions
19 in both UL97 and UL54, so important characteristics
20 of maribavir is that cross-resistance can occur
21 between maribavir and ganciclovir due to
22 substitutions in the UL97.

1 Over the next couple of slides, I will go
2 over the cross-resistance data between these two.
3 First on this slide, I will go over the ganciclovir
4 resistance-associated substitutions and the
5 cross-resistance data for maribavir. The top panel
6 represents the resistance-associated substitutions
7 of ganciclovir that confers substantial reduced
8 susceptibility to maribavir. We expect these to
9 impact maribavir treatment.

10 The bottom panel represents the ganciclovir
11 resistance-associated substitutions that confer
12 substantially less reduced susceptibility to
13 maribavir, and at the moment, we do not expect any
14 of these to impact maribavir treatment.

15 Of course, cross-resistance can occur in
16 both directions, so on this slide is maribavir
17 resistance-associated substitutions and the
18 cross-resistance data with ganciclovir. So again,
19 the top panel represents the maribavir
20 resistance-associated substitutions that confer a
21 substantial decreased susceptibility to
22 ganciclovir, and we expect that these will impact

1 ganciclovir treatment.

2 The bottom panel represents the maribavir
3 resistance-associated substitutions that confer
4 substantially less reduced susceptibility to
5 ganciclovir, and at the moment, we do not expect
6 any of these to impact ganciclovir treatment;
7 although we do note that a couple of these,
8 specifically the V353A and L397R, are within the
9 ball park for the shift in reduced susceptibility
10 that is considered clinically meaningful.

11 We looked at, from Study 303, the summary of
12 efficacy based on the presence of baseline
13 ganciclovir resistance-associated substitutions,
14 and the good news is that the presence of most of
15 the known ganciclovir UL97 resistance-associated
16 substitutions -- including those at position M460,
17 H520, C592, A594, L595, and C603, and these are
18 positions that are most frequently reported to
19 confer ganciclovir resistance -- did not appear to
20 have a significant impact on the efficacy of
21 maribavir.

22 Now, there were a handful of substitutions,

1 specifically the UL97 A594P or T, L595W, or the
2 recent net position, 597, where the efficacy was
3 numerically lower. But please note that numbers
4 for each of these substitutions were small. And
5 furthermore, we do not have a shift in
6 susceptibility to maribavir for any of these
7 substitutions, so we really can't make any
8 definitive conclusions for any of these.

9 Now, taking all of the data together, note
10 that subjects with ganciclovir of
11 resistance-associated substitution, conferring less
12 than 2.5-fold reduction in susceptibility to
13 maribavir, responded to maribavir therapy. The
14 reduction in susceptibility for maribavir
15 treatment-emergent, resistance-associated
16 substitution generally ranged from 4.5 to 81.

17 So taking these two ranges together, these
18 ranges indicate that the minimum fold shift for
19 maribavir associated with treatment failure due to
20 cross resistance, or breakpoint, is in the 2.6 to
21 4.5-fold change and may explain the variable
22 response that we saw at the positions that I

1 highlighted in the previous slide.

2 Now, we have a couple of examples that seem
3 to support this range. The first is that there was
4 one subject that had the UL97 L193F maribavir
5 resistance-associated substitution at baseline.
6 This substitution confers 2.64 reduced
7 susceptibility to maribavir, and this subject did
8 not meet the primary endpoint.

9 The second example is the UL97 F342Y
10 substitution. This substitution emerged in
11 ganciclovir treatment failures and is selected
12 clinically by maribavir. It confers 4.5-fold and
13 six-fold reduced susceptibility to maribavir and
14 ganciclovir, respectively.

15 This substitution emerged in 3 subjects who
16 failed maribavir treatment in Study 303. There
17 were 3 subjects in this Study 303 that had this
18 substitution at baseline. All three of these
19 subjects were initially in the IAT arm. One of
20 these subjects was rolled over to the maribavir
21 rescue arm, and this subject also failed in the
22 maribavir rescue treatment.

1 Additionally, there was one subject in
2 Study 202 who had this substitution at baseline,
3 and this subject failed to meet the primary
4 endpoint. So these two examples seem to fit the
5 proposed range at days in treatment.

6 I will now turn over to the treatment-
7 emergent maribavir-resistant substitutions from
8 Study 303. As Dr. Pikis has mentioned, maribavir
9 was superior to achieving viral load less than LLOQ
10 at week 8. However, there were a subset of these
11 patients in the maribavir arm who were a virologic
12 failure, 84 of these patients.

13 Among these 84 virologic failures, the
14 applicant provided 76 paired sequences, and
15 62 percent of these had one or more UL97
16 treatment-emergent maribavir resistance-associated
17 substitutions. Of note, of these, 47 percent had
18 maribavir resistance-associated substitution that
19 was cross-resistant to ganciclovir.

20 Additionally, 36 percent of the treatment
21 failures in the maribavir arm were virologic
22 failures and 9 percent failed for other reasons.

1 In comparison, in the IAT arm, 44 percent treatment
2 failures were virologic failures and 32 percent
3 failed for other reasons, for example,
4 discontinuation.

5 Now I will describe a little bit of the
6 relapse data from the subjects who achieved
7 confirmed viral load less than LLOQ at week 8. As
8 was already described by Dr. Pikis, there were a
9 substantial number of patients that relapsed once
10 they were taken off treatment. Most of the
11 relapses in both treatment arms occurred during the
12 first 2 weeks off of treatment, and by week 12, or
13 4 weeks off, at least 90 percent from both
14 treatment arms had relapsed. This is overall not
15 terribly surprising given that most of these
16 patients are still immunosuppressed.

17 The applicant has provided 48 paired
18 sequences among the subjects who experienced a
19 relapse in the maribavir arm, and 23 percent of
20 these patients had treatment-emergent maribavir
21 resistance-associated substitutions, among which
22 9 percent had maribavir resistance-associated

1 substitutions that is cross-resistant to
2 ganciclovir; so a substantially less rate compared
3 to the rate that was present in the on-treatment
4 virologic failures that were presented in the
5 previous slide.

6 I will now turn over to Dr. Pikis, who will
7 go over the safety data from the study.

8 **FDA Presentation - Andreas Pikis**

9 DR. PIKIS: Thanks, Takashi.

10 This slide provides an overview of the
11 treatment-emergent adverse events during the
12 treatment period. Almost all patients in both
13 arms, we had at least one adverse event. This is
14 not surprising, knowing the underlying disease and
15 too many medications that these patients are
16 taking.

17 Any treatment-related adverse event was
18 higher in the maribavir arm. It was 60 percent
19 compared to 49 percent in the IAT, and that one was
20 mainly driven by the taste disturbance, which is a
21 known common adverse event of maribavir from the
22 previous prophylaxis phase 2 treatment trials.

1 The serious adverse events were similar
2 between the two groups, 38 percent versus
3 37 percent in the IAT group. But serious adverse
4 events attributed to any relationship to the study
5 drug, it was much higher in the IAT, 14 percent
6 compared to only 5 percent in the maribavir arm.

7 Similarly, it was for the severe adverse
8 events a little higher in the IAT, 38 percent
9 versus 32 percent. But when the serious adverse
10 events were related to the study drug, it was much
11 higher in the IAT arm compared to maribavir,
12 21 percent versus 4 percent, and similarly was for
13 the adverse events leading to study drug
14 discontinuation. These differences cannot rule out
15 any potential bias in the study.

16 In this slide, we have the most common
17 adverse events in the maribavir arm. We have
18 events that occurred in more than 10 percent of the
19 subjects, and the most common was the taste
20 disturbance. It was 47 percent versus 4 percent.
21 This number is higher than the 36-37 percent that
22 Takeda presented, and this is because the taste

1 disturbance, we included all the occurrence of
2 ageusia, dysgeusia, hypergeusia, and taste
3 disorder. The next most common was the nausea,
4 21 percent; diarrhea, 19 percent; vomiting; and
5 fatigue, and these adverse events were similar in
6 incidence between the maribavir arm and the IAT
7 arm.

8 Here we have the most common adverse events
9 which led to the permanent discontinuation of the
10 study drug. Totally, we had 32 percent in the IAT
11 compared to only 13 percent in the maribavir arm.
12 The most common adverse events leading to study
13 drug discontinuation were those related to the
14 blood and lymphatic system disorders; for example,
15 neutropenia or thrombocytopenia. We have totally
16 11 percent in the IAT and no patients in the
17 maribavir arm. Similarly, the renal and urinary
18 disorders were much more common in the IAT arm,
19 10 percent, and no patients in the maribavir arm.
20 Infections and infestations mainly driven by CMV
21 infections were similarly between the two groups,
22 as well as gastrointestinal disorders.

1 In this slide, I summarize the selected
2 laboratory abnormalities, and we have measured ones
3 from our experience with the ganciclovir,
4 valganciclovir, and foscarnet. We have the
5 neutrophils, hemoglobin, platelets, and the
6 creatinine levels.

7 From this slide, you can see that the
8 differences between IAT and maribavir were not
9 significant. For subjects with less than 500, we
10 had 2 percent in the maribavir arm compared to
11 3 percent in the IAT; between 500 and 750, it was a
12 little higher, 6 percent versus 3 percent in the
13 maribavir arm; and between 750 to 1000 neutrophils
14 per microliter, it was similar between the two
15 groups.

16 For the hemoglobin, the most severe form was
17 less than 6.5 and was similar between the two
18 groups, and between 6.5 and 8, it was 15 in the
19 maribavir arm compared to 20 percent in the IAT.
20 The most severe, thrombocytopenia less than 25,000,
21 it was similar between the two groups, 5 percent,
22 and between 25,000 and 50,000, it was a little

1 higher -- similar, I can say, between maribavir and
2 IAT, 12 versus 9 percent.

3 Creatinine levels, more than 2.5 milligrams
4 per dL, were slightly higher in the IAT, 10 percent
5 compared to 7 percent in the maribavir arm.

6 Between 1.5 to less than 2.5 milligrams per dL, it
7 was slightly higher in the maribavir arm compared
8 to the IAT.

9 These laboratory abnormalities are not
10 consistent with the huge differences in the adverse
11 events, which led to study drug discontinuation;
12 for example, those related to the urinary chart
13 abnormalities and those related to the blood
14 discretions.

15 On this slide, I summarized Trial 303, which
16 had the strengths and the limitations of this
17 trial. Clearly, statistically, there was a
18 significant treatment effect on maribavir versus
19 the IAT arm for the primary endpoint. Also, most
20 of the sensitivity analyses supported the primary
21 endpoint. The taste disturbance was the most
22 common adverse reaction, but treatment

1 discontinuation due to that event was very, very
2 infrequent.

3 The limitations was the open-label design
4 and potential bias resulting in imbalance in drug
5 study discontinuation due to adverse events,
6 withdrawal of consent, or other reasons. Overall,
7 the treatment effect was due to drug/study
8 discontinuation. The proportion of virologic
9 failures was similar between the two arms, 34 and
10 36 percent.

11 Here we have a summary of the phase 2 trial
12 in the resistant/refractory, Trial 202. For the
13 strengths, we had similar activity with maribavir
14 in the same population as compared with the phase 3
15 trial, 303. The safety profile was similar to the
16 phase 3 trial. The limitations, of course there
17 was the absence of a comparator arm, no dose
18 response was demonstrated, and the baseline
19 resistance was very poorly defined in the phase 2
20 trial. We cannot differentiate resistance or
21 refractory for most of the enrolled subjects.

22 Here we have the overall conclusions of this

1 new drug application. Trial 303 demonstrated that
2 maribavir was statistically superior to the IAT in
3 the primary endpoint analyses. It was 56 percent
4 versus 24 percent. Sensitivity analyses supported
5 the superiority of maribavir over the IAT for the
6 primary efficacy endpoint. The study was limited
7 by the open-label design and potential bias.

8 Analysis of failures for the primary
9 efficacy endpoint demonstrated that the virologic
10 failure rates were similar in both arms, 34 percent
11 versus 36 percent. Overall treatment effect was
12 influenced by the imbalance in drug/study
13 discontinuation, which was 13 percent in maribavir
14 compared to 32 percent in the IAT. The treatment
15 effect was consistent across transplant type, age
16 groups, and CMV syndrome and disease, despite the
17 very small number of patients with these
18 characteristics.

19 The treatment effect was lower in subjects
20 without genotypic resistance. Refractory CMV was
21 44 percent versus 32 percent. The primary efficacy
22 endpoint results table in the maribavir arm were

1 mainly driven by subjects with baseline CMV DNA
2 levels less than 5,000 international units per mL.
3 It was obvious from the presentation there was an
4 inverse relationship between maribavir efficacy and
5 the baseline CMV DNA level.

6 There was no difference in mortality and no
7 difference in the new onset of symptomatic CMV
8 disease. We had a high rate of maribavir
9 resistance among the on-treatment virologic
10 failures, 62 percent. In many of those, almost
11 half of those, they had conferred cross-resistance
12 to ganciclovir or valganciclovir. Relapse of
13 treatment was observed in both arms, 50 percent in
14 maribavir compared to 39 percent in the IAT arm.

15 At this point, Takashi and I would like to
16 thank all of the people who helped in the review of
17 this challenging new drug application. We also
18 would like to thank the applicant for their
19 cooperation and their prompt responses whenever we
20 needed it.

21 I would like to thank everybody for your
22 attention.

Clarifying Questions

1
2 DR. BADEN: I would like to thank Dr. Pikis
3 and Dr. Komatsu for very clear and informative
4 presentations of complex data, and for being ahead
5 of schedule. It's always appreciated, as we have
6 many questions, I am certain.

7 We will now take clarifying questions for
8 FDA. Please use the raised-hand icon to indicate
9 that you have a question and remember to lower your
10 hand by clicking the raised-hand icon again after
11 you have asked your question. When acknowledged,
12 please remember to state your name for the record
13 before you speak and direct your questions to a
14 specific presenter, if you can.

15 If you wish for a specific slide to be
16 displayed, please let us know the slide number, if
17 possible. Finally, it would be helpful to
18 acknowledge the end of your question with a thank
19 you and the end of your follow-up question with,
20 "That is all for my question," so we can move on to
21 the next panel member.

22 If you would like to add your thoughts on

1 what another panel member or FDA staff is stating,
2 please use the green check mark icon when you are
3 done chiming in. Please remember to clear the
4 check mark. When you are done speaking, remember
5 to also go on mute.

6 I will start with the first question, while
7 my co-panel members raise their hands, and this is
8 to you, Dr. Pikis.

9 If we can go to slide 24, please, on
10 slide 24, Dr. Pikis, I think you give a very clear
11 presentation of the --

12 (Call Interrupted.)

13 DR. BADEN: Can somebody please clear
14 the -- you are on an FDA advisory committee meeting
15 call, so if you can please mute your line so we can
16 continue our deliberations.

17 On slide 24, if we can go to slide 24,
18 Dr. Pikis' talk, in this image, Dr. Pikis, I think
19 you present very nicely the analysis of failures of
20 the primary endpoint, showing -- somebody is not
21 scrolling the slides very well.

22 It is analysis of failures of primary

1 efficacy endpoint. On that image, you lay out that
2 the failures were both virologic and due to adverse
3 events from discontinuation. It appears, that due
4 to virologic failure, it was equivalent between the
5 two treatment arms, while drug discontinuation was
6 dramatically different.

7 Is that the correct interpretation, that
8 there isn't evidence of differential efficacy; it's
9 really tolerability that is driving the result? Is
10 that the correct interpretation?

11 DR. PIKIS: Yes.

12 DR. BADEN: I see Dr. Green has a follow-on
13 question.

14 Thank you, and Dr. Green, you have a
15 follow-on question.

16 DR. GREEN: Yes. Thanks, Dr. Baden. This
17 would be following up on your question.

18 If we could look at slide 30, which is now
19 looking, I think -- I'm going to get at the same
20 question that you asked. But now on slide 30, we
21 have stratified by the presence or absence of
22 resistance mutations, and therefore resistant

1 versus refractory, and I'm wondering if we could
2 have the same analysis that Dr. Baden was just
3 asking about; that is the percentage of failure
4 versus success in maribavir versus IAT, in those
5 with resistance and those without resistance, to
6 determine whether we're seeing a difference in the
7 subsets of response to therapy in terms of
8 virologic response versus a tolerability issue.

9 So really, just a follow-on to Dr. Baden's
10 question, but now as you've stratified results by
11 the presence or absence of resistance, if we can
12 stratify the results of why they failed in these
13 two subsets. Thank you.

14 DR. PIKIS: Thank you, Dr. Green. We did
15 not have enough time. Actually, the
16 [indiscernible] plans to do the same analysis for
17 the refractory as the slide we represented before,
18 but we still haven't done it. Thank you for the
19 question. It's really challenging, but I apologize
20 for not having the data.

21 DR. BADEN: Thank you. No. Thank you for
22 having the data you do have available and being

1 direct as to what data are available at this time.

2 Dr. Gea-Banacloche has another follow-on
3 question.

4 DR. GEA-BANACLOCHE: Yes. It's not really
5 related to that, and I don't know if it was
6 mentioned before frequently.

7 This is Dr. Gea-Banacloche from the NIH.
8 Frequently the FDA advises the sponsor on what kind
9 of design they will want, and in this particular
10 case, I wonder why they chose as the primary
11 endpoint, and if the FDA was involved in
12 recommending this, the result at 8 weeks; because
13 we almost never treat CMV for 8 weeks, particularly
14 CMV without disease, CMV viremia.

15 So frequently, in part because of the
16 toxicity of the drugs that we have, we create for a
17 few weeks until the viremia clears, and then we
18 stop. Precisely, because in this trial what we see
19 is a superiority of maribavir because of tolerance,
20 I wonder if there are data at 4 weeks and if the
21 FDA said, "No, no, we want to see the results at
22 8 weeks."

1 Can you answer either of those two
2 questions?

3 DR. PIKIS: I will try. Thank you for the
4 question. Look, this is a very challenging
5 population to do for the study design. Each one
6 has pluses and minuses, and I agree with you
7 strongly about the study design.

8 I mean, when you go to the treatment
9 guidelines, they say to treat the patients until
10 you have 2 negative cultures, and then you stop.
11 Of course, the company will say -- the
12 applicant -- I have a drug that is relatively,
13 according to them, safer to the other, and how I
14 will take advantage of that.

15 If I got the chance to do the study again as
16 a division, probably I would have done it a little
17 differently. Add-on therapy looks much better in
18 this population, and it's much more, I can say,
19 clear results. For example, you can use for
20 2-3 weeks foscarnet, then you add on one side the
21 maribavir, and the other side, the placebo, and it
22 will be much clearer to see the effect of

1 maribavir.

2 I think also my colleague Dr. Komatsu has
3 something on this to your questions.

4 DR. KOMATSU: Alright. Thank you. Part of
5 the reason why we wanted to go longer than 4 weeks
6 was we were looking at the viral decay kinetic data
7 from phase 2 studies, and we noted that based on
8 the decay kinetics, we didn't think that 4 weeks
9 was going to be sufficient to suppress or achieve
10 less than LLOQ in a substantial number of patients
11 by just from 4 weeks of treatment.

12 So on top of all the things that Dr. Piki
13 has mentioned, another reason for going longer was
14 because of the decay kinetic data from the phase 2
15 study. Thank you.

16 DR. GEA-BANACLOCHE: Thank you.

17 DR. BADEN: Moon, you pointed out that
18 Dr. Umeh may be able to clarify one of the
19 questions the committee members had.

20 Dr. Umeh, are you able to provide a point of
21 clarification?

22 DR. UMEH: Slide up.

1 So I think the conclusion that the cure
2 rates are equal may be a mistaken conclusion. The
3 failure rates are equal. Essentially, what we're
4 saying is that in 40 percent of the patients with
5 IAT, we didn't have a viral load. So the
6 presumption that the efficacy is driven by
7 tolerability only assumes that all the missing
8 viral loads are cleared. We don't know that.

9 But let's put that aside for a moment. Let
10 us look at all the patients when they actually had
11 a viral load. So every patient in the analysis
12 you're looking at now had a viral load, so the
13 question about discontinuation does not even arise
14 in this particular analysis. What you see is
15 everybody's cure rate got better, and maribavir
16 remains statistically, significantly better than
17 the comparator, eliminating tolerability as an
18 issue.

19 Now, tolerability in this indication is very
20 favorable because of the long-standing condition
21 caused by immunosuppression of which it continues
22 to go on. But even when you'd remove tolerability

1 as an advantage, we still have a true virologic
2 effect.

3 And maybe, Dr. Avery, you want to comment on
4 this.

5 DR. BADEN: No. I'm sorry. We will have a
6 chance to clarify with the applicant when we come
7 back to the applicant. This is a discussion with
8 the agency. I thought you had an on-point
9 clarification for one of the questions one of the
10 panel members had. We will come back and have
11 further discussion with the applicant. This is the
12 time to clarify things with the agency.

13 So I will go back to the clarifying
14 questions from the panel members, and, Dr. Umeh,
15 there will be time for you to explain this when we
16 come back to discuss with the applicant.

17 DR. UMEH: Thank you, Dr. Baden.

18 DR. BADEN: Thank you.

19 Dr. Bridges, I think you were next with
20 clarifying questions for the agency.

21 DR. BRIDGES: Yes. Thank you.

22 I have a question about a slide that I

1 believe was presented by Dr. Pikis. It's the slide
2 that shows the hematologic laboratory abnormalities
3 compared between the two treatment groups.

4 Can we bring that slide up? And my question
5 is, specifically, how the values for this
6 comparison were chosen. I think it's important
7 because the issue of whether there was bias in
8 evaluating hematologic abnormalities or choosing to
9 discontinue drug because of hematologic values,
10 given that this study was unblinded, I think is one
11 of the key questions in addressing a potential
12 weakness in this study.

13 I'm sorry. I'm just waiting for the slide
14 to come up.

15 I don't know if these numbers represent an
16 average of the values obtained per patient over the
17 course of the study versus the lowest value that
18 was ever seen in a patient or how these numbers
19 were derived. And without knowing that, I don't
20 really know how to interpret them.

21 DR. BADEN: Dr. Bridges, do you know the
22 slide number, again, that you are looking for?

1 DR. BRIDGES: I'm sorry, I don't. It was
2 the second presenter, and it was a presentation
3 of --

4 DR. KOMATSU: Fifty-four.

5 DR. PIKIS: Fifty-four.

6 DR. BRIDGES: Thank you.

7 DR. BADEN: Fifty-four. Thank you.

8 (Pause.)

9 DR. BRIDGES: It may be that the FDA can
10 address the question even without having the slide
11 in front of us.

12 DR. PIKIS: Okay. The slide that is
13 presented, it has the laboratory abnormalities
14 based on the central labs. We did a single
15 analysis based on both the central labs and the
16 local labs because the central labs would run
17 [indiscernible] these tests every 2 weeks. So we
18 analyzed the same things based on both, the local
19 and central labs, and the results were almost
20 identical with slight differences.

21 We were very surprised, to be honest, to see
22 that neutropenia was not too much different between

1 the two arms.

2 DR. BRIDGES: Well, that's --

3 DR. PIKIS: It was very surprising to us.

4 And similarly, it was surprising to us there was no
5 difference in the nephrotoxicity because of the
6 foscarnet, but that's what we got.

7 DR. BRIDGES: But that doesn't quite answer
8 my question; I'm sorry. For example, if we look at
9 neutrophils less than 500 and we say 2 percent of
10 patients had that in the maribavir arm, does that
11 mean that a single patient had that as their -- no;
12 a patient had that as their lowest value one time
13 versus some kind -- if you look at over time, how
14 much time did they --

15 (Crosstalk.)

16 DR. PIKIS: Yes. These are the lowest
17 values.

18 DR. BRIDGES: So that might account for the
19 surprising results, right? Because you wouldn't
20 discontinue a drug for one abnormal reading, but
21 you would discontinue it for a persistent very
22 abnormal reading.

1 So I think it would be important to
2 understand how much time did a patient spend with
3 these abnormal values, and that might unveil a
4 difference between the two groups.

5 DR. PIKIS: Your question?

6 DR. BRIDGES: That's the end of my question.

7 DR. BADEN: Thank you, Dr. Bridges.

8 If the agency doesn't have any direct
9 response, because I think that's a clarifying
10 framing that Dr. Bridges provided, then we can go
11 to Dr. Bollard?

12 DR. BOLLARD: Yes. Thank you so much.
13 Mine's a clarifying question from previously for
14 slide 30. Just as context, I am a bone marrow
15 transplant physician in addition to my other day
16 jobs. I note that in the study, 40 percent of your
17 patients are bone marrow transplant recipients.
18 Furthermore, this patient population seems a
19 relatively good prognosis with pretty low rates of
20 graft-versus-host disease in the range of 7 to
21 10 percent, which is low in this patient
22 population.

1 So my question here is, I know on slide 29,
2 you don't show any difference between the solid
3 organ transplant recipients and the BMT patients,
4 but in this slide, do we have breakdown where the
5 resistant group was skewed to a BMT population or
6 not?

7 The reason I'm asking this is because, as
8 you know, BMT patients, especially if they don't
9 have GVHD over an 8-week period, will be weaning
10 their immune suppression. So especially if they
11 were recipients of donors who were CMV positive,
12 and half of your BMT patient population was, then
13 they will be recovering endogenous CMV-specific,
14 T-cell immunity; so again, would give a better
15 prognosis or outcome.

16 So I know the numbers are small, but I'm
17 interested if we have that data.

18 DR. PIKIS: I --

19 DR. BADEN: If the agency -- I'm sorry. Go
20 ahead, Dr. Pikis.

21 DR. SMITH: I can answer, Andreas.

22 DR. PIKIS: Okay. My colleague, Dr. Smith,

1 will reply to this.

2 DR. SMITH: So for refractory subjects
3 without resistance, approximately 70 percent were
4 stem cell transplant recipients, whereas with
5 resistance, about 80 to 85 percent were solid organ
6 transplant, recipients. They had a lot more solid
7 organ transplant recipients. So you had a lot more
8 solid organ transplant recipients who had
9 resistance at baseline, and a lot more stem cell
10 transplant recipients who were refractory without
11 resistance.

12 DR. BOLLARD: That's helpful.

13 DR. BADEN: Thanks.

14 Dr. Haidar, I see you have a follow-on
15 question.

16 DR. HAIDAR: Yes. This is Ghady Haidar from
17 the University of Pittsburgh. I just have a
18 follow-up -- and not to belabor the point about the
19 lab abnormalities table -- and I'm just confused
20 about the renal failure data. I mean, given that a
21 lot of foscarnet and maribavir were used in the IAT
22 arm, I'm not sure how you can have the numbers be

1 so close to the maribavir arm. I was wondering if
2 someone could comment on that. Thanks.

3 DR. PIKIS: I want to project one of the
4 backup slides.

5 DR. BADEN: While you are pulling up the
6 backup slide, just to the applicant, if there are
7 additional clarifying data that you would like to
8 present based on the questions you are hearing, we
9 will have time to do that after lunch and when we
10 come back to clarifying questions to the applicant.
11 So please do consider any clarifying information
12 that you think will be helpful for the committee.

13 DR. UMEH: Thank you. Will do.

14 DR. BADEN: Back to you, Dr. Pikis.

15 DR. PIKIS: Can you project, please,
16 slide 72?

17 Here we look on a similar thing. We look on
18 the grade 3 and grade 4 abnormalities, and we see
19 that we had 3 percent in the maribavir arm compared
20 to only 2 percent for the grade 3 creatinine
21 increase. For the grade 4, the most severe, we had
22 no patients from either arm.

1 Also, slide 73, the next slide, sometimes
2 because these patients, they have abnormal values
3 at baseline because of the underlying disease and
4 the different drugs, we don't know what they have,
5 so we tried to do an analysis of the shifts of
6 three grades or four grades compared to the
7 baseline.

8 Here, we have for the creatinine increase,
9 we had for the three-grade shift -- for example, if
10 the patient was at grade 1 for example at baseline,
11 and then he moved to grade 4, which is the most
12 severe, or he got zero at baseline, normal, and he
13 moved to grade 3, we had only three subjects in the
14 maribavir arm and no one in the IAT. Similarly,
15 for four-grade shift, there was no subjects either
16 in the maribavir arm or in the IAT arm. It was
17 very surprising to us, but that was the data.

18 DR. BADEN: Thank you.

19 I see Dr. Banacloche has a follow-on
20 question.

21 DR. GEA-BANACLOCHE: Yes. Do you think that
22 that could be because of the open-label design,

1 that the physician sees a trend of the creatinine
2 or a trend of the neutrophils, and then declares
3 failure of the foscarnet, or intolerance to
4 foscarnet or ganciclovir, and then switches the
5 patient to maribavir?

6 DR. PIKIS: That may cause a potential bias
7 in the study, there is no doubt. How much, I
8 cannot answer. I don't have any measuring tape to
9 say this person was biased and the other one was
10 not biased, but clearly there is bias in the study,
11 and you are correct.

12 DR. BADEN: Thank you.

13 I see Dr. Bridges has a follow-on question.

14 DR. BRIDGES: Yes. Thank you very much.

15 I guess I would like to ask the FDA if they
16 agree that we would really need to see the
17 persistence of these laboratory abnormalities to
18 have it contribute to any evaluation of bias,
19 because I am concerned that the way that the data
20 are presented might suggest bias, but don't really
21 give us the whole picture.

22 DR. PIKIS: We absolutely agree with your

1 remark that we don't have the complete picture. As
2 I said before, there is a bias. How much is the
3 bias, I don't know. But clearly, I mean, you
4 cannot rule out any of the bias in this kind of
5 trial, and it's normal, considering that it's an
6 open-label study. The IAT drugs have
7 characteristics adverse events; I mean, all
8 drugs -- valganciclovir, ganciclovir, foscarnet,
9 and, cidofovir. Because of the experience for so
10 many years, we know there are adverse events.

11 DR. BIRNKRANT: This is Debbie Birnkrant.

12 DR. BADEN: Thank you. And I think we have
13 one last question, and then we can work on the
14 lunch timing.

15 Dr. SIBERRY: Thanks very much, Chair.
16 George Siberry here.

17 Back on slide 30, I understood Dr. Birnkrant
18 at the beginning to say the FDA guidance for trials
19 of refractory and resistance CMV disease should be
20 powered for overall effects and then have subgroup
21 consistency. And what I note here is that we have
22 the overall effect, that the point measurements are

1 in the same direction but of lower magnitude for
2 refractory, and that you highlighted the
3 interaction.

4 So I wanted FDA to comment directly on
5 whether this meets the expectation set out in that
6 guidance, or not.

7 DR. PIKIS: As your input, from our
8 perspective, as we presented before, yes,
9 numerically it's higher in the refractory. It's a
10 very complicated issue. It's in the same
11 direction. There are some limitations in the
12 trial, and that is the major issue that we really
13 ask your input.

14 I mean, it's easy for me to say my opinion,
15 or anyone, but I think for us, the job is to try to
16 present the data objectively and let the experts in
17 the field -- again, the advisory committee -- to
18 make the recommendations on this issue.

19 I think Dr. Birnkrant before made a couple
20 of comments.

21 DR. SIBERRY: I'm sorry. Did you say
22 somebody else was going to make a comment?

1 DR. PIKIS: Yes. Dr. Birnkrant would like
2 to comment.

3 (No response.)

4 DR. BADEN: You are muted if you are
5 talking, Dr. Birnkrant.

6 DR. PIKIS: They are trying to arrange the
7 problem while we wait.

8 DR. BADEN: Thank you.

9 DR. BIRNKRANT: Okay. I can respond now.

10 DR. BADEN: Thank you.

11 DR. BIRNKRANT: Thank you.

12 That is true what Dr. Siberry said, that
13 Trial 303 does meet the standard that was outlined
14 in the guidance document on cytomegalovirus and
15 transplantation. Keep in mind that this is a
16 guidance document.

17 The other thing I wanted to bring up again
18 with regard to the adverse reactions/adverse events
19 that were seen and the question raised about why
20 are they seen in both arms, I think we have to
21 still keep in mind that this is a very sick patient
22 population with multiple comorbidities in addition

1 to polypharmacy of perhaps toxic therapeutics. I
2 think we should also keep in mind that those on the
3 maribavir arm were able to tolerate maribavir for
4 almost twice as long as those receiving IAT
5 therapeutics. Thank you.

6 DR. BADEN: Thank you.

7 DR. SIBERRY: Thank you, Dr. Birnkrant.

8 And, Chair, I have one quick final question.
9 May I?

10 DR. BADEN: Please.

11 DR. SIBERRY: Slide 44, that is about the
12 resistance mutation pUL97 C480F. I heard FDA claim
13 that this would have an impact on ganciclovir
14 activity. I thought I heard the sponsor suggest
15 that ganciclovir could still be expected to be
16 active and note the fold change is a bit at the
17 borderline.

18 So could FDA clarify the certainty about the
19 impact of this mutation on clinical ganciclovir
20 activity? Thank you.

21 DR. KOMATSU: Sure. Thank you.

22 First of all, I thank you for the question.

1 Generally speaking, overall, ganciclovir
2 resistance-associated substitutions, the general
3 rule of thumb is anything above two-fold is
4 generally considered to be clinically meaningful,
5 and C480F certainly fits that criteria.

6 Now, I definitely agree with the applicant
7 that with patients with this substitution,
8 especially if they had this substitution, probably
9 can be first treated with ganciclovir again for
10 what is typically considered low-grade resistance
11 to ganciclovir. Anything less than five-fold, the
12 treatment guideline is to change the ganciclovir
13 dose to treat such patients.

14 Now, with respect to the applicant's data, I
15 would say the idea for the 48 patients -- we just
16 recently received this, so we haven't been able to
17 do a thorough analysis of this. So I would
18 definitely ask the applicant to clarify if I
19 misrepresent any of their data. But when we
20 dissect those 48 patients, at the end of the day,
21 what we were really concerned about for these
22 substitutions is specifically the cross-resistance

1 to ganciclovir.

2 We do know mechanistically, foscarnet and
3 cidofovir are not cross-resistant to maribavir and
4 certainly are, of course, an option, so we were
5 really more focused on ganciclovir; specifically on
6 ganciclovir.

7 Now, amongst those 48 patients that were
8 re-treated, our understanding is that eight of
9 those patients were treated with ganciclovir only
10 and, again, all eight of those patients had the
11 C480F substitution. So again, I agree with what
12 was done, and they probably can be treated with
13 ganciclovir.

14 I should note that of those eight patients,
15 seven of those patients were refractory, so they
16 didn't have preexisting ganciclovir
17 resistance-associated substitutions. So when they
18 failed maribavir, this substitution was the only
19 substitution that they had that would be resistant
20 to ganciclovir for 7 of the 8 patients.

21 Now, it was certainly encouraging that all
22 eight responded, so that's definitely great news.

1 But one of the things that typically happens with
2 quote/unquote, "low-grade ganciclovir resistance
3 substitutions," is that they may respond initially
4 when they get dose-adjusted ganciclovir. But a
5 subset of those patients is going to get additional
6 ganciclovir resistance-associated substitutions,
7 and ultimately may end up failing.

8 Now again, based on the 8 patients, none of
9 those patients acquired additional ganciclovir
10 substitutions, so that's certainly encouraging.

11 But based on 8 patients, I don't think we can
12 definitively say that cross resistance will not be
13 an issue. I think we will need a little bit more,
14 a bigger denominator, to make that conclusion.

15 Thank you.

16 DR. SIBERRY: Thank you very much, and
17 thanks to the chair.

18 DR. BADEN: Thank you for clarifying those
19 issues.

20 We will now break for lunch. We will
21 reconvene at 1:00 p.m. Eastern time. Panel
22 members, please remember that there should be no

1 chatting or discussion of the meeting topics with
2 other panel members during the lunch break.
3 Additionally, you should plan to rejoin around
4 12:45 to ensure you're connected before we
5 reconvene at 1 [o'clock].

6 I will also just ask the applicant to
7 prepare any clarification, as we will come back to
8 clarifying issues with the applicant and
9 potentially the agency after the open public
10 session, which is approximately 1 to 2 o'clock.

11 Thank you all, and we will restart at
12 1 o'clock sharp.

13 (Whereupon, at 12:17 p.m., a lunch recess
14 was taken.)

15
16
17
18
19
20
21
22

1 A F T E R N O O N S E S S I O N

2 (1:00 p.m.)

3 **Open Public Hearing**

4 DR. HOFFMAN: It is now 1 o'clock, so it is
5 time for us to resume. We will now begin the open
6 public hearing session.

7 Both the FDA and the public believe in a
8 transparent process for information gathering and
9 decision making. To ensure such transparency at
10 the open public hearing session of the advisory
11 committee meeting, FDA believes that it is
12 important to understand the context of an
13 individual's presentation.

14 For this reason, FDA encourages you, the
15 open public hearing speaker, at the beginning of
16 your written or oral statement to advise the
17 committee of any financial relationship that you
18 may have with the sponsor, its product, and if
19 known, its direct competitors. For example, this
20 financial information may include the sponsor's
21 payment of your travel, lodging, or other expenses
22 in connection with your participation in the

1 meeting.

2 Likewise, FDA encourages you, at the
3 beginning of your statement, to advise the
4 committee if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your statement, it will not preclude you from
8 speaking.

9 The FDA and this committee place great
10 importance in the open public hearing process. The
11 insights and comments provided can help the agency
12 and this committee in their consideration of the
13 issues before them.

14 That said, in many instances and for many
15 topics, there will be a variety of opinions. One
16 of our goals for today is for this open public
17 hearing to be conducted in a fair and open way,
18 where every participant is listened to carefully
19 and treated with dignity, courtesy, and respect.
20 Therefore, please speak only when recognized by the
21 chairperson. Thank you for your cooperation.

22 Speaker number 1, your audio is connected

1 now. Can you please introduce yourself? Please
2 state your name and any organization you're
3 representing for the record.

4 Speaker number 1, please.

5 MR. AMBROSE: Yes. Good afternoon, and
6 thank you for the opportunity to speak before this
7 committee today. My name is Bret Ambrose. I have
8 no financial disclosures to acknowledge. I'm a
9 60-year-old retired electronic sales manager in the
10 military and aerospace industry and a volunteer
11 ambassador for my local organ procurement
12 organization, Midwest Transplant Network.

13 Today, I am pleased to be representing
14 myself, as well as those future patients who might
15 benefit from my experience. I'm speaking to you
16 from my home on the Lake of the Ozarks in Central
17 Missouri, where I reside with my wife of 31 years,
18 Brenda, and our Goldendoodle, Body [ph].

19 As a young child, I was diagnosed with
20 cystic fibrosis in the mid 1960s at a time when the
21 life expectancy for a CF patient was approximately
22 10 years of age. I was blessed to lead a

1 reasonably normal life despite my continuously
2 declining lung function, which had plummeted to
3 less than 10 percent by December of 2013.

4 On September 23, 2014, I was fortunate to
5 receive a life-saving double-lung transplant at the
6 University of Pittsburgh Medical Center. I was
7 administered two years of oral valganciclovir
8 prophylactically for CMV, per protocol, for a
9 high-risk, CMV-positive donor/CMV-negative
10 recipient case. During that time, I also developed
11 chronic kidney disease due to my immunosuppressant
12 medications that eventually required me to be
13 listed for a kidney transplant.

14 Within two weeks after discontinuing
15 prophylaxis, that would be October of 2016, I first
16 tested positive for CMV with no symptoms. I
17 immediately restarted oral valganciclovir to no
18 avail. By early November, I had developed severe
19 ulcers and I had lost 15 to 20 pounds in 2 to
20 3 days due to severe diarrhea as my CMV PCRs topped
21 out at 580,000 international units.

22 I had a PICC inserted and commenced

1 IV ganciclovir for several months, and the symptoms
2 subsided. Unfortunately, I could never clear the
3 virus, and a mutation test revealed that my CMV had
4 mutated at UL97 and had become resistant. At that
5 point, I was in a real predicament, as my GFR was
6 not sufficient to be enrolled in the phase 3
7 maribavir trial, and the only available treatment
8 for my situation, foscarnet, was extremely
9 nephrotoxic and would likely drive me into a
10 lifetime of dialysis. I had also been told that
11 the mutated CMV diagnosis would likely exclude me
12 from receiving a donated kidney.

13 I was admitted to the University of
14 Pittsburgh Medical Center in early April 2017 and
15 commenced IV foscarnet with aggressive hydration to
16 assist and protect my kidneys. After several days
17 of this treatment, my blood work showed little to
18 no change in CMV levels, but my GFR had improved
19 just enough to qualify for that trial.

20 I enrolled in the phase 3 trial and
21 randomized, fortunately, to maribavir. Within two
22 weeks, I had a CMV PCR test yield CMV not detected,

1 the only side effect being mild dysgeusia, which I
2 described as a slightly metallic taste with each
3 dosage; in my opinion, a small price for the
4 achieved result.

5 I completed the trial over the next several
6 months and discontinued the maribavir. With the
7 exception of a brief breakthrough CMV episode that
8 was cleared with oral valganciclovir two years
9 later, I have had not detected or detected but too
10 low to count CMV PCR since. It's difficult to
11 describe the relief and elation that I experienced
12 following the maribavir trial and my CMV clearance.
13 I'll try to do so for you.

14 If you're familiar with cystic fibrosis, you
15 can understand that my normal life that I alluded
16 to earlier included multiple time-consuming lung
17 clearance treatments daily, as well as full-time
18 oxygen. Imagine going through the rigors of
19 transplant surgery, experience the joy and freedom
20 of a new life, and then because of a CMV diagnosis
21 and the lack of an approved and safe effective
22 treatment, that you could be forced to revert to a

1 very limited life style due to dialysis
2 requirement.

3 Now, imagine how you would feel if there was
4 a safe and effective medication like maribavir that
5 is approved and commercially available that could
6 prevent the negative scenario that I described. I
7 ask that you consider the data to take into account
8 the roller coaster of emotions that patients in a
9 similar situation as me are confronting on a
10 regular basis.

11 Hopefully, you'll be able to make a positive
12 decision for this medication, maribavir, that was
13 so effective in my case. I thank you very much for
14 your time and attention today.

15 DR. BADEN: Thank you.

16 Will speaker number 2 begin and introduce
17 yourself? Please state your name and any
18 organization you're representing for the record.

19 MR. WATSON: Hello. I am Bill Watson. I
20 have no financial disclosures. I'm an IT
21 professional. I live in Westfield, Massachusetts.
22 Here's my story.

1 In 1994, I lost my kidney due to IgA
2 nephropathy and went on dialysis. I was 30 years
3 old. After nine months on dialysis, my sister
4 donated a kidney. In 2013, I was diagnosed with
5 CMV retinitis. By the time I was diagnosed, I had
6 lost vision in my right eye and I was losing sight
7 in my left eye.

8 Fortunately, and as a retina specialist at
9 Mass Eye and Ear, Dr. Varvares, still says to me
10 today, "I need to thank God, not him, that I can
11 still see." I was treated with valganciclovir. I
12 stayed on this medication as a preventive measure,
13 as I was immunosuppressed due to the transplant and
14 I needed to be protected against the CMV.

15 In 2017, so about four years later, I became
16 very ill, lost nearly 60 pounds, and it was
17 discovered that I now had CMV colitis. The CMV had
18 become resistant to valganciclovir.

19 I spent two weeks in the hospital and really
20 began to wonder if this was the end for me, if I
21 was going to end up in a hospice. There was a lot
22 of concern about the impacts of foscarnet on my

1 transplanted kidney, but there are so few options
2 to treat CMV. Fortunately, I was asked to
3 participate in the trial for maribavir. Within a
4 week, I felt better. After a couple weeks, the CMV
5 wasn't detectable. Life began to return to normal.
6 I returned to work. My weight started to go back
7 up. Then the trial ended, and I no longer had
8 access to the medication.

9 A few months later, now in 2018, I started
10 to have vision issues in my last working eye. The
11 CMV had come roaring back. This time there were no
12 options, no options for maribavir. So this time I
13 was hospitalized with a line in my chest for two
14 weeks of aggressive foscarnet treatment IV,
15 including foscarnet directly shot in my eye.

16 To protect the kidney, I was flooded with
17 fluids in between doses of the foscarnet. My
18 creatinine did rise, my legs swelled, my blood
19 pressure rose, but in the end, it did treat the
20 CMV. Without any good alternative meds, I was
21 stripped of all my immunosuppression meds so that
22 my own immune system could re-establish itself to

1 see if it could fight the CMV. The thinking was
2 better to be on dialysis again than blind or dead.
3 If you have never been on dialysis, this isn't as
4 clear-cut an answer as you might think. I was also
5 put on Prevymsis to help hold back the CMV from
6 returning.

7 Now I live in constant worry with, will my
8 transplant kidney reject, will the CMV return, and
9 can I survive another round of foscarnet? Will I
10 never ever be able to get a transplant again if
11 needed? Will CMV return and strike somewhere else
12 in my body?

13 It's recommended that I don't get the COVID
14 vaccine due to worry it may trigger a return of the
15 CMV or kidney rejection. So that has completely
16 isolated me from the rest of the world because, for
17 me, the pandemic is still ongoing.

18 There are so few options to treat CMV. This
19 really wears on you. Maribavir worked for me. It
20 allowed me to return to normal life while also not
21 losing my transplanted kidney or my sight. The
22 transplant is a gift from my sister, and I'm glad

1 that I was able to retain it.

2 Taking maribavir was just like taking a
3 couple of Tylenol. I had no notable side effects
4 that I noticed. Myself and all those who suffer
5 from CMV need more options for treatment. Thank
6 you for listening and your time.

7 DR. BADEN: Thank you.

8 Will speaker number 3 begin and introduce
9 yourself? Please state your name and any
10 organization you're representing for the record.

11 (No response.)

12 DR. BADEN: Speaker number 3, you are on
13 mute. We cannot hear you.

14 (No response.)

15 DR. BADEN: We shall move to speaker
16 number 4. Will speaker number 4 please begin and
17 introduce yourself. Please state your name and any
18 organization you are for the record. Thank you,
19 speaker number 4.

20 DR. SILVEIRA: Okay. Can you hear me?

21 DR. BADEN: We can. Thank you.

22 DR. SILVEIRA: Good afternoon. I am

1 Fernanda Silveira. I am associate professor of
2 medicine, transplant infectious disease physician,
3 and director of clinical operations for Transplant
4 Infectious Diseases at the University of Pittsburgh
5 and University of Pittsburgh Medical Center. I
6 have been in practice for 15 years, and I care for
7 patients who underwent solid organ transplants;
8 patients with hematologic malignancies; patients
9 who received CAR-T; and patients who received
10 hematopoietic cell transplants.

11 I was a site principal investigator on the
12 Shire-Takeda phase 2 and 3 trials of maribavir for
13 refractory and resistant CMV, SOT, and HCT
14 recipients, and received compensation for
15 participation in a Takeda advisory board meeting.
16 I am not being compensated for my time today.

17 In my practice, I care for several patients
18 with CMV infection and disease. Besides suffering
19 from the effects of CMV, these patients experience
20 significant side effects from CMV treatment.
21 Occurrence of severe leukopenia and neutropenia
22 with val and ganciclovir is very common and leads

1 to drug interruptions, need for granulocyte growth
2 factors, and secondary infections.

3 Furthermore, a subset of patients experience
4 refractory and resistant CMV, requiring the use of
5 foscarnet, which carries a substantial risk of
6 nephrotoxicity, sometimes requiring the need for
7 renal replacement therapy. As an example, I would
8 like to mention two patients who are currently
9 under my care. These are not exceptions, but
10 rather what we commonly see in practice.

11 The first is a 75-year-old man who received
12 a lung transplant in 2015 for idiopathic pulmonary
13 fibrosis. For the last several months, he has had
14 CMV viremia. He was placed on valganciclovir and
15 tolerated it well, and viral load improved
16 initially. But his viremia rebounded and reached
17 levels higher than prior to therapy.

18 CMV resistance testing showed the presence
19 of a UL97 mutation that confers ganciclovir
20 resistance. His creatinine was 1.5, consistent
21 with a creatinine clearance of approximately 50 mL
22 per minute. Due to the lack of other options, he

1 was admitted for initiation of foscarnet, which
2 can't be started as an outpatient due to the need
3 for very close monitoring of renal function,
4 electrolytes, and need for IV hydration.

5 Nine days after initiation of foscarnet,
6 despite concomitant IV hydration, his creatinine
7 increased to 2.1 and his creatinine clearance
8 decreased to approximately 30 mL per minute. This
9 patient remains without other options, and he's at
10 risk of further progression of renal failure and
11 need for renal replacement therapy.

12 Another patient is a 57-year-old female who
13 had a previous autologous hematopoietic cell
14 transplant for multiple myeloma and subsequently
15 had two kidney transplants. She was diagnosed with
16 CMV GI disease refractory and resistant to
17 ganciclovir. She's currently admitted into the
18 hospital for IV foscarnet. Her creatinine on
19 admission, prior to foscarnet, was 0.9.

20 After only a week of therapy, her creatinine
21 increased to 2.1, and foscarnet had to be held due
22 to fear that she will lose her second kidney

1 allograft. An application for maribavir for
2 compassionate use was submitted and luckily was
3 accepted, and maribavir was started yesterday.

4 These two cases are not exceptions.
5 Transplant ID physicians encounter situations like
6 these regularly, and the use of foscarnet leads to
7 severe comorbidities, including having patients
8 progress to advanced chronic kidney disease, and
9 even to dialysis. It also adds the burden to the
10 patient due to the need for hospital admission and
11 very frequent blood draws for monitoring.

12 We clearly need in our armamentarium drugs
13 that are safer and effective. The availability of
14 maribavir will fulfill a large unmet need in the
15 management of CMV. I thank you very much for your
16 time.

17 DR. BADEN: Thank you.

18 Will speaker number 5 begin and introduce
19 yourself? Please state your name and any
20 organization you're representing for the record.

21 (No response.)

22 DR. BADEN: We shall move to speaker

1 number 6, as number 5 is not available.

2 Will speaker number 6 please begin and
3 introduce yourself?

4 MR. PAOLO: Hello?

5 DR. BADEN: Please state your name and
6 organization you are representing for the record.
7 Thank you.

8 MR. PAOLO: Hello. My name is Thomas Paolo.
9 I'm 66 years old. First of all, I would like to
10 thank you for the opportunity to share my story
11 regarding my experience while being treated with
12 maribavir as a participant in a trial in the fall
13 of 2018. I do not have, nor have I ever had, any
14 financial connections with Takeda Pharmaceutical
15 Company, Limited.

16 Tomorrow, October 8th, will mark my
17 44th wedding anniversary to my wife, Darlene. We
18 have three children and six grandchildren. I'm a
19 self-employed tax accountant, an enrolled agent.
20 I've been practicing for nearly 45 years.

21 I was first diagnosed with COPD in 1999. My
22 main issue was emphysema with some fibrosis issues

1 as well. I was not quite 45 years of age when
2 diagnosed with, at the time, three teenage
3 children. With help of a fabulous pulmonologist
4 who motivated me in many ways, I knew that I would
5 have to take special care of myself if I were to
6 live into my 60s.

7 After a serious setback in 2016 and
8 pneumonia-induced exacerbation, I was placed on
9 5 liters of oxygen and knew that a potential lung
10 transplant was going to be the only way I could
11 hopefully extend my life past another year or two.
12 After extensive testing, I was extremely fortunate
13 to be included on the transplant list on
14 December 21st of 2017. And on March 31st of 2018,
15 I received a single-lung transplant at UPMC
16 Presbyterian Hospital in Pittsburgh, Pennsylvania.

17 According to my records and my knowledge, I
18 was first diagnosed with the CMV virus in the fall
19 of 2018. While in the hospital, I was told that
20 the treatment would be a drug given intravenously
21 over a period of time, valganciclovir, as
22 previously mentioned. That is when I got yet

1 another gift because Dr. Fernanda Silveira,
2 speaker 4 as a matter of fact, an infectious
3 disease doctor with whom I've grown to respect
4 immensely, was conducting a trial for maribavir.

5 I was fortunate enough to be part of it, and
6 my CMV numbers dropped immediately. But after a
7 period of time, the trial ended, and since then,
8 the doctors are trying to keep the CMV virus under
9 control with a cocktail of meds that include
10 everolimus 0.75 milligrams twice a day. It now
11 appears that the meds are starting to take their
12 toll on my kidneys. I'm at stage 3A kidney
13 failure.

14 I hope and pray that maribavir is approved
15 for transplant patients. We survive only as a
16 result of breakthrough meds that are effective and
17 not only prolonging our lives, but enhancing the
18 quality of our lives as well. Thank you again for
19 allowing me to tell my story.

20 DR. BADEN: Thank you for sharing.

21 Will speaker number 7 begin and introduce
22 yourself? Please state your name and any

1 organization you're representing for the record.

2 DR. PAPANICOLAOU: Good afternoon. My name
3 is Genovefa Papanicolaou. I'm an infectious
4 disease physician at Memorial Sloan Kettering
5 Cancer Center and professor at Cornell University,
6 both in New York City. I have been in practice for
7 25 years treating adults and children who receive
8 stem cell transplants. I'm also a key participant
9 in many CMV trials, including several of the
10 maribavir trials. I serve as consultant to Takeda
11 and Merck. I'm not compensated for my time today.

12 Today I'm here to tell you why I'm excited
13 about maribavir. We have come a long way with CMV
14 in transplantation. With letermovir, we now have a
15 safe and effective drug for CMV prevention. And
16 this is great, but some patients still get CMV and
17 need treatment.

18 For over 20 years, we have two anti-CMV
19 antiviral drugs, ganciclovir and foscarnet. Both
20 have excellent antiviral activity. Their downside
21 is their toxicities, which are well described and
22 quantified. Ganciclovir and its oral prodrug

1 valganciclovir are myelosuppressive. Foscarnet is
2 only available intravenously and is nephrotoxic.

3 Today I want to tell you how CMV treatment
4 affects my patients' lives. I will share the story
5 of Diane, a 53-year-old lady with lymphoma. Diane
6 received a stem cell transplant from her brother in
7 early April 2021. Despite letermovir prophylaxis,
8 she developed CMV infection after transplant. She
9 was initially treated with ganciclovir, but after
10 four weeks, she was switched to foscarnet for
11 refractory CMV viremia. She received foscarnet as
12 an outpatient for two weeks, her CMV infection
13 resolved, and foscarnet was discontinued.

14 Ten weeks later, or five months after her
15 initial transplant, she had progression of her
16 lymphoma. While on treatment for lymphoma, her CMV
17 infection recurred. Her blood counts now were too
18 low to be treated with valganciclovir, so she was
19 treated with foscarnet. Up to now, she has
20 received six weeks of foscarnet as an outpatient.
21 She's still receiving it to prevent CMV recurrence.
22 Her lymphoma is responding to treatment and she is

1 planning to receive a second transplant.

2 Now, from the CMV outcomes perspective,
3 Diane is the success story. She did not develop
4 CMV disease, did not require hospitalization for
5 CMV, and actually she did not have any measurable
6 toxicity related to CMV treatment. As a clinician,
7 however, I feel we should be able to do better for
8 our patients.

9 Since her hospital discharge, Diane spent
10 25 percent, or one-fourth, of her total days in the
11 clinic tied to an infusion pump for 6 to 8 hours
12 each day. Every day she spends in the clinic is
13 one less day she could be spending at home with her
14 family. Diane's story is not unique. We need a
15 CMV treatment that is oral, well-tolerated, safe,
16 and effective. Maribavir meets this need.
17 Maribavir when approved will replace foscarnet in
18 my practice.

19 We are at an inflection point in the
20 treatment of CMV. Twenty years ago, we were at a
21 similar point with aspergillosis. Amphotericin was
22 the only treatment option for aspergillosis.

1 Amphotericin, like foscarnet, is nephrotoxic and
2 available only by vein. Voriconazole is an oral
3 drug spectrum as well that is not nephrotoxic.
4 After approval, voriconazole replaced amphotericin
5 for treatment of aspergillosis. Maribavir has the
6 potential to replace foscarnet for treatment of CMV
7 and improve the quality of life of our patients.

8 Stem-cell transplantations have to jump over
9 many hurdles. Graft-versus-host disease, organ
10 toxicities, and relapse are just to name a few of
11 them. Getting treatment for CMV should not be
12 another hurdle.

13 On behalf of our patients and their
14 families, I respectfully request the committee
15 consider these factors in its review of the new
16 drug application for maribavir oral tablets. Thank
17 you for your time and for the opportunity to
18 provide my comments.

19 DR. BADEN: Thank you for sharing those
20 comments.

21 Will speaker number 8 begin and introduce
22 yourself? Please state your name and any

1 organization you're representing for the record.

2 MS. COCHRAN: Good afternoon. My name is
3 Willa Vroman Cochran. I'm an infectious disease
4 nurse practitioner at Johns Hopkins Hospital's
5 Comprehensive Transplant Center. I have been
6 caring for liver and kidney transplant patients for
7 about six years. I have no financial disclosures,
8 and I am not being compensated for my time today.

9 In 2014, the Hopkins Transplant Center
10 conducted an internal assessment of kidney and
11 liver transplant recipients who required hospital
12 readmission post-transplant, and they found that
13 the most common reason for readmission was,
14 quote/unquote, "infection." While this is to be
15 expected to some extent, as transplant recipients
16 are immunosuppressed, to prevent rejection, certain
17 infections stood out as being preventable. The
18 most common of these was cytomegalovirus infection
19 or CMV.

20 I was hired in May of 2015 and dually
21 trained in transplant and infectious disease
22 medicine, and I was tasked with reducing CMV

1 infection in collaboration with both the infectious
2 disease program and with the transplant center. At
3 our center, around 80 patients a year are CMV
4 antibody negative prior to transplant and receive
5 an organ from a CMV antibody-positive donor. Per
6 protocol, these patients take valganciclovir in
7 900 milligrams daily for CMV prophylaxis for a
8 total of six months.

9 I review all 80 patients once a week for a
10 year to ensure that their dose of valganciclovir is
11 adjusted accordingly based on their most recent
12 creatinine clearance. I spend on average 8 hours a
13 week re-dosing valganciclovir. Many of these
14 patients experience valganciclovir-induced
15 neutropenia. This puts them at very high risk for
16 opportunistic infections. The transplant team is
17 then faced with the choice to either stop CMV
18 prophylaxis and check CMV PCR once a week or to
19 administer granulocyte colony-stimulating factor,
20 G-CSF, to boost the neutrophil count and continue
21 the prophylaxis dose of valganciclovir.

22 Both of these choices pose potential risks

1 and costs to the patient. Furthermore, as patients
2 develop CMV viremia in the future and have a
3 history of valganciclovir-associated neutropenia,
4 this requires critical conversations around dosing
5 of valganciclovir versus prescribing letermovir,
6 which is often not covered by insurance and is cost
7 prohibitive to many of my patients.

8 A painful example of this scenario is Mr. A,
9 a 68-year-old man who underwent deceased-donor
10 liver transplant in 2016. Prior to transplant,
11 Mr. A was CMV antibody negative. His donor was CMV
12 antibody positive. He started valganciclovir for
13 prophylaxis immediately post-transplant per
14 protocol. By three months post-transplant, he was
15 noted to have an absolute neutrophil count of 0.3
16 and his valganciclovir was stopped by his
17 transplant team.

18 The CMV PCR was ordered to be drawn every
19 two weeks, but unfortunately it was not drawn until
20 one month after stopping valganciclovir, and at
21 this time, his CMV viral load was greater than
22 100,000 copies. He was admitted to our hospital

1 for IV ganciclovir and stayed 5 nights until his
2 CMV was low enough that he could transition back to
3 oral valganciclovir.

4 Once home, his ANC dropped predictably and
5 he required 3 doses of G-CSF again. This injection
6 cost him about \$30 out of pocket each time, which
7 was a financial strain for his household, which was
8 on a fixed income. He continued valganciclovir at
9 home until his PCR was negative twice, and we were
10 eager to stop the valganciclovir as soon as it was
11 safe because his ANC was dropping again.

12 From 2017 to 2019, Mr. A had 6 reactivations
13 of CMV viremia. He had innumerable instances of
14 valganciclovir-associated neutropenia, CMV colitis,
15 CMV pneumonitis, and in the setting of this
16 neutropenia, he was diagnosed with PJP pneumonia.

17 We requested letermovir in 2019. It was
18 denied by his insurance, and we appealed, and it
19 was finally approved. By this point, however, his
20 net state of immunosuppression was so low that in
21 the setting of severe neutropenia and weakness, he
22 fell at home, sustained an open-foot fracture, the

1 site of which soon became infected, and required
2 admission for IV antibiotic.

3 He passed away in July of this year in the
4 setting of C. diff colitis and fungal pneumonia.
5 The majority of his diagnoses can be tied back to
6 his net state of immunosuppression, which was
7 dangerously low since his first instance of
8 valgan-induced neutropenia.

9 If an antiviral agent with activity against
10 CMV and without potential to cause marked
11 neutropenia had been available for Mr. A, he may
12 have avoided three years of resistant/refractory
13 CMV infection and the multiple opportunistic
14 infections that ultimately cost him his life.

15 Thank you for the opportunity to share
16 Mr. A's case, and thank you for your time.

17 DR. BADEN: Thank you for sharing your
18 perspective.

19 Will speaker number 9 begin, and introduce
20 yourself? Please state your name and any
21 organization you're representing for the record.

22 DR. BOECKH: Thank you for giving me the

1 opportunity to speak. My name is Michael Boeckh.
2 I'm a professor of medicine at the Fred Hutchinson
3 Cancer Research Center and the University of
4 Washington Seattle. I'm the head of the Infectious
5 Disease Sciences Program in the Vaccine and
6 Infectious Disease Division at the Fred Hutch, and
7 the medical director of the Infectious Disease
8 Consulting Service at the Seattle Cancer Care
9 Alliance.

10 I am a clinical researcher and CMV has been
11 my field of interest for more than 30 years. As
12 for disclosure, I've served as consultant and
13 received research support from various
14 pharmaceutical companies that work in the area of
15 CMV drug and vaccine development, including Takeda
16 and the other companies that were involved in the
17 development of maribavir over the years. In my
18 recent years, I have not received consulting fees,
19 and I am also not compensated for speaking here.

20 You all have reviewed the data on maribavir
21 and heard compelling testimonies today. Since I
22 receive frequent questions on how to best manage

1 patients with difficult-to-treat CMV from across
2 the United States, I think it might be instructive
3 to illustrate the complexities of treating severe
4 CMV infection by telling you about a recent case
5 that I was involved in.

6 The patient was a 54-year-old male from the
7 southeast of the United States, was diagnosed with
8 plasma cell leukemia in February of 2020, and
9 received a myeloablative, T-cell depleted HLA
10 mismatch unrelated allogeneic transplant in
11 October 2020. He was CMV-cell positive and so was
12 his donor. Post-transplant prophylaxis consisted
13 of low-dose acyclovir and letermovir, which was
14 given until day 100.

15 On day 120, so about 3 weeks later, after
16 the stop of letermovir, the patient developed the
17 first episode of CMV reactivation with a viral load
18 of 1450 IUs per mL, which was treated with
19 valganciclovir for a month, which didn't work and
20 led to an increase of the viral load to 5,500,
21 which then required hospital admission and switch
22 to foscarnet. Eventually, the viral load declined

1 to undetectable levels with one month of foscarnet
2 treatment.

3 Two additional episodes followed, where both
4 required foscarnet for 4 to 6 weeks, respectively,
5 with hospitalization for the 2 weeks of induction
6 courses in both cases. The C4 count of this
7 particular patient continued to be less than
8 5 microliters throughout the entire time.

9 One month later, now about 11 months after
10 transplantation, patient presented with fever and a
11 viral load of 15,000 and was started on foscarnet
12 and valganciclovir, given both at induction dosing.
13 Three days later, the viral load increased to about
14 50,000. Drug resistance was suspected and his test
15 was sent to a reference lab.

16 Four days later, the patient developed
17 respiratory failure, and the viral load at that
18 time was 184,000. Letermovir was added empirically
19 and maribavir was requested, due to the dire
20 circumstances, from the company and started 3 days
21 later as monotherapy. Unfortunately, the patient
22 died 3 days later with multiple organ failure, but

1 the viral load had declined to 40,000 at the day of
2 his death.

3 This profoundly immunosuppressed transplant
4 patient had depleted CMV reactivation episodes, and
5 the criterion for refractory infection was met
6 already during the first episode, which occurred
7 about 3 weeks after the 100 days of the term of the
8 prophylaxis. Over the following weeks and months,
9 resistance developed against all drugs that are
10 approved for CMV treatment at the moment -- that is
11 ganciclovir, foscarnet, and cidofovir -- but the
12 results became available only a few days before his
13 death.

14 While the foscarnet in this patient did not
15 cause renal insufficiency, it did require weeks of
16 hospitalization, or maribavir could have been used
17 as early as during the first episodes of CMV
18 reactivation when the viral load was refractory and
19 would have prevented foscarnet use and associated
20 hospitalizations.

21 I believe our patients do need additional
22 oral drugs to treat CMV with a unique mechanism of

1 action and a favorable toxicity profile. Maribavir
2 is such drug in my opinion. Thank you for your
3 time and the opportunity to share my thoughts.

4 DR. BADEN: Thank you for sharing your
5 comments.

6 Will speaker number 10 begin and introduce
7 yourself? Please state your name and any
8 organization you're representing for the record.

9 DR. GANDHI: Good afternoon, and thank you
10 for allowing me to participate in today's ADCOM.
11 My name is Ronak Gandhi, and I'm an infectious
12 diseases pharmD and board certified as a
13 pharmacotherapy specialist with six years of
14 experience. I practice at Massachusetts General
15 Hospital with a primary focus on transplant
16 infectious diseases.

17 I have no financial disclosures, and I'm not
18 being compensated for my time today to provide a
19 statement of why maribavir should be considered for
20 FDA approval for resistant/refractory disease. And
21 though by definition, resistant disease is
22 different than refractory disease, in the

1 healthcare setting, we consider them as a continuum
2 and one process, so my statement will fall under
3 those pretenses.

4 As a transplant infectious diseases
5 pharmacist, managing CMV is something I encounter
6 routinely. CMV infection/disease post both solid
7 organ and bone marrow transplantation are common
8 and associated with increased morbidity and
9 mortality. Even though advancements in our
10 therapeutic arsenal have improved outcomes, the
11 risk of developing resistant or refractory disease
12 still remains and can be very burdensome to
13 patients and healthcare providers.

14 Patients with resistant/refractory CMV face
15 a steep battle to get their disease under control.
16 They're typically managed with potently nephrotoxic
17 and/or marrow-suppressive agents such as foscarnet
18 or cidofovir.

19 In certain instances, these patients can
20 require combination therapy with either of those
21 agents, along with high-dose ganciclovir, adding to
22 further marrow suppression. And patients who are

1 already so heavily immunosuppressed, causing
2 further neutropenia can be detrimental to these
3 patients' safety and can lead to other complicated
4 invasive infections. Additionally, all of these
5 therapies require renal dose adjustment, which can
6 be challenging, as many of these patients have
7 acute kidney injury, chronic kidney disease at
8 baseline, or kidney transplant recipients
9 themselves.

10 Balancing these parameters to achieve
11 therapeutic concentrations without invoking
12 toxicities -- or worse, treatment failure,
13 resistance, or even rejection -- put significant
14 stress on healthcare providers. Furthermore, these
15 therapies are only IV and require frequent lab
16 monitoring, making discharge to a safer environment
17 such as their homes nearly impossible.

18 Additionally, a fair amount of these
19 patients require maintenance or suppressive therapy
20 once they have cleared their acute infection.
21 Currently, this is challenging with limited oral
22 options and can lead providers to use a preemptive

1 monitoring strategy, which puts further stress on
2 both the provider and patient to evaluate and be
3 evaluated weekly or monthly.

4 Maribavir is a novel anti-CMV agent with a
5 unique mechanism of action. This mechanism of
6 action allows for it to retain its activity even
7 against ganciclovir-resistant infection or disease.
8 In all clinical trials available to date, maribavir
9 side effect profile is much cleaner than currently
10 available options, with the biggest side effect
11 being dysgeusia or taste disturbance.

12 Though taste disturbance can impact
13 nutritional status of these patients, which is
14 important, it's worth noting that a minimal number
15 of patients discontinue therapy based on the side
16 effect, and the side effect resolves in most
17 patients after 1 to 2 weeks.

18 Additionally, maribavir is primarily
19 hepatically metabolized with less than 3 percent
20 renally excreted, so there is no risk of either
21 under- or overdosing patients with renal impairment
22 or dynamic renal function. Furthermore, maribavir

1 is being manufactured as an oral formulation, which
2 will help facilitate earlier discharge and
3 potentially can be used in suppressive therapy
4 after initial clearance of infection.

5 In a multicenter phase 3 study for
6 resistant/refractory disease that has recently just
7 been completed and not yet published, results from
8 prominent abstracts presented at national meetings
9 demonstrated statistically significant clearance of
10 infection at 8 and 16 weeks compared to
11 investigator-initiated therapy for valganciclovir,
12 ganciclovir, foscarnet, or cidofovir for
13 resistant/refractory CMV in both solid organ and
14 bone marrow transplant recipients.

15 The result of the study, coupled with the
16 complications of traditional therapy and the more
17 favorable safety profile of maribavir, should
18 provide this committee with good evidence to
19 consider approval of this agent.

20 What I would like this committee to remember
21 today is adding maribavir to our current
22 armamentarium will allow us as healthcare providers

1 to manage a complicated disease state with an
2 alternative agent when standard-of-care options are
3 not feasible, limited by toxicities, or continued
4 worsening while on treatment. More importantly,
5 this agent can improve patient care, as it is oral
6 and can facilitate discharge from the hospital in
7 cases where patients are receiving IV foscarnet or
8 cidofovir.

9 Additionally, maribavir is not renally
10 eliminated, providing a more predictable PK profile
11 and a larger margin of safety, as well as
12 potentially prevent the emergence of resistance,
13 toxicities, or acute rejection when traditional
14 therapies are either under- or overdosed in
15 patients with renal dysfunction.

16 Lastly, maribavir can negate the toxicities
17 of standard therapy such a neutropenia and
18 nephrotoxicity and can be an alternative to
19 decreasing immunosuppression and an unacceptably
20 toxic combination of high-dose ganciclovir plus
21 foscarnet for resistant disease.

22 I hope this committee takes this into

1 perspective, and I want to thank all of you for
2 taking a few minutes to listen to me today. Have a
3 great afternoon.

4 **Clarifying Questions (continued)**

5 DR. BADEN: Thank you for sharing your
6 thoughts with us.

7 The open public hearing portion of this
8 meeting is now concluded with the last speaker, and
9 we will no longer take comments from the audience.
10 The committee will now turn its attention to
11 address the task at hand, careful consideration of
12 the data before the committee, as well as the
13 public comments.

14 We will return to the clarifying questions,
15 as we were unable to clarify all issues before
16 lunch. I think we were able to complete the
17 clarifying questions to the agency, but I would
18 like the agency to stay available if questions come
19 up that we would like to address to you.

20 To the applicant, Dr. Umeh, thank you for
21 returning to clarify matters for us. For the
22 committee members, there are several of you who had

1 indicated you had more clarifying questions. What
2 I'd like to do is have you raise your hand again,
3 in case your questions were already answered, and
4 we'll continue with clarifying issues with the
5 applicant. I will start with the first question
6 while the committee members queue up.

7 Dr. Umeh, you reacted to my comment to the
8 agency -- and I would very much like you to
9 clarify -- when I harped on the issue that the
10 agency raised about the efficacy was driven by
11 safety, not virologic activity.

12 Can you please clarify that issue? I know
13 you had started, but I would like you now to more
14 fully clarify on that point.

15 DR. UMEH: Thank you to the Chair. I want
16 to start by first showing the slide on treatment
17 duration at any time. The patients who did not
18 have a viral load was because -- the patients did
19 not have a known viral load, and that was assumed
20 to be failure. They didn't come for their weekly
21 visit. However, if you focus only on the patients
22 who had a viral load, there is no guesstimating

1 what the outcome was. You're focusing on what the
2 viral load shows, and you're giving credit to any
3 patient who had a viral load and cleared the
4 therapy. Maribavir maintains an advantage. Here,
5 the tolerability advantage has been neutralized,
6 and you see that we still show a significant
7 benefit.

8 I'll show you another slide. This
9 particular slide I show you, the first line is at
10 anytime. If the virus was cleared, you got credit
11 for the virus being cleared, independent if you
12 completed 8 weeks or not. What you see here is the
13 first line I already mentioned.

14 In the second line, what we've done is to
15 respond to a question during the break, what was
16 the outcome by week 4? Because typically, these
17 patients are treated for about 4 weeks. The idea
18 is that we treat for shorter than they should have
19 been treated, and in which case give maribavir in
20 advance? The answer is no. Even if you look at
21 the week 4 outcomes and do the viral clearance
22 rated by the week 4 outcomes, there is still a

1 statistically significant advantage for the
2 comparator.

3 One of the things I would like to show you
4 is the duration of therapy because a lot has been
5 made about the discontinuation rate. But the
6 question is, were patients treated long enough in
7 the IAT arm? This is the mean duration of therapy
8 for the entire study.

9 What you see here is that the average
10 duration of treatment, the mean duration of
11 treatment, in the IAT arm is 36 days. It is longer
12 than what is done in clinical practice. Dr. Avery
13 is going to come up to speak to her experience in
14 the clinical practice.

15 DR. AVERY: Yes, just to confirm that this
16 mirrors what we do see in real-life clinical
17 practice, our center, Johns Hopkins, published a
18 retrospective study of patients treated with
19 foscarnet for CMV, 39 patients, and the median
20 duration of therapy was almost exactly the same as
21 this.

22 DR. UMEH: So we view this as a benefit of

1 maribavir rather than a bias. The fact that
2 maribavir can be treated for longer is a benefit of
3 a safe drug in this condition that requires
4 immunosuppression rather than a bias against the
5 comparator.

6 DR. BADEN: Now, understood, and an oral
7 agent that is more easily administered can be taken
8 for longer and potentially have the benefits of
9 longer treatment.

10 To just push a little bit more on this point
11 to make sure I'm thinking about this properly, in
12 order to get into the study, patient had to have
13 CMV reactivation. They were treated. The
14 treatment failed to control it, and then they were
15 randomized.

16 So in the IAT arm, individuals may have
17 gotten valganciclovir or foscarnet, be randomized,
18 and continue valganciclovir or foscarnet, while the
19 other half received the maribavir. So we're
20 comparing maribavir versus continuation of a
21 failing therapy.

22 Am I interpreting this correctly?

1 DR. UMEH: I'll show you a slide, and then
2 I'll ask Dr. Avery to come up again and speak to
3 her experience.

4 About half of the patients actually received
5 the therapy they were randomized to, but about half
6 of the patients also received new therapy. And as
7 you can see in the first line of the slide, that
8 did not make a difference. In fact, people who
9 were randomized to valganciclovir did better.

10 But, Dr. Avery, come speak to your
11 experience as a PI.

12 DR. AVERY: Right. As investigators, we
13 approached the study subjects in what we felt was
14 in the best interest of the patient. So the choice
15 of IAT was tailored to the patient's prior
16 responses, preexisting lab abnormalities and
17 toxicities, and also patient preference.

18 There were some patients who entered the
19 study, of course, hoping to be randomized to the
20 maribavir arm, were randomized to IAT, but then did
21 not want to leave their therapy that they were on
22 because of concern that other therapies might be

1 more toxic. So I think, in general, this just
2 speaks to the rather very limited options, dismal
3 options, we have for IAT in these patients in
4 general.

5 DR. BADEN: Thank you. No, that makes
6 sense, and the treatment options, as many of the
7 OPH speakers and others have raised, are limited
8 and toxic. But in terms of understanding the
9 superiority, making sure that it's just clear what
10 we're comparing, which the standard of care or IAT
11 is incredibly limited in many circumstances.

12 I know, Dr. Green, you have a follow-on
13 question to this line of discussion?

14 DR. GREEN: Yes. Thank you. It's Mike
15 Green. I consider it follow-on because I've looked
16 at the slide that showed a very nice level of
17 response, even at 4 weeks, but I noted in the FDA
18 analysis that 32 of the 80 virologic failures in
19 maribavir occurred in individuals who cleared their
20 load but presumably then developed a new positive
21 load during that 8-week time period.

22 I wonder if you can tell us a little bit

1 about the 32 patients who had breakthrough CMV in
2 that 8-week time period on maribavir.

3 DR. UMEH: So there were 48 patients. What
4 you're speaking to, basically, is the recurrence of
5 therapy and those who are associated with
6 development of resistance.

7 I think the way to look at this is what
8 actually happened at week 16, which is when we have
9 a differential clearance of viral load, and
10 maribavir being much more able to clear the virus.

11 DR. GREEN: I want to clarify to make sure
12 that you're correct or I'm understanding the table
13 from FDA, and it's their table, page 24 of what
14 they shared with us. I didn't read that as
15 individuals -- it said, "analysis of failures of
16 primary efficacy endpoint," and I understood
17 primary efficacy endpoint to be the 8-week time
18 point.

19 So as I read this table, my reading would be
20 that of 80 failures, virologic failures, at the
21 primary efficacy endpoint -- that's
22 8 weeks -- 32 of 80 had gone to non-detectable or

1 non-quantifiable and became positive again by that
2 8-week time period; so not the 9 to 16 week.

3 I understand reactivation greatly. I do CMV
4 and I do transplant ID for a living as well. I
5 don't understand what's happening in this cohort
6 with primary efficacy endpoint, which, again, I
7 think is talking about the first 8 weeks of
8 therapy. Thank you.

9 DR. UMEH: Yes, you're correct. So you had
10 to clear the virus at any time, and if you maintain
11 the clearance through week 8, you'll be counted as
12 a success. So that's differentiating between those
13 who cleared it between those who never cleared it
14 at all throughout the 8-week period of treatment.
15 That table is different than those who never did
16 clear it from those who cleared it but couldn't
17 maintain the clearance to week 8.

18 DR. GREEN: Correct. So I'm trying to
19 understand that group of 32 who presumably stayed
20 on maribavir at that point, unless they were taken
21 off for some reason; so having cleared, having
22 stayed on therapy, they then broke through. And

1 I'm not sure whether they all had resistance or if
2 you have any further analysis of those 32 patients
3 that respond and breakthrough in the time period
4 leading up to week 8.

5 DR. UMEH: We know that a lot of the
6 on-treatment recurrence was associated with
7 resistance.

8 DR. GREEN: Thank you.

9 DR. BADEN: Thank you.

10 Dr. Bollard?

11 DR. BOLLARD: Yes. Hi. Can you hear me?

12 DR. BADEN: Yes.

13 DR. BOLLARD: Great.

14 Yes, I'm back, actually, on -- no, not 32;
15 sorry -- CO-41. I had asked the agency about the
16 breakdown between the bone marrow transplant and
17 the solid organ transplant patients in those that
18 entered the trial with other viral resistance, and
19 we saw that there is a skewing with a preponderance
20 of solid organ transplant patients over 80 percent
21 in the resistant group and over 70 percent of bone
22 marrow transplant patients in the refractory group.

1 So my question is now about those
2 48 patients who were randomized to maribavir and
3 developed maribavir mutations. Of those 48,
4 because I'm concerned that the BMT patients are a
5 better prognosis group just inherently, how many of
6 those were BMT patients? And of those 63 percent
7 who went on to clear the viremia, how many of those
8 were the bone marrow transplant patient group?

9 DR. UMEH: What I have actually is the table
10 at baseline. I don't have the table broken down by
11 outcome. I know, like you mentioned, that in
12 the -- and I'm going to put up the slide to show
13 you.

14 This is a baseline table which is comparing
15 the proportions of patients with HSCT versus SOT in
16 the resistant versus the refractory population. I
17 can tell you that there were significantly more.
18 It was overpopulated with HSCT patients later on in
19 the refractory group compared to the resistant
20 group, but I don't have that table broken down by
21 those who have outcomes. But again, the -- sorry.

22 DR. BOLLARD: But I'm asking actually about

1 those that are resistant to maribavir. Is this
2 resistant to your drug or not? This is baseline,
3 right?

4 (Crosstalk.)

5 DR. UMEH: No, that's IAT.

6 DR. BOLLARD: Yes. No, I'm not talking
7 about that. I'm talking about on slide CO-41,
8 those that actually had maribavir resistance, or
9 mutations should I say. Sorry. I shouldn't have
10 used the word "resistance." Yes.

11 For those that developed the maribavir
12 mutations, of those 48 patients, how many of them
13 were the BMT patients?

14 DR. UMEH: We don't have a table for that
15 right now. We didn't break it down by refractory
16 versus resistant subgroup.

17 DR. KOMATSU: Excuse me. This is Takashi
18 from the FDA. I believe of the 48, I think 32 is
19 SOT; 16 is BMT.

20 DR. BOLLARD: Sorry. Thirty-two were BMT?

21 DR. KOMATSU: No. Thirty-two was SOT and 16
22 were BMT, based on the --

1 (Crosstalk.)

2 DR. BOLLARD: Of the maribavir -- of those
3 patients that developed --

4 DR. KOMATSU: Of the 48 patients in that
5 slide, yes.

6 DR. BOLLARD: Yes. Okay.

7 DR. KOMATSU: Yes.

8 DR. BOLLARD: Then of the 63 percent that
9 cleared, do we know what the breakdown was of them?

10 DR. KOMATSU: I'm going to need a little bit
11 of time for that. I'll get back to you on that.

12 DR. BOLLARD: Okay. Thank you.

13 DR. UMEH: If I may add, the primary
14 endpoint of the study and the secondary endpoint
15 factors in the proportion of patients who would
16 become resistant. So when we go back to week 16,
17 which is basically looking at how durable was the
18 cure rate in the two arms, I think what we see is
19 that despite the development of resistance -- which
20 I might add is taken into context at
21 baseline -- everybody who came into the study had
22 already failed the prior therapy.

1 So there was a hundred percent genotypic
2 resistance at the beginning of the study, and
3 60 percent of the time that was associated with
4 genotypic resistance. I think what you're seeing
5 is about the same picture with maribavir. So we're
6 not seeing anything different, but instead what
7 we're seeing is a benefit in terms of viremia
8 clearance, both at week 8 and week 16.

9 DR. BOLLARD: Thank you. I have no
10 additional questions at this time.

11 DR. BADEN: Thank you, Dr. Bollard. And
12 I'll remind all speakers when you speak, please
13 state your name, so it's clear who is talking.

14 I think Dr. Murphy is next on the list.

15 Dr. Murphy?

16 DR. MURPHY: Thanks a lot. Richard Murphy,
17 White River Junction VA in Vermont.

18 My question is a little different. It kind
19 of gets to the issue of the problem of durable
20 virologic response both with maribavir and with
21 other anti-CMV agents. It seems like given the
22 problem of durable response, taken together with

1 the fact that maribavir is oral and pretty well
2 tolerated, do we anticipate that a large proportion
3 of patients will go on to suppressive or secondary
4 prophylaxis -- and maybe this is for Dr. Avery or
5 Dr. Kotton -- strategy with maribavir?

6 If that's true, what do we know about
7 long-term safety and tolerability of maribavir
8 potentially from earlier trials? Thank you.

9 DR. UMEH: Dr. Kotton, and then Dr. Avery.

10 DR. KOTTON: Camille Kotton. Thank you. I
11 think that that's a great question. This is
12 something that we would have to consider in
13 guidelines and develop the best approach towards
14 this. I do think that we've learned a lot about
15 secondary and tertiary prophylaxis, and those will
16 have to be things we consider.

17 Obviously, if this drug is not approved for
18 prophylaxis, then I think it would be hard to come
19 by, so we'd have to ponder the next best steps.
20 But it is a really, really important issue for
21 resistant/refractory disease, is how to prevent
22 further disease.

1 DR. AVERY: Hi. I'm Robin Avery. Thank
2 you. Yes, indeed. As you recall, this population
3 has recurred and recurred, in some cases many
4 times, so they are of the phenotype. They're
5 already of the propensity for recurrence.

6 Back in 2008 when the compassionate use
7 program was initiated, and again in Study 202,
8 secondary prophylaxis out to 24 weeks was
9 permitted, we saw some very nice responses, and I
10 presented some of those earlier in the day. As
11 Dr. Umeh will tell you, the safety data out to
12 24 weeks is very good.

13 DR. UMEH: That is correct. In a limited
14 number of patients, we have data up to 24 weeks at
15 doses up to 3 times the phase 3 dose,
16 1,200 milligrams BID, and the safety profile is
17 consistent.

18 DR. BADEN: To panel members, after you've
19 asked your question, please take your hand down
20 unless you have another question.

21 I think Dr. Le has a follow-on question.

22 DR. LE: Yes. This is Dr. Jennifer Le.

1 Following up on the safety side, I believe earlier
2 you mentioned that there were over 1555 patients
3 who are assessed for safety, and one-third of them,
4 which is about maybe 500 patients, received
5 400-milligram BID or higher.

6 I'm interested to know did you do a subgroup
7 analysis of these patients who received higher
8 doses -- because I know you started out with
9 100 BID early on -- and what the toxicity was. And
10 in particular, I want to know more of the renal
11 toxicity, as well as the neutropenia.

12 DR. UMEH: Firstly, the 500 patients who
13 have been treated are from the treatment studies.
14 We had a phase 2 study with a dose-ranging study.
15 That went from 400 to 1,200 milligrams BID. There
16 were 240 patients in that study. In this
17 particular study, we have 235 patients treated with
18 the 400-milligram dose. There has always been the
19 fact that maribavir has a favorable profile with
20 respect to the development of neutropenia or acute
21 kidney injury.

22 There was a question that came up actually

1 during the break about why the laboratory values
2 looked different, and I want to invite Dr. Avery to
3 speak, based on her experience as a principal
4 investigator, why might there have been a
5 difference between the neutropenia reports and the
6 laboratory values.

7 DR. AVERY: Yes. Again, as investigators,
8 we are keeping the best interest of the patient
9 foremost, and since the safety labs and the central
10 labs were every 2 weeks, many of these patients
11 were getting local labs much more frequently,
12 depending on how far out they were from transplant
13 and whether they were inpatient and so forth; some
14 of them as frequently as every day or several times
15 a week.

16 So if we saw neutropenia or acute kidney
17 injury developing, we were not waiting for central
18 lab values in order to act with G-CSF, or
19 mitigation of renal failure, or changing or
20 discontinuing therapy.

21 DR. LE: Okay. Thank you for that.

22 I also have a question regarding the same

1 topic of safety. Having certainly a threshold of
2 platelets or serum creatinine makes sense in the
3 evaluation of drugs. But in addition to that, it's
4 always, I think, also pertinent to know where a
5 particular patient [inaudible - audio gap].

6 Did you evaluate maybe the change from
7 baseline value for each patient in platelets and
8 serum creatinine, and maybe hematocrit, too? And
9 if you did, what were the results for that?

10 DR. UMEH: Do we have a slide on that?

11 No, I don't believe we have a prepared slide
12 on that. The labs were too infrequent for us to do
13 the shift table, I think, because they were being
14 collected every 2 weeks.

15 You have the tables?

16 DR. LE: Yes. I'm confused with that
17 because on the one hand you said that you got labs
18 frequently there. So I would assume that when a
19 subject enters the study, there would be some
20 baseline labs that are done. So I'm just
21 interested in knowing how did the patient perform
22 at baseline, and then throughout maybe at 4,

1 8 weeks, or even 16 weeks, to see if there was a
2 change and was it increasing/decreasing. So it's
3 more patient-specific than it is more of just a
4 general blanket threshold.

5 DR. UMEH: No. I think maybe I need to
6 clarify something. We had safety labs mandated by
7 the study only every 2 weeks. Dr. Avery was
8 speaking to the fact that they did a lot of local
9 labs in the management of the patient based on the
10 unique patient situation. There was no requirement
11 to capture these labs, local labs, in this area.

12 So all we would have had in the vast
13 majority of cases -- there were a few times on
14 scheduled visits they were captured as local labs,
15 but for the vast majority of the time, we did not
16 capture the local labs. So we wouldn't see the
17 minute-to-minute changes in the parameters that
18 could have been observed by somebody who collected
19 the local labs. But again --

20 DR. LE: Okay.

21 DR. UMEH: -- I'm sorry.

22 DR. LE: No, go ahead.

1 DR. UMEH: I was going to say the one
2 question that could be asked was, well then, how
3 then did you make sure the reports were based on
4 actual labs? And that's because we had an AE
5 reconciliation process where we had a team make
6 sure that laboratory values are reported twice.

7 DR. LE: Okay. Thank you. But it's
8 certainly something that I would recommend if you
9 can draw that data. It's really looking to more
10 patients with specific changes.

11 Now, along the same lines of safety here,
12 you mentioned also that there were drug
13 interactions with the immunosuppressant drugs, and
14 there were four that you listed. Is there any
15 specific recommendation to adjust these
16 immunosuppressant drugs, based on your experience?
17 Do we decrease the dose by 25 percent or 50 percent
18 with tacrolimus or cyclosporine?

19 DR. UMEH: There will be a recommendation
20 for therapeutic drug monitoring if these two are
21 co-administered, if tacrolimus or tacrolimus-like
22 agents are co-administered.

1 DR. LE: Okay. Thank you. That's all I
2 have.

3 DR. BADEN: Thank you.

4 Dr. Bridges, did you have a follow-on
5 question?

6 DR. BRIDGES: Thanks. I decided that
7 probably no new information would result from my
8 asking it, but thanks for noticing that.

9 DR. BADEN: Okay.

10 Dr. Lee, do you have a follow-on question?
11 Lauren Lee?

12 (No response.)

13 DR. BADEN: You're on mute if you are
14 talking.

15 DR. LEE: Can you hear me now?

16 DR. BADEN: Yes, now we can hear you.

17 DR. LEE: Thank you.

18 I was just wondering, other than sirolimus,
19 tacrolimus, are there any other suspected drug-drug
20 interactions with the meds that we commonly use
21 post-transplant, like ruxolitinib or anything like
22 that?

1 DR. UMEH: Dr. Song, our clinical
2 pharmacology leader, will address that.

3 DR. SONG: With regard to
4 immunosuppressants, that included sirolimus,
5 everolimus, and cyclosporine, in addition to
6 tacrolimus. Other DDI and significant DDI we have
7 found, including digoxin, as well as CYP3A
8 inducers, moderate and a strong inducer, can reduce
9 maribavir exposure significantly, and maribavir
10 dose increase is needed. And all-dose DDI will be
11 in the proposed product label.

12 DR. LEE: Thank you.

13 DR. BADEN: Thank you.

14 Dr. Chandra?

15 (No response.)

16 DR. BADEN: You're on mute. Thank you.

17 DR. CHANDRA: Thank you.

18 I had a clarifying question to Dr. Obi and
19 Dr. Avery regarding the lab values from local labs.
20 I'm assuming that during medical monitoring,
21 patient profiles for individual patients who
22 discontinued from the therapy would have been

1 developed, and those should have captured the local
2 lab information and what was the cause for
3 discontinuation of these patients. I assume that
4 you had that.

5 DR. UMEH: No, we didn't systematically
6 collect local labs in these areas. I mean, we had
7 80 something sites, in 18 different countries, with
8 different ways to do it, so we had to have a
9 central lab do it. But because of the amount of
10 blood volume drawn at these sites, the labs are
11 every 2 weeks.

12 DR. CHANDRA: My question was regarding the
13 patients who discontinued. So typically during
14 medical monitoring, you would have individual
15 patient profiles developed for patients who
16 discontinued and the reasons that they
17 discontinued. So I'm assuming those should have
18 captured the local labs because those were the
19 reasons for why those patients were discontinued.

20 DR. UMEH: No. The discontinuations are
21 captured as AEs, so we had that collected, yes.

22 DR. CHANDRA: Okay. Thank you. That was

1 all.

2 DR. BADEN: Thank you.

3 Dr. Hardy, you have a follow-on question?

4 (No response.)

5 DR. BADEN: You're on mute, Dr. Hardy.

6 DR. HARDY: This is David Hardy from Los
7 Angeles. I just wanted to follow up a little bit
8 more on the question about the metabolism of the
9 drug-drug interactions with maribavir, because
10 reading about it, it seems it is metabolized by
11 several different cytochrome P450 isoforms.

12 Therefore, are you recommending that with
13 particularly the commonly used anti-rejection
14 drugs, some of which have already been mentioned
15 and with other drugs, that the level of maribavir
16 be monitored in order to maintain steady levels?

17 DR. UMEH: It's the other way around, the
18 level of immunosuppressant has to be monitored.
19 Maribavir doesn't need to undergo any changes.

20 DR. HARDY: Okay. Are there any changes in
21 which the level of maribavir is decreased in a
22 drug-drug interaction?

1 DR. UMEH: Yes. Dr. Song will speak to
2 that.

3 DR. SONG: Maribavir is mainly metabolized
4 through CYP3A, and then CYP1A2 as secondary, and
5 only the enzyme inducers for those enzymes can have
6 a potential to reduce maribavir exposure.

7 DR. HARDY: But there are several potential
8 drugs like that, so is therapeutic drug monitoring
9 going to be recommended with maribavir?

10 DR. SONG: Yes. The most common CYP3A
11 inducer, moderate and then strong, include
12 carbamazepine, phenobarbital, and phenytoin. So
13 for those inducers, the recommendation is to
14 increase maribavir dose. And then rifampin is the
15 most potent inducer, where recommendation is not to
16 be co-administered.

17 DR. HARDY: But I take it you're not
18 recommending therapeutic drug monitoring of
19 maribavir.

20 DR. SONG: No.

21 DR. HARDY: Thank you.

22 DR. BADEN: Dr. Bridges, you have a

1 follow-on question?

2 DR. BRIDGES: No, I don't. I'm sorry if the
3 screen indicates that I do.

4 DR. BADEN: Thank you.

5 Dr. Murphy, do you have a follow-on
6 question?

7 DR. MURPHY: Yes. Just to clarify, I
8 believe it was stated in the data that there was
9 only a single patient who received maribavir who
10 had an important excursion in concomitant
11 immunosuppressive agents. Would that justify
12 therapeutic drug monitoring of all patients who
13 received this agent? Thank you.

14 DR. UMEH: No. We said there was 8 percent
15 more in the maribavir. I believe it's 9 versus
16 1 percent; 9 versus 1 percent immunosuppressant
17 drug changes.

18 DR. BADEN: Thank you.

19 I would like to remind committee members
20 that this is a time to ask clarifying questions.
21 We'll go to discussion shortly. I will ask the
22 next clarifying, Dr. Umeh.

1 In the briefing document you provided -- and
2 I may have misunderstood this -- there seemed to be
3 an increase in GHVD in those treated with
4 maribavir. Is that correct or did I misunderstand
5 those data? And can you please clarify, the
6 relationship between maribavir and graft-versus-
7 host disease?

8 DR. UMEH: So the number you're referring to
9 is the 9 versus 4 percent incidence of GVHD, and
10 that's actually new or worsening. But actually,
11 what we know is that at baseline, there was already
12 an imbalance. There was more GVHD in the maribavir
13 arm compared to the comparator. And when you look
14 at acute GVHD, or when you denominate by the number
15 of days treated, remembering that we have
16 50 percent more exposure, the numbers are actually
17 the same.

18 We don't have any mechanistic explanation.
19 The adjusted rates will show you that the numbers
20 are almost the same, what I have on the slide. We
21 don't have any mechanistic reason to believe that
22 treatment with maribavir will result in increase of

1 GVHD. We think it's just an imbalance.

2 DR. BADEN: Thank you.

3 Dr. Green, you have a new line of
4 questioning?

5 DR. GREEN: I do. Thank you. It's Mike
6 Green. I just wanted to give the sponsor an
7 opportunity to explain why they, on their slide 49,
8 report a larger event incidence for neutropenia
9 than the CDC [sic] on their slide 54, I think it
10 is.

11 The sponsor's data says 22 percent
12 neutropenia reported as AEs in the IAT group versus
13 9 percent in the maribavir group. But when we
14 heard the data presented -- we have selected
15 laboratory abnormalities by FDA -- they were
16 telling us that they were really the same. So the
17 numbers don't match, and I don't understand why
18 they don't match. Thank you.

19 DR. UMEH: I must show the second slide
20 you're speaking to. Maybe the AES are special
21 interest, where we break them down by the component
22 drugs. I say it is a convenience term we gave to

1 all the agents that were used in this population,
2 but we know that ganciclovir causes neutropenia.
3 So when we break them down, the numbers look
4 higher. I believe the laboratory values as FDA
5 presented was where they said there wasn't really a
6 difference, but we've provided an explanation for
7 that.

8 I don't know if that addresses your question
9 that you're asking.

10 DR. GREEN: I'm not completely
11 understanding. Again, these data, both what FDA is
12 showing and what you're showing on the current
13 slide 49, are presumably your central lab data, so
14 they're included.

15 You show a 22 percent incidence of
16 neutropenia in the IAT group out of 116. Even
17 going to the group up to a thousand, they report
18 only a percentage of 14 percent and they report
19 only 17 IAT patients getting neutropenia, but
20 22 percent of 116 would be higher than that.

21 So I just don't understand that discrepancy.
22 And I recognize these are your data and those data

1 that they have are their analysis. But the
2 difference might be important because they're
3 inferring that there's not really a difference in
4 that important adverse event.

5 DR. UMEH: No, you're correct.

6 So this table that we're looking at is what
7 was reported by the investigator. When the
8 investigator has an event, the laboratory value,
9 however they got it, whether by central lab
10 measurement or by independent measurement at the
11 site, they would call it an AE and they would
12 report it.

13 Now, what happens is our monitors go out to
14 the site and sources verify that there is actually
15 in the clinical record a laboratory value that
16 matches these adverse events. So when you go by
17 these reported adverse events based on neutropenia,
18 that's what you get.

19 The FDA focused their table exclusively on
20 the lab data, and that is the lead data that was
21 collected every 2 weeks, which we said and which
22 Dr. Avery explained are probably infrequent to

1 capture the interval changes between the first
2 report of neutropenia.

3 DR. GREEN: That that is very helpful, and I
4 thank you for that further explanation.

5 DR. BADEN: Dr. Murphy, did you have a
6 follow-on question?

7 DR. MURPHY: I did not.

8 DR. BADEN: Dr. Haidar, you have a question.

9 DR. HAIDAR: Yes. Hi. This is Dr. Ghady
10 Haidar from the University of Pittsburgh, and just
11 a minor question about drug administration. I know
12 that it's oral, but is there an IV form? Is there
13 something that you can give to someone who is
14 intubated? And my third question is, I think it
15 was only looked at in people with a GFR greater
16 than 30. Will there be any dosing or proposed
17 dosing recommendations if the GFR is less than 30?

18 DR. UMEH: For the first question, no, we
19 don't have an IV formulation as of now. We only
20 have this oral formulation, and the label will be
21 limited. There will be no dosing recommendations
22 for people less than 30 because we didn't study

1 them in this particular trial.

2 DR. HAIDAR: And then --

3 DR. UMEH: Sorry. Go ahead.

4 DR. HAIDAR: I was just going to ask how
5 about the intubation, and then can you give it down
6 a feeding tube?

7 DR. UMEH: It will not be in the current
8 label because we have outstanding studies to do.
9 But there is a plan for us to complete those
10 studies and make the data available to guide use
11 through the NG tube.

12 DR. BADEN: Great. Thank you.

13 I think we have covered all of the
14 clarifying questions from the committee.

15 DR. UMEH: Can --

16 DR. BADEN: Go ahead.

17 DR. UMEH: May I ask the chair if we could
18 respond to a statement?

19 In the presentation, the FDA has asked the
20 committee to comment on the value of the data in
21 the refractory patients. I wanted Dr. Avery and
22 Dr. Kotton to speak to their own feelings about

1 this data.

2 Dr. Avery?

3 DR. AVERY: Thank you. It's Robin Avery.

4 Yes. I think as clinicians, we feel that this is a
5 continuum. We don't differentiate into two groups
6 of refractory and resistant. We think of this as a
7 continuum of very, very challenging patients, and
8 we really look forward to the opportunity to have
9 an oral, less toxic, and effective drug for this
10 entire group.

11 DR. KOTTON: Camille Kotton. I'd like to
12 second what Dr. Avery said. We have a definition
13 paper that was in Clinical Infectious Disease in
14 2018 by Roy Chemaly, et al, and in that we define
15 the concept of resistant/refractory disease, and we
16 really think of this as a continuum.

17 As I mentioned, in the guidelines, we first
18 identify that there is likely to be
19 resistant/refractory disease. We reduce
20 immunosuppression. We send resistance testing.
21 And because resistance testing takes several weeks
22 to come back -- in parentheses, especially during

1 the pandemic when everything seems to be slowed,
2 especially, PCR-based assays -- for patient care,
3 we must initiate appropriate treatment.

4 So for me as a clinician in the field who
5 often manages these patients, and I get emails from
6 all over the world about these patients, it would
7 be heartbreaking if we divided this into resistant
8 disease only but not management of refractory
9 patients, because we really think of this as sort
10 of one in the same process.

11 Furthermore, there are issues that I won't
12 go into with diagnostics, but we do think that many
13 of the refractory patients may well have resistance
14 that isn't yet diagnosable with the current testing
15 we're doing. But I think that likely in the next
16 five years, we may have diagnostic capacity such
17 that we're able to realize that what we're calling
18 refractory, but not officially diagnosed as
19 resistant patients, may well have that resistance.

20 DR. BADEN: Thank you for those comments. I
21 think that we appreciate all of the information
22 shared by the speakers, the applicant, the agency,

1 and really appreciate everyone's input, so thank
2 you.

3 What we'll now do is proceed with the charge
4 to the committee, to Dr. Birnkrant.

5 **Charge to the Committee**

6 DR. BIRNKRANT: Thank you very much, and
7 thank you for the discussion.

8 Well, you've heard from both the applicant
9 and the FDA, and data have been presented from
10 Trials 303 in refractory patients, most with
11 genotypic resistance, and from Trial 202. The
12 primary endpoint was met in Trial 303 and showed
13 superiority with respect to confirmed CMV viremia
14 clearance at week 8, and was most favorable in the
15 population with genotypic resistance with a
16 numerical trend in the setting without genotypic
17 resistance.

18 First, we would like you to discuss the
19 presentations as part of discussion question
20 number 1, and then we will move to the voting
21 questions 2 and 3. Again, we would like you to
22 discuss your evaluation of the efficacy outcome in

1 the phase 3 trial, 303, and data from the phase 2
2 trial, 202, and the overall risk-benefit assessment
3 for maribavir for this new indication.

4 In your discussions, please consider the
5 population that is narrow with an unmet medical
6 need; the trial design and limitations that we
7 presented; the primary efficacy outcome and the
8 results from sensitivity and subgroup analyses, and
9 the maribavir safety profile.

10 Thank you very much, and I'll turn it back
11 to Dr. Baden.

12 **Questions to the Committee and Discussion**

13 DR. BADEN: Thank you, Dr. Birnkrant. I
14 think a lot of what you just raised is part of
15 question 1, the discussion. So I think that we'll
16 turn our attention to the discussion question and
17 cover the exact issues, Dr. Birnkrant, that you
18 raised.

19 So the committee will now turn its attention
20 to address the task at hand, the careful
21 consideration of the data before the committee, as
22 well as the public comment. We'll proceed with the

1 questions to the committee and panel discussions.

2 I would like to remind public observers that
3 while this meeting is open for public observation,
4 public attendees may not participate except at the
5 specific request of the panel. After I read each
6 question, we'll pause for any questions or comments
7 concerning its wording, then we'll open the
8 question to discussion.

9 What we will do, committee members, is we
10 will look at question 1, and then take
11 Dr. Birnkrant's comments to heart as we discuss
12 question 1, which raises all the issues about the
13 types of data we have seen, the complications in
14 this population, and what kind of data are helpful
15 in our deliberations and in establishing safety and
16 efficacy.

17 Now we have the question. Question 1, which
18 is a discussion question, discuss the efficacy and
19 outcome in the phase 3 trial, SHP620-303, and data
20 from the phase 2 trial, SHP620-202, and the overall
21 risk-benefit assessment for maribavir. Include in
22 the discussion the following: population; trial

1 design; efficacy; sensitivity and subgroup
2 analyses; and safety.

3 Are there any questions about this
4 discussion question? And then I will open it up to
5 discussion among the committee members. But are
6 there any questions about the charge to us
7 regarding discussing these issues?

8 (No response.)

9 DR. BADEN: So if there are no questions or
10 comments concerning the wording of the question,
11 we'll now open the question to discussion. To the
12 committee members, please raise your hand as you
13 wish to start the discussion. I see Dr. Bridges.
14 Please start.

15 DR. BRIDGES: Thank you. Nancy Bridges from
16 NIAID. I am reluctant to start with what sounds
17 like a negative comment because I do think that,
18 overall, the data are very persuasive in support of
19 approval. But I just wanted to mention that the
20 study participants were overwhelmingly white, so I
21 guess this comes under the topic of population.

22 We know, actually, that CMV serum positivity

1 has a much higher prevalence among African
2 Americans, so within the context that I do hope
3 that this drug is approved, I would like to see
4 some requests to the company to gather data about
5 efficacy in African Americans because I don't think
6 we have any data really on that.

7 DR. BADEN: Thank you.

8 Dr. Le?

9 DR. LE: I'm just going to piggyback on the
10 content of minority populations. I guess pediatric
11 would fall into that. I was happy to hear that the
12 company did make some attempts to look into
13 pediatric data, although there was no enrollment.
14 But I hope you can encourage to continue to
15 particularly look into the PK data. I'm interested
16 in knowing what the dosing would be like in
17 pediatric patients, and maybe even consider down to
18 neonates as well, at least with the PK data.

19 I'm trying to put all of this in perspective
20 in terms of the data presented to us is open-label.
21 How realistic is it for us to do a full-blind,
22 placebo-controlled trial that's blinded? Is it

1 realistic in this population to even do that?

2 DR. BADEN: I think that's a great question.
3 I think from what I heard from the sponsor was the
4 taste was so distinctive that they were concerned
5 about the ability to be double-blind, let alone the
6 toxicities of the IV options, foscarnet and
7 ganciclovir. But your point -- and this is for
8 discussion with us. The sponsor and the agency
9 doesn't come in here, so these are committee
10 members to discuss.

11 So I think that it was some of the
12 challenges of the options and of the product, was
13 my understanding. But your point from my
14 perspective is well taken, which is an open-label
15 trial with the incipient biases is problematic, but
16 whether or not a truly double-blind/double-dummy
17 study could have been done is unclear. But reasons
18 were given why that may have been difficult.

19 I think we have follow-on questions.

20 Dr. Flatau?

21 DR. FLATAU: Yes. I would say I think that
22 even if maribavir, the results were only because it

1 was more tolerable, that that would be a step in
2 the right direction. But as far as the population,
3 I agree with it. It seems like it hasn't been
4 studied at all or very little in the pediatric
5 population. It seems like something that would be
6 important to do.

7 The thing that also got me was the idea of a
8 placebo-controlled trial. We have drugs for CMV,
9 and I think using a placebo against maribavir would
10 be unethical in treating CMV. I understand the
11 need for blinded trials and the problems with them,
12 but I don't think using a placebo would be ethical
13 in this situation. Thanks.

14 DR. BADEN: Thank you.

15 Dr. Bollard? And please state your name
16 right before you talk just so that the record is
17 clear.

18 DR. BOLLARD: Yes. Hi. It's Catherine
19 Bollard here, Children's National, Washington DC.
20 Just to capitalize on the question about potential
21 bias and populations we're missing, I completely
22 agree. Obviously, if the population is

1 predominately white and adults, we're missing
2 ethnic diversity and the pediatric population.

3 But again, going to the BMT population, bone
4 marrow transplant population, with such a few
5 percentage less, 7 to 10 percent having graft-
6 versus-host disease, that is the major problem
7 patient population in the bone marrow transplant
8 setting. I also urge the company to get more data
9 in that particular setting, especially GVHD.

10 DR. BADEN: Thank you, Dr. Bollard.

11 Dr. Bridges, you have a comment?

12 DR. BRIDGES: Yes, just a follow-up comment
13 in this area. I would say, first of all, while I
14 stand by my concerns, I also think we need to be
15 careful not to let better be the enemy of good in
16 this setting. Also, on the issue of a blinded
17 trial, I think probably everybody would agree you
18 can't have a placebo-controlled trial in this
19 setting, but I would argue that in the absence of
20 an approved therapy for CMV, it would be equally
21 unethical to have a blinded-controlled trial.

22 I think that once you've reached this

1 setting where patients are refractory, physician
2 judgment is really all we have left for such a
3 group of patients, and I don't really think that
4 you can ethically take that away from patients in
5 this dire situation. So I happen to believe that
6 the design that was chosen is probably the best
7 that we can do.

8 DR. BADEN: Thank you.

9 Dr. Hardy? And again, please state your
10 name before you make your comments.

11 DR. HARDY: Hi. This is Dr. Hardy, David
12 Hardy from Tech School of Medicine and USC in Los
13 Angeles. I just want to support the fact that in a
14 patient population like this, that is at high risk
15 and immunocompromised, doing a clinical trial that
16 is not strictly placebo controlled, because you do
17 have to treat the CMV somehow, would be a double
18 dummy, meaning that one group would get a placebo
19 oral, and the other group would get a placebo IV.
20 Even that kind of design would be problematic in
21 terms of giving patients who are immunocompromised
22 medication or intravenous infusions that are

1 placebo.

2 So I think, again, I would really support
3 the fact that in this patient population, trying to
4 do that rigorous of a trial, while a good idea, in
5 trial design it's not practical. So I would think
6 that this is as good as the data we're going to
7 get.

8 DR. BADEN: Thank you.

9 Dr. Haidar?

10 Dr. HAIDAR: Yes. Hi. This is Ghady Haidar
11 from the University of Pittsburgh. I just want to
12 comment on what's been said. Despite the issues
13 with the lack of pediatric population and an
14 over-representation of white people, I think that
15 we can all agree that SOT and BMT recipients are
16 just the highest risk. Actually, they're probably
17 the only individuals who are at risk for refractory
18 and resistant CMV.

19 In that regard, I think that the fact that
20 the population was narrow makes perfect sense.
21 These are people with an unmet medical need. I
22 think that someone earlier said we shouldn't let

1 perfect be the enemy of the good, and I completely
2 agree with that.

3 As far as doing a different kind of trial,
4 as someone who sees these patients all the
5 time -- so all I do is see BMT, CAR-T, SOT, and
6 things like that -- I can tell you since there's no
7 standard approach to managing refractory/resistant
8 CMV, you might end up in a situation where if you
9 really want to double-blind everything, you're
10 going to have to give people sort of fake
11 foscarnet, which involves twice a day intravenous
12 infusions, and giving them magnesium, and giving
13 them all these boluses of normal saline and things
14 like that. So it's just not possible or feasible
15 to do. So I think that the design that was chosen
16 is the only one that works.

17 DR. BADEN: I'm going to push a little bit
18 on this design issue because your point is well
19 taken, and this gets to some of Dr. Bollard's
20 comments across the day. Are the BMT populations
21 in the SOT populations really the same?

22 What I think was raised earlier, if the BMT

1 population is having GVHD prophylaxis tapered over
2 the next hundred days; and they develop CMV; and
3 they get standard treatment for a couple of weeks;
4 and they are declared now refractory or resistant;
5 and they are now switched to another agent while
6 the immunosuppression is being tapered, how much
7 can we tease apart the antimicrobial effect from
8 the immune reconstitution effect because of how
9 these patients are managed?

10 What do you think of that consideration,
11 which is different than SOT, where they may be on a
12 stable regimen, or it also may be rejection with
13 some tapering of the immunosuppression? So how do
14 we deal with the immunosuppression manipulation and
15 the timing of the antiviral and the efficacy
16 outcomes? To the group, so please feel free to
17 comment.

18 Dr. Haidar?

19 DR. BOLLARD: Maybe I can comment --

20 DR. BADEN: Dr. Bollard? Yes, Dr. Bollard?

21 DR. BOLLARD: It's Catherine Bollard here.

22 Well, thank you very much for summarizing

1 what I was trying to actually say throughout my
2 questioning throughout the day. You're exactly
3 right. And I am particularly concerned in the BMT
4 population that you might be seeing an immune
5 reconstitution effect.

6 I say that because it's low rates of GVHD in
7 this population they studied, and half of that
8 population had donors who were CMV seropositive,
9 which is a better risk situation. So I am worried
10 that we're comparing apples and oranges there a
11 little bit.

12 DR. BADEN: So for others who want to react
13 to what I just said, please do the check box. But
14 the point that I was trying to make in my comment
15 earlier is the immune manipulation is not a trivial
16 consideration, and the BMT and SOT may not be
17 homogeneous.

18 I see others have chimed in.

19 Dr. Gea-Banacloche, your comments on this?

20 DR. GEA-BANACLOCHE: Yes. You're absolutely
21 on target. As you say, there are different
22 populations, and the wiggle room may be different

1 also because you have brought two things that are
2 kind of opposite. You say the BMT patient who
3 develops graft-versus-host disease and CMV, and in
4 that patient, actually, you cannot decrease
5 immunosuppression; you have to increase the
6 immunosuppression.

7 So the only weapon that you have against
8 that to be able to interpret anything is the
9 randomization, and you end up saying, well, this
10 is a small study in terms of numbers, particularly
11 when you say that the fraction of patients with
12 graft-versus-host disease is very small. Only
13 40 percent of the patients were BMT and a few cases
14 of graft-versus-host disease.

15 And not only that; when you look at the CMV,
16 these were not terrible CMVs, right? The overall
17 impression that I get looking at the data is that,
18 yes, maribavir is an agent that we should be able
19 to use -- there are no two ways around that -- but
20 in terms of how potent it's going to be, how well
21 it's going to work when someone has GVHD and
22 they're not absorbing the drug and when they have

1 increasing CMV, and instead of talking of a few
2 thousand copies, we're talking about really high
3 CMV or CMV disease -- in the data they show that it
4 works worse for CMV disease or for CMV syndrome.

5 So I think that that there are many unknowns
6 still. So I think that in terms of
7 refractory/resistant, as you say, the new
8 manipulation is a big part of it, but I don't know
9 how to fix it. I mean, it would have been nice if
10 they had measured the immune manipulation in some
11 fashion that they had some standard way of saying,
12 oh, yeah; in this percent of patients, they
13 decreased the MMF, or they stopped the MMF, or they
14 aimed for lower toxable [ph] levels. But that is
15 extremely difficult to do.

16 I think the way to address that is to have a
17 separate study for BMT, a separate study for solid
18 organ, and hope that randomization is going to help
19 you with the differences.

20 DR. BADEN: Thank you.

21 I'm going to preferentially call on those
22 who have spoken less in this discussion first.

1 Dr. Burgess?

2 CAPT BURGESS: Thanks, Dr. Baden. My
3 comment to the question that you raise is I realize
4 that bone marrow transplant and solid organ
5 transplant are obviously different and the issue of
6 GVHD is different. But couldn't you also say that
7 amongst solid organ transplant recipients, that
8 there is considerable heterogeneity?

9 I think Dr. Gea-Banacloche just alluded to
10 that. In a lung transplant recipient, for example,
11 a difference between CMV syndrome and CMV
12 pneumonitis might also introduce reasonable
13 heterogeneity that would suggest if one were going
14 to make perfect the enemy of sufficient, that you'd
15 need to even further subdivide or have additional
16 studies.

17 So how much is sufficient? And I would
18 concur with the comment that you just have to rely
19 on randomization.

20 DR. BADEN: Thank you.

21 Dr. Perez?

22 DR. PEREZ: Thank you.

1 Federico Perez, Cleveland VA. On the issue
2 of trial design limitations, I think the discussion
3 has convinced me that the open label is reasonable,
4 but I am following the discussion on the
5 differences between these two populations of solid
6 organ and bone marrow transplants.

7 The design solution for that would be a
8 stratified, randomized-controlled trial, but I
9 don't know if any post hoc analysis is possible at
10 this point or what type of recommendations would
11 come from this committee in that regard, other than
12 real-life studies to see how the drug performs in
13 the populations of more concern. Thank you.

14 DR. BADEN: Thank you.

15 Dr. Flatau?

16 DR. FLATAU: Yes. I wanted to say that any
17 effect of reducing immune suppression presumably
18 would have been on both sides of the trial, both in
19 the IAT group and the maribavir group. Others have
20 said we can't let the perfect be the enemy of the
21 good, so I think we need to look at it the best we
22 can. It would be nice to have just an HSCT trial,

1 but I think probably what we have now is as good as
2 we're gonna get. That's it.

3 DR. BADEN: Thank you. No. These are very
4 difficult trials to do.

5 Dr. Siberry.

6 DR. SIBERRY: Yes. Thanks. George Siberry
7 here, USAID. This was stratified by stem cell
8 transplant versus solid organ transplant in its
9 design, and while we have a lot more to learn, I
10 would emphasize that the effect of maribavir, in
11 favor of maribavir, was robust for both of those
12 groups when looked at separately.

13 So I think that speaks to not only good
14 design but, at least at this point, adequate
15 reassurance that it wasn't simply time and changing
16 immunosuppression that meant the stem cell
17 transplant patients got better, or you wouldn't
18 have seen the preservation of a difference in favor
19 of maribavir.

20 I'll just quickly say a couple of other
21 points so that I'm done. I do think the overall
22 design was actually very good and the combination

1 of refractory and resistance, including refractory
2 without documented resistance, seems like the only
3 feasible way to go since you can't really
4 differentiate those at the time of having to make a
5 decision about this treatment.

6 I do think even though the magnitude of the
7 effect was less in refractory without resistance,
8 it was still in the same direction, and that I
9 think speaks, again, to the robustness of the
10 findings and the reassurance that it will go well.

11 But my main questions about study design and
12 what else maybe could have been considered is the
13 duration of treatment. Did we do right by the
14 8 weeks and not looking at other durations? Do we
15 have enough information about the resistance that
16 emerges in failures of this drug and what to do
17 then? And as mentioned, of course, pediatrics.

18 I'd end by saying I hope we don't have an
19 artificially low 18-year-old age mark, as if that
20 were biologically relevant, and keep this open to
21 adults and adolescents who are puberty mature.
22 Over.

1 DR. BADEN: Thank you.

2 Dr. Hunsberger?

3 DR. HUNSBERGER: Sally Hunsberger.

4 As I'm following this discussion and
5 separating and having stratified groups, what
6 strikes me is that it almost feels like people
7 don't believe the endpoint. And I'm wondering,
8 when I was hearing the public comments and such, it
9 was avoiding transplant and that kind of thing,
10 that they were arguing that this is why it's
11 important.

12 It seems that if we had a harder endpoint
13 such as a combination of death and avoiding
14 transplant, then the open label would be less of a
15 problem, and I think right now it's not clear that
16 people believe the endpoint that well.

17 When you look at the sensitivity
18 analyses -- and the primary analysis shows that
19 there's a strong effect on this endpoint -- all the
20 sensitivity analyses, they essentially did an
21 intention-to-treat analysis, and that was a strong
22 effect. They gave the standard-of-care arm the

1 best possible option by saying, okay, let's look at
2 their response at any point during the time that
3 they were treated, and all of those showed that
4 there was a benefit, but from the treatment arm.

5 So the argument seemed to be more around do
6 we believe the endpoint, so I think that's one of
7 the questions you need to grapple with. Over.

8 DR. BADEN: Thank you, and we'll come back
9 to the endpoint because that'll be its own
10 discussion.

11 Dr. Bridges?

12 DR. BRIDGES: Thanks. Nancy Bridges from
13 NIAID. I agree with the sentiment that the
14 efficacy is quite clearly demonstrated despite
15 whatever small objections we might have to the
16 design of the trial. But what I wanted to say is I
17 certainly don't claim to have any more expertise
18 than anybody else on this call, but I'm speaking
19 from my experience of specifically designing trials
20 for transplant patients for the last 20 years;
21 that's what I do for a living.

22 In general, you have to focus on the big

1 picture. Does it work and is it going to hurt
2 anybody? And in almost all cases, the nuances of
3 how a new drug will be used in the transplant
4 population are worked out by the transplant
5 clinical community post-approval because the
6 population is so heterogeneous and there are so
7 many moving parts, it's really not possible, and
8 the population size is limited.

9 So when you take all of those things into
10 consideration -- small population size, high amount
11 of variability, and many things we can't measure at
12 all -- it's inevitable that the clinical use of the
13 drug, many aspects of it are going to be worked out
14 after approval. That's all I had to say.

15 DR. BADEN: Thank you, Dr. Bridges. So
16 part of what you are suggesting is that this is a
17 relatively closed community of patients and
18 providers, and much will be learned by how they
19 utilize new therapies if those new therapies have a
20 favorable risk-benefit profile in a macro sense.

21 DR. BRIDGES: Exactly.

22 DR. BADEN: I see that Dr. Haidar has a

1 comment on this discussion.

2 DR. HAIDAR: Yes. This is Ghady Haidar from
3 the University of Pittsburgh. I just wanted to
4 follow up on what's just been said. I think that,
5 one, I do believe the endpoint, even if it's driven
6 by the worse safety profile of the other drugs. I
7 think that's fine.

8 I think as far as the issue of duration, I
9 completely echo what was just said, in that should
10 this drug be approved, you're going to see the
11 transplant docs and the transplant ID docs just do
12 all sorts of things with it. They might treat for
13 beyond 8 weeks; they might treat for less than
14 8 weeks.

15 It just all has to be customized based on
16 the patient's individual risk factors; what organ
17 they've had; what kind of CMV mismatch BMT they've
18 had; what sort of immunosuppression they're on;
19 have they cleared; do they have a positive T-cell
20 response, and things like that.

21 In a controlled clinical trial setting, they
22 had to pick an end date at some point because

1 everything else I think would have been too
2 complex, but time will tell how this is going to be
3 used. And I'm pretty sure that, over time, you'll
4 also start seeing more about the resistance to this
5 drug as well, as more important people use it.

6 Along these lines, I also just wanted to
7 emphasize the point that Dr. Kotton had made
8 earlier, which is about the distinction between
9 resistant and refractory infection, which is a
10 little arbitrary, and I completely agree with what
11 she said in that it's a continuum of conditions.
12 The point that she made about having to wait for
13 the genotype to come back is actually crucial. It
14 does take a very long time for us to get a genotype
15 back, and these patients typically can't wait the
16 many weeks we have to wait for the reference lab to
17 tell us what the genotype is. Thank you.

18 DR. BADEN: Thank you. But just to the
19 endpoint issue, I think we all would agree that an
20 efficacy endpoint and the toxicity endpoint are
21 different, though equally important, but need to be
22 clearly delineated when we say success or failure

1 as to why, because the high viral load cases with
2 maribavir didn't have as good an outcome -- if I
3 interpreted some of the data presented -- on the
4 efficacy side as the low viral load.

5 So I agree that the efficacy endpoint of
6 avoiding dialysis or toxicity endpoint is
7 incredibly important, but it still is very
8 different than the high-level antiviral effect, and
9 that has to be clearly understood and delineated so
10 that as we explore how this may work, we understand
11 its strengths and weaknesses.

12 Dr. Weina?

13 DR. WEINA: Hi. Pete Weina. Actually, I'm
14 kind of taking off a little bit on what Dr. Haidar
15 just brought up, and that is discussion point 1E,
16 the safety profile in comparison with the other
17 antivirals.

18 Well, first of all, we don't have a lot of
19 safety data on maribavir in a fairly large enough
20 population at the intended dose. And as I bring up
21 at just about every meeting, the potential for
22 off-label use -- and that was kind of touched on

1 several times -- once the drug is approved, even if
2 it's approved with a limited indication, it's going
3 to get used for practically everything. It's going
4 to get used for prophylaxis. It's going to get
5 used for secondary prophylaxis. It's going to get
6 used for longer than 4 weeks, longer than 8 weeks,
7 and longer than 32 weeks. Who knows?

8 Given the fact that it appears to be a
9 kinder and gentler drug compared to the current
10 therapies that are out there, both in terms of side
11 effects and ease of administration, and everything
12 else, it's going to very quickly gain footing and
13 use other than those cases demonstrated to be
14 refractory or resistant.

15 So I'm kind of torn. The difference between
16 the actual vote questions and the difference
17 between genotypic resistance and without genotypic
18 resistance I think is truly a moot point here.

19 Over.

20 DR. BADEN: Thank you.

21 Dr. Green?

22 DR. GREEN: Thank you. I've been waxing and

1 waning between raising a hand and checking whether
2 to comment or not.

3 I first want to make one comment, as a
4 pediatric ID doctor who does transplant ID, that on
5 the time that I've been on the committee, not a
6 single drug that we've considered has come with
7 pediatric data at that time. So everyone should be
8 hopefully resting assured that the sponsor, or
9 Takeda, will be interested in doing a study in
10 kids. And when they are, I know that myself and
11 many other colleagues would be very happy to try to
12 help them to enroll subjects for it.

13 I did want to comment on the primary
14 efficacy outcome and including, by definition, sort
15 of a built-in composite score of virologic efficacy
16 and tolerability. While I'm sort of disappointed
17 that a number of patients were probably enrolled
18 knowing that if they got the investigator-chosen
19 regimen, that if they could just tough it out for
20 3 weeks and they weren't better, that they could be
21 switched, I do think that combining the virologic
22 effect and also the safety effect to judge a

1 success is relevant and has been done in previous
2 studies that have drugs that are licensed; things
3 like when they were looking at caspofungin versus,
4 I think, amphotericin way back when.

5 I do also want to say, as was mentioned,
6 that, really, there is some measure and meaning to
7 the fact that the sensitivity analysis, and the
8 primary efficacy analysis, and subgroup analysis
9 all seem to point in a single direction, and even
10 when not statistically different, trends were
11 noted. But not once was maribavir identified as
12 inferior, and the drugs that we currently use are
13 not actually approved for treatment anyhow.

14 So I think that that's very notable and
15 important to pay attention to. And I think that in
16 taking all that into consideration, I think there
17 was a discussion and a design that was approved by
18 both the sponsor and the FDA. They followed it.
19 They went through the trial following those rules.

20 I do believe the statements about how the
21 data that FDA could see in terms of safety markers
22 may not completely reflect the evolving impact the

1 investigator-chosen drugs were having on kidneys
2 and bone marrow because it might act before you
3 could see it, and that makes a great deal of sense.

4 This is an imperfect population to study.
5 It's tremendously challenging to care for. I give
6 credit and thanks to both the agency and the
7 sponsor for working to try to develop a new option
8 where we are limited in what we can do. And I at
9 least feel that I have data to inform a vote that
10 I'm going to make in a few minutes. Thanks very
11 much.

12 DR. BADEN: Thank you.

13 Dr. Siberry?

14 DR. SIBERRY: Thanks, Dr. Baden.

15 I just want to note that the sponsor did use
16 the FDA validated surrogate endpoint as their
17 choice, so in these complex and difficult areas,
18 they chose something that was acknowledged as
19 reasonable. And you made the point about the viral
20 load, but even there, even though point estimates
21 or the differential benefit of maribavir against
22 the IAT were smaller for the higher viral load, in

1 every case it was still higher, still in favor of
2 maribavir.

3 So I don't think when we're talking about
4 this endpoint that has some sort of required
5 combination of efficacy and safety, we're not
6 giving up efficacy in order to get safety. From
7 everything we've looked at, the efficacy persisted,
8 even when we say took away people who had to stop
9 for AE reasons and just looked at virologic
10 response. It wasn't as if maribavir was worse.
11 Perhaps it was, we can say, at least no better.

12 So I just want to emphasize that the
13 efficacy measures when stripped away, it doesn't
14 look like we're sacrificing that; and that across
15 viral load, among the other things, that the point
16 estimates really were pretty robust. Thanks.

17 DR. BADEN: Thank you, Dr. Siberry. I don't
18 disagree, but I want us to also be a little bit
19 careful in the overall interpretation in that the
20 efficacy in IAT may be, in part, due to the drop in
21 immunosuppression, not to virologic activity. We
22 don't know. And that doesn't take away from what

1 maribavir brings to the treatment, but we have to
2 be careful that we don't have a placebo group to
3 know what just immune manipulation does versus
4 antiviral activity.

5 I agree with the other comments that the
6 overall constellation of evidence all point in the
7 same direction, as we've seen discussed from many
8 sides. But we are looking at a new agent compared
9 to a failing agent -- and that's the reality of the
10 clinical scenario -- not against placebo. So we
11 have to be careful about overinterpreting, although
12 the data all point in the same direction.

13 Dr. Walker?

14 DR. WALKER: Yes. Hi. Dr. Roblena Walker.
15 I've been toggling back and forth. I made my mind
16 up, and then I've been listening to the discussion,
17 and I went back.

18 So I do agree with some of the comments that
19 have been made, and I do believe that the study
20 design was well. The primary efficacy endpoint
21 indicates that the hypothesis was shown. It
22 indicates effective therapy. There were some

1 significant findings at 4 weeks.

2 However, I think my main
3 concern -- especially as the consumer
4 representative, as an African American female, and
5 as a daughter of a mother who was diagnosed with
6 multiple myeloma and had a successful bone marrow
7 transplant -- all things considered, I think the
8 limitation in the population and the concern of how
9 tolerable this drug would be in the African
10 American population, especially African American
11 women, has just not been shown. But it does not
12 negate the findings of the study in the population
13 that was provided.

14 DR. BADEN: Thank you. No, it's very
15 important that data be extended to all important
16 populations to whom we hope to treat, so your
17 points are very well appreciated.

18 Dr. Banacloche?

19 DR. GEA-BANACLOCHE: Yes. Juan
20 Gea-Banacloche from the NIH. Just to say the same
21 thing that you said during the discussion, I think
22 when they studied the failures, there was the same

1 percent of virological failures in the maribavir
2 arm as the IAT arm. I think that is an important
3 different way of looking at the same data.

4 I think my overall impression of maribavir
5 is that it is effective. I don't think it's better
6 than the other agents, but I think that it's
7 something that we need to have. As I have pointed
8 before, to be able to give something that is less
9 toxic than ganciclovir and foscarnet is really
10 important.

11 So in that sense, the endpoint at 8 weeks,
12 which I mentioned before, was a little problematic
13 for me because, in reality, the way we use these
14 medicines, we try to give them as little as
15 possible. I see how they can present that we did a
16 sensitivity analysis, and no matter when they
17 responded, still maribavir was superior. Well, it
18 may be, but when you look at the other virological
19 failures, they were the same.

20 So it's a design thing, and everybody has
21 said, yeah, this is all that we're going to have.
22 And I think maribavir is a drug that should be

1 approved, but at the same time, I don't think it's
2 the best possible drug against CMV. It's something
3 we need.

4 DR. BADEN: So I will ask the committee to
5 avoid saying how you're going to vote, although our
6 comments obviously speak to what we think of the
7 strengths and weaknesses of the data. So thank you
8 very much for those comments.

9 I want to come back to one of the points
10 that I think Dr. Bridges or Sally brought up about
11 the endpoint and having an endpoint of death or CMV
12 tissue invasion versus viral load or toxicity.

13 Do the committee members have thoughts on
14 how we can improve the primary endpoint for these
15 studies, given the uneven nature of what we've been
16 talking about with toxicity and efficacy as a
17 virologic measurement? Thoughts on death and
18 tissue disease, and hit the check box if you would
19 like to discuss.

20 I see Dr. Weina jumped boxes.

21 So I'll make some first comments on that
22 because I think the primary efficacy outcome is

1 critically important. And personally, I look at
2 efficacy and toxicity as separate, although
3 co-equal and incredibly important. And as much as
4 I would like death or tissue disease, the rarity of
5 those events make it difficult, in my mind, to
6 design a study that can be achieved in a reasonable
7 amount of time.

8 So we're left with a virologic marker and
9 then toxicity assessments. And renal failure
10 dialysis, the toxicity, severe neutropenia, these
11 are not trivial toxicities, but at least I consider
12 them quite different, although co-equally
13 important. So it seems reasonable in terms of the
14 primary efficacy outcome, the question 1C, but I'm
15 interested if others have comments.

16 Dr. Bridges?

17 DR. BRIDGES: I agree with everything you
18 said, and I would just add that if you used this as
19 an endpoint, it would be a major attribution and
20 adjudication nightmare because many times the
21 proximate cause of death in somebody who has gone
22 through prolonged treatment for CMV disease is not

1 the CMV disease. But there's a strong sense that
2 they wouldn't have ended up there had it not been
3 for multiple hospitalizations, multiple bouts of
4 low white counts, et cetera, all of the
5 complications of the therapy. So it might not end
6 up being a clearer endpoint.

7 DR. BADEN: Thank you.

8 Dr. Hunsberger?

9 DR. HUNSBERGER: Yes. This is Sally
10 Hunsberger. I'm a statistician. For me, I would
11 just say we'd have to run the numbers to see how
12 big of a study that would be. And you're right; it
13 might not be feasible.

14 But I do think that an endpoint that
15 captures both the risk and the benefit -- so if
16 someone died of a toxicity, I think that should go
17 against the drug, and it is a nice way to summarize
18 both the good and the bad of the drugs. So it
19 might not be feasible, but, for me, that would make
20 everything much more clear, if you're using the
21 most clinically relevant. And the problem here is
22 that it's hard to say that this is a clinically

1 relevant endpoint, but I won't argue too strongly
2 against it. I'm not a clinician.

3 So just that I put that out there, that a
4 hard endpoint would take away all of these other
5 issues, and I think we would know a lot more about
6 the treatment, but it might not be feasible.

7 DR. BADEN: No, the point is well taken, the
8 issue of CMV viral load that is used clinically to
9 trigger treatment. So there are clinical standards
10 for how it's used, and it leads to a change in
11 management, preferably before end-organ dysfunction
12 from CMV invasion occurs.

13 DR. HUNSBERGER: Just to follow up, I do
14 think that this was a good design in that there
15 were criteria for when people would be taken off
16 the treatment. So I think that was a strength of
17 the design. So I think as far as this endpoint for
18 this study goes, I think they did it very well. I
19 think it's a strong study. So with this endpoint,
20 I think they did it as good as they could have done
21 it.

22 DR. BADEN: Thank you.

1 Dr. Haidar?

2 DR. HAIDAR: Hi. This is Ghady Haidar from
3 the University of Pittsburgh. There's actually an
4 article in CID, published in 2018 I want to say,
5 that goes over all of these things. It's about
6 disease definitions for CMV when it comes to
7 trials, and they go over a lot of these nuances.

8 But one of the issues that I think has also
9 been brought up is if you want to use CMV
10 tissue-invasive disease as an entry criteria, or
11 even an endpoint, that means that you're going to
12 have to subject people to biopsies, where in
13 clinical practice, a lot of the time we don't
14 necessarily nitpick about is this person's liver
15 function abnormality because of CMV or not. You
16 just sort of assume that it is, and you call it
17 probable CMV, so then an added layer of complexity.
18 And even people who have tissue-invasive GI
19 disease, you don't always have to do a colonoscopy
20 and endoscopy. You can, but you don't always.

21 Then you would imagine that a trial would
22 start to mandate that people do biopsies when the

1 doctors may not think they're indicated. Aside
2 from sample size and power issues, one of the
3 nightmares would also be the definitions of CMV,
4 because then you start to get into does this person
5 have proven CMV pneumonia, or probable, and things
6 like that.

7 So I think that having a biomarker in the
8 blood as the main endpoint, is the same as you
9 would do with an HIV viral load or an LDL, for
10 example, I think that's the best way to go with CMV
11 trials.

12 DR. BADEN: Dr. Le?

13 DR. LE: Hi. Dr. Jennifer Le from UC San
14 Diego, California. I agree with Dr. Baden's
15 comment in terms of the use of viral load as a
16 primary outcome, given the population feasibility.
17 And it sounds to me until we get to a place where
18 we improve mortality in transplant patients and
19 management of, in general, not just CMV, I don't
20 think we can safely use mortality as a primary
21 outcome. But it doesn't hurt to use it as a
22 secondary to just keep an eye on it. Thank you.

1 DR. BADEN: Thank you.

2 Dr. Chandra?

3 (No response.)

4 DR. BADEN: You're on mute, Dr. Chandra.

5 DR. CHANDRA: Can you hear me now?

6 DR. BADEN: Yes, now we can hear you.

7 DR. CHANDRA: Okay.

8 FDA guidance on conducting CMV clinical
9 trials does include a composite endpoint as the
10 primary endpoint, which includes both viral load,
11 as well as improvement or resolution of signs and
12 symptoms of CMV disease.

13 I think the issue in this study was that
14 most patients, 90 percent, were asymptomatic, and
15 also most of them were having very low viral load,
16 less than 5,000 or so. So probably that was the
17 reason they used it as a secondary endpoint. And
18 for resolution of signs and symptoms, they had an
19 adjudication committee to help with that. And
20 that's what I wanted to just add to it.

21 DR. BADEN: Thank you. I mean, the
22 open-label design also complicates it, as raised

1 this morning in the discussion, where if somebody's
2 on foscarnet and their creatinine starts to go up,
3 the team may switch, while if they're on another
4 agent that they don't think is renal toxic, then
5 they stick it out longer; the same thing with
6 neutropenia.

7 So I think there is an implicit bias in the
8 open label with the toxicity impacting the
9 switching endpoint. But to some degree, we're
10 stuck with that, given the reality of this
11 population and the nature of the interventions.

12 DR. CHANDRA: That's right. Okay. I agree
13 with that.

14 DR. BADEN: Yes.

15 Dr. Green, you have a comment?

16 DR. GREEN: So it's a quick follow-on in
17 case individuals aren't actively involved in the
18 care and management of patients who've undergone
19 transplant, either solid or liquid, who develop
20 asymptomatic CMV loads. There is absolute evidence
21 and a strong mandate to recommend treatment before
22 they develop symptoms.

1 So to the credit of the design and all, for
2 the clinicians that care for these patients,
3 they're not going to wait for them to get
4 symptomatic to treat. So if they have been treated
5 and they haven't responded in 14 days, as has been
6 mentioned earlier, that is a time point where one
7 thinks about doing something else. And at a
8 minimum, one does think about the possibility of
9 resistance and send it on.

10 So I just want to emphasize, while we didn't
11 wait for patients to be entered until they had
12 disease, that is standard of care in the practice
13 of transplant infectious disease at this time, and
14 that's worldwide. Thank you.

15 DR. BADEN: Thank you.

16 Dr. Weina?

17 DR. WEINA: Peter Weina. Actually, you saw
18 me switching back and forth because I was following
19 on one of the earlier comments, and then you
20 switched the train on me. But just going back to a
21 point that actually you have made regarding being
22 careful not to overinterpret the data, when I first

1 looked at this -- and I think that the discussion
2 today didn't change my mind at all.

3 That is, that I think we focused all on this
4 open-label Trial 303, and the conclusions that I
5 came from this trial is really a demonstration of
6 noninferiority rather than superiority, because
7 what you have are maribavir-naive patients getting
8 maribavir versus patients getting the
9 investigator-assigned treatment with drugs, many of
10 those have already demonstrated could be refractory
11 or resistant to those drugs because, arguably, they
12 all have the same mechanism of action, or at least
13 that's one of the posed strengths of maribavir.

14 Given that, one would expect the efficacy of
15 maribavir to actually be higher than in the
16 investigator-assigned treatment. In fact, I
17 personally think you should be surprised that the
18 investigator-assigned treatment arm did as well as
19 it did with 24 percent being successful in the
20 primary endpoint when it supposedly was resistant
21 or refractory to it.

22 So I can see it more as a noninferiority

1 rather than as a superiority, and I just want to
2 echo the comment made about not overinterpreting
3 the efficacy data that's out there.

4 DR. BADEN: Thank you. And I will let
5 Dr. Bridges and Hunsberger take you outside later
6 and address the issue of noninferiority versus
7 superiority. There are fundamental structural
8 design issues. However, your point is very well
9 taken about what we can infer from the data that
10 are available.

11 I think we have exhausted the discussion
12 elements for question 1. Are there any other
13 committee members who filled moved to make any
14 other comments about question 1? at this time?

15 (No response.)

16 DR. BADEN: I assume, Dr. Chandra, your
17 check box is you've not taken it down. Okay. That
18 has cleared the board.

19 What I would like to do, if Dr. Choi agrees,
20 is take a 10-minute break now. If there is no
21 further discussion on this discussion question,
22 we'll now take a quick 10-minute break. Panel

1 members, please remember that there should be no
2 chatting or discussion of the meeting topics with
3 other panel members during the break. We will
4 reconvene at 3:25, and we will then go into the two
5 questions with formal voting. So at 3:25, we shall
6 reconvene.

7 (Whereupon, at 3:15 p.m., a recess was
8 taken.)

9 DR. BADEN: It is now 3:25, and we shall
10 resume.

11 We will now move to the next question, which
12 is a voting question. Dr. Moon Hee Choi will
13 provide the instructions for voting.

14 DR. CHOI: Questions 2 and 3 are voting
15 questions. Voting members will use the Adobe
16 Connect platform to submit their vote for this
17 meeting. After the chairperson has read the voting
18 question into the record and all questions and
19 discussion regarding the wording of the vote
20 question are complete, the chairperson will
21 announce that voting will begin.

22 If you are a voting member, you will be

1 moved to a breakout room. A new display will
2 appear where you can submit your vote. There will
3 be no discussion in the breakout room. You should
4 select the radio button that is the round circular
5 button in the window that corresponds to your vote,
6 yes, no, or abstain. You should not leave the "no
7 vote" choice selected.

8 Please note that you do not need to submit
9 or send your vote. Again, you need only to select
10 the radio button that corresponds to your vote.
11 You will have the opportunity to change your vote
12 until the vote is announced as closed. Once all
13 voting members have selected their vote, I will
14 announce that the vote is closed.

15 Next, the vote results will be displayed on
16 the screen. I will read the vote results from the
17 screen into the record. Thereafter, the
18 chairperson will go down the roster and each voting
19 member will state their name and their vote into
20 the record. You can also state the reason why you
21 voted as you did, if you want to. However, you
22 should also address any subparts of the voting

1 question, if any.

2 Are there any questions about the voting
3 process before we begin?

4 (No response.)

5 DR. BADEN: As there are no questions, I
6 will now --

7 DR. GEA-BANACLOCHE: I'm sorry. This is
8 Gea-Banacloche. I need the -- how do we vote?

9 DR. BADEN: No. We are about to go to the
10 voting process, and --

11 DR. GEA-BANACLOCHE: Okay.

12 DR. BADEN: -- I will read the question, and
13 then we will see if there any questions, and then
14 we'll go to the voting room.

15 DR. GEA-BANACLOCHE: Oh, okay. So there's
16 no button to press yet.

17 DR. BADEN: No buttons right now. We will
18 go to the voting room after we have all agreed we
19 understand the question that we are voting on.

20 So question number 2 is a formal voting
21 question. Is the overall benefit-risk assessment
22 favorable for the use of maribavir for the

1 treatment of transplant recipients with CMV
2 infection and disease refractory to treatment and
3 with genotypic resistance to ganciclovir,
4 valganciclovir, foscarnet, or cidofovir?

5 If you voted no, what additional information
6 will be needed for the benefit-risk assessment to
7 be favorable for the use of maribavir in this
8 population? If a new clinical trial is
9 recommended, please comment on trial design.

10 Are there any questions about the wording of
11 this question?

12 Dr. Hardy, you have a question about the
13 wording.

14 DR. HARDY: Yes. This is David Hardy from
15 Los Angeles. Is the question reading CMV infection
16 and disease refractory to treatment or genotypic
17 resistance, or both conditions have to be
18 satisfied: infection and disease, refractoriness,
19 and resistance?

20 DR. BADEN: I will ask our FDA colleague to
21 comment on the exact intent of the wording.

22 DR. BIRNKRANT: Hi. It's Debbie Birnkrant.

1 Everyone is refractory. This question in
2 particular, though, asks about refractory to
3 treatment and with genotypic resistance.

4 The next question --

5 DR. HARDY: So it's either/or.

6 DR. BIRNKRANT: No, it's not either/or.

7 It's refractory to treatment with genotypic
8 resistance.

9 DR. BIRNKRANT: Okay. Thank you.

10 DR. BIRNKRANT: It's the, quote, "resistant
11 population."

12 DR. BADEN: Because the next question
13 addresses the underlined part of this question.

14 DR. HARDY: Gotcha. Thank you. All clear.

15 DR. BADEN: If there are no other questions
16 or comments concerning the wording of the question,
17 we will now begin the voting on question 2.

18 (Voting.)

19 DR. CHOI: The voting has closed and is now
20 complete. Once the vote results are displayed, I
21 will read the vote totals into the record. The
22 chairperson will go down the list and each voting

1 member will state their name and their vote into
2 the record. You can also state the reason why you
3 voted as you did, if you want to. However, you
4 should also address any subparts of the voting
5 question, if any.

6 For the record, we have 17 yes; zero no; and
7 zero abstentions.

8 DR. BADEN: Thank you.

9 We will now go down the list and have
10 everyone who voted state their name and vote into
11 the record. You may also provide justification of
12 your vote if you wish to.

13 Given what is on the screen, I will start
14 with Dr. Murphy. If you're not talking, please put
15 yourself on mute, but we'll follow what's on the
16 screen starting with Dr. Murphy.

17 DR. MURPHY: Richard Murphy. Yes.

18 DR. BADEN: Any other comments, feel free to
19 make them; otherwise, we will move down the list.

20 If you're talking, Dr. Murphy, you're on
21 mute or I assume you have no additional comments.

22 DR. MURPHY: Yes.

1 DR. BADEN: Dr. Bridges?

2 DR. BRIDGES: Nancy Bridges. Yes. No
3 additional comment.

4 DR. BADEN: Dr. Lee?

5 DR. LEE: Lauren Lee. Yes. No additional
6 comments.

7 DR. BADEN: Dr. Weina?

8 DR. WEINA: Peter Weina. Yes. I'd just
9 like to say that I think that the open-label trial,
10 303, was necessarily designed, so one might expect
11 the maribavir to do better than the
12 investigator-assigned treatment, and one might be
13 expected to be surprised that the investigator-arm
14 treatment did as well as it did.

15 I am concerned about 20 percent of the
16 subjects in 303 developing genotypic resistance and
17 genotypically had to be treated with
18 investigator-assigned treatment. My concern is
19 because so many develop genotypic resistance in
20 such a relatively short treatment period on a drug
21 that hasn't been widely available. It's a kinder,
22 gentler drug compared to current therapies, and

1 despite how we're going to potentially limit its
2 use, it's very quickly going to gain footing and
3 use other than those cases demonstrated to be
4 refractory or resistant.

5 Given all this, I think other tools in our
6 toolbox are critical. The number of patients
7 exposed to and followed for safety signals with
8 maribavir is relatively small, though, and I think
9 extensive phase 4 requirements for monitoring
10 adverse events with all potential uses should
11 clearly be a requirement. That's all.

12 DR. BADEN: Dr. Burgess?

13 CAPT BURGESS: Timothy Burgess. I voted
14 yes. No additional comments.

15 DR. BADEN: Dr. Bollard?

16 DR. BOLLARD: Catherine Bollard. I voted
17 yes. I do have some brief comments. I agree with
18 Dr. Weina. I think there were obviously strengths
19 to the study but obvious weaknesses in the design
20 that were necessary, given the complex study and
21 the patient population you are studying.

22 I would have preferred, if you like, the

1 bone marrow transplant patients to be studied
2 separately on a different trial, but understand
3 that this is a major area of unmet need, and I
4 assert that that patient population is just as
5 urgent as the solid organ transplant patient
6 population.

7 That being said, I think additional data and
8 post-licensing would definitely be required,
9 especially for the GVHD population, and most
10 critically those with gut GVHD.

11 DR. BADEN: Dr. Siberry?

12 DR. SIBERRY: George Siberry. I voted yes.
13 I'll just note that it's disappointing that no
14 adolescents were included, but I think these data
15 also would support the use in older adolescents,
16 and direct study in younger adolescents and younger
17 children should be moved forward quickly. Thank
18 you.

19 DR. BADEN: Dr. Walker?

20 DR. WALKER: Hi. Dr. Roblena Walker. I
21 voted yes. I just want to piggyback on, I think,
22 what Dr. Bollard stated regarding the BMT

1 population. More data is definitely needed. I'm
2 highly concerned about that, especially among
3 African Americans, and a more point of reference,
4 African American females.

5 DR. BADEN: Thank you.

6 Dr. Green?

7 DR. GREEN: Mike Green. I voted yes. As I
8 noted when I introduced myself this morning, I'm a
9 pediatric infectious disease specialist who cares
10 for children that have undergone transplant, and I
11 have done so for more than 30 years.

12 Accordingly, I know firsthand that CMV is as
13 important, as has been stated during our committee,
14 and I also know the side effects of all the CMV
15 treatments and that they are real, especially the
16 nephrotoxicity associated with foscarnet and
17 cidofovir.

18 Maribavir met the primary endpoint and
19 appeared superior for secondary endpoints.
20 Certainly, it was not inferior to the
21 investigator-chosen treatment for any endpoint. If
22 you add to this that maribavir is an oral

1 medication, which would not be an option for any of
2 the other truly resistant CMV therapies, I think
3 this is a great strength.

4 While nephrotoxicity was unexpectedly not
5 seen, patients who get foscarnet and cidofovir will
6 definitely develop nephrotoxicity, so having
7 maribavir available for resistant CMV will improve
8 outcomes and quality life in this population.

9 I do agree that we need phase 4 studies, as
10 have been called for. I definitely want to see
11 studies in pediatrics and also to address
12 populations that weren't included in the current
13 studies. Thank you.

14 DR. BADEN: Dr. Flatau?

15 DR. FLATAU: Hi. Arthur Flatau. I voted
16 yes. While we all agree that we wish CMV didn't
17 exist, given that it does, I think the data could
18 be more robust. We wish it were more robust, but
19 it's what it is, and it's better than not having
20 it. And I think this will be an important useful
21 drug in treating CMV. Thank you.

22 DR. BADEN: Dr. Gea-Banacloche?

1 DR. GEA-BANACLOCHE: Juan Gea-Banacloche. I
2 voted yes. No further comments.

3 DR. BADEN: Lindsey Baden. I voted yes. I
4 think these data are messy. There are ways to
5 improve the study design. We have much to learn.
6 The advantages of an oral medication with limited
7 side effects are self-evident. I think we have to
8 be careful not to overinterpret the data as to what
9 we want them to mean. But overall, the sum-total
10 data demonstrate benefit in this population, so I
11 voted yes.

12 Dr. Le?

13 DR. LE: Jennifer Le. I express the same
14 concerns as Drs. Siberry and Green and the lack of
15 pediatric data, and want to re-state the unmet need
16 in this population, including neonates. At the
17 minimum, please consider evaluating the
18 pharmacokinetic data to at least inform dosing as
19 early as possible.

20 In addition, I want to just slightly comment
21 on safety, which is largely of mild adverse effect
22 with some perhaps renal and hematologic effect.

1 However, with the limited safety data that we have,
2 especially at the doses of 400-milligram BID and
3 higher, I recommend adding some language in the
4 product labeling for hematologic, including
5 platelets, as well as renal laboratory monitoring
6 at baseline and also provide a frequency.

7 I also recommend just only to consider
8 adding language in the product labeling for the
9 antagonism between maribavir and ganciclovir, or
10 ganciclovir, that was based on in vitro EC50
11 values.

12 DR. BADEN: Thank you.

13 I'll remind all panel members please go back
14 on mute when you're done talking.

15 Dr. Hardy?

16 DR. HARDY: Hello. This is Dr. David Hardy.
17 I voted yes. I would just say that in the field of
18 CMV treatment, it's been many, many years since a
19 new product, especially one that can be delivered
20 by oral administration, has come to FDA review. So
21 I believe this is an advance, but certainly an
22 incremental advance, for a very high-need patient

1 population.

2 As others have said, there needs to be
3 further clarification of where it works and where
4 it works best. Its resistance patterns need to be
5 worked out, especially as its next phase 3 study is
6 looking at non-resistant/non-refractory patients to
7 better understand that, and also looking more at
8 its pharmacokinetics with other drug-drug
9 interactions. Over.

10 DR. BADEN: Thank you.

11 Dr. Hunsberger?

12 DR. HUNSBERGER: Sally Hunsberger. I voted
13 yes. Given the endpoint that was stated, the study
14 definitely met the endpoint. The sensitivity
15 analyses were very strong. I think for the
16 population that it looked at, it showed a positive
17 study. There are things that could have been
18 changed, but I think as far as the study was
19 designed, it met its endpoint.

20 DR. BADEN: Dr. Perez?

21 DR. PEREZ: Federico Perez. I voted yes.
22 No further comment. Thank you.

1 DR. BADEN: Dr. Haidar?

2 DR. HAIDAR: Hi. This is Ghady Haidar. I
3 voted yes. No further comments.

4 DR. BADEN: To summarize the comments, the
5 study, as designed, met the endpoint; key
6 populations, a need to be studied, including
7 pediatrics and greater diversity. There were
8 weaknesses in the open-label design. We need PK
9 data, particularly for neonates, and postmarketing
10 or post-licensing studies will be critical.
11 Overall, 17 in favor of efficacy; a favorable risk-
12 benefit analysis.

13 We will now move on to question 3, which is
14 also a voting question.

15 (Pause.)

16 DR. BADEN: Just waiting for the question to
17 be displayed.

18 Question 3. Is the overall benefit-risk
19 assessment favorable for the use of maribavir for
20 the treatment of transplant recipients with CMV
21 infection and disease refractory to treatment but
22 without genotypic resistance to ganciclovir,

1 valganciclovir, foscarnet, or cidofovir?

2 If you voted no, what additional information
3 will be needed for the benefit-risk assessment to
4 be favorable for the use of maribavir in this
5 population? If a new clinical trial is
6 recommended, please comment on the design.

7 Are there any questions about the wording of
8 this question?

9 (No response.)

10 DR. BADEN: Hearing and seeing no questions
11 about the wording of this question, if there are
12 no questions or comments concerning the wording of
13 the question, we will now begin the voting on
14 question 3.

15 DR. CHOI: We will now move voting numbers
16 to the voting breakout room to vote only. There
17 will be no discussion in the voting breakout room.
18 Once the vote results display, I will read the
19 results into the record.

20 (Voting.)

21 DR. CHOI: The vote results are displayed.
22 I will read the vote totals into the record. The

1 chairperson will go down the list and each voting
2 member will state their name and their vote into
3 the record. You can also state the reason why you
4 voted as you did, if you want to. However, you
5 should also address any subparts of the voting
6 question, if any.

7 For the record, we have 17 yes; zero no;
8 zero abstentions.

9 DR. BADEN: Thank you.

10 We will now go down the list and have
11 everyone who voted state their name and vote into
12 the record. You may also provide justification of
13 your vote if you wish to. We'll start with
14 Dr. Murphy again.

15 DR. MURPHY: Richard Murphy, yes. And I'll
16 briefly say that I think that given the way the
17 patients present in clinic, refractory disease with
18 or without resistance is a distinction without a
19 difference. And since it's not something that's
20 clear up front, it wouldn't make sense to make that
21 distinction in the approval to me, and may actually
22 result in harm that patients are not given a more

1 active therapy while waiting for resistance
2 results. Thanks.

3 DR. BADEN: Thank you.

4 Dr. Bridges?

5 DR. BRIDGES: Nancy Bridges. I vote yes.
6 Just two brief comments; that I hope that the FDA
7 will require collection of post-licensing
8 information in minority populations who are not
9 represented in this study, and also that the clear
10 evidence as benefit in adults will prompt the
11 company to move forward expeditiously with a trial
12 in infants and children. Thanks.

13 DR. BADEN: Dr. Lee?

14 DR. LEE: Lauren Lee. I voted yes. No
15 further comments

16 DR. BADEN: Dr. Weina?

17 DR. WEINA: Peter Weina. I voted yes. I do
18 not think there's adequate data available to
19 differentiate between a refractory and resistant
20 infection and disease, and clinically, I don't
21 think this really matters, given our current
22 diagnostic technology. So I believe it's the same

1 vote. Thank you.

2 DR. BADEN: Dr. Burgess?

3 CAPT BURGESS: Timothy Burgess. I voted
4 yes. No additional comments.

5 DR. BADEN: Lindsey Baden. I voted yes. I
6 think the totality of the data support efficacy in
7 this setting, and as already mentioned, the
8 pragmatics of care make this distinction untenable.

9 Dr. Siberry?

10 DR. SIBERRY: George Siberry. I also voted
11 yes, agreeing that this is one population at the
12 time you need to make the decision. And in the
13 subgroup analysis, there was evidence, if only a
14 trend, that there was still a benefit in this
15 group. Thanks.

16 DR. BADEN: I will get your name correct one
17 of these days. I apologize.

18 DR. SIBERRY: No problem.

19 DR. BADEN: Dr. Walker?

20 DR. WALKER: Dr. Roblena Walker. I voted
21 yes. No further comment.

22 DR. BADEN: Dr. Green?

1 DR. GREEN: Michael Green. I voted yes. I
2 want to endorse the comments made by fellow members
3 of the committee who've spoken before me. There
4 certainly may have been concern that there wasn't
5 the statistical superiority in this cohort, but
6 there certainly was also no inferiority, and
7 clinically you can't separate them. And the safety
8 benefits and the logistical benefits of an oral
9 drug remain really important. Thank you.

10 DR. BADEN: Dr. Flatau?

11 DR. FLATAU: Arthur Flatau. I have no
12 further comments.

13 DR. BADEN: Dr. Gea-Banacloche?

14 DR. GEA-BANACLOCHE: Juan Gea-Banacloche. I
15 voted yes. No further comments.

16 DR. BADEN: Dr. Bollard?

17 DR. BOLLARD: It's Catherine Bollard. I
18 voted yes. No further comments.

19 DR. BADEN: Dr. Le?

20 DR. LE: Jennifer Le. I voted yes and only
21 have one comment. I do highly recommend evaluating
22 the need for therapeutic drug monitoring in light

1 of the potential for the expanded use in the
2 real-world setting, the reported resistance and
3 potential drug interaction that may occur in a
4 population who we know will be affected by
5 polypharmacy. Thank you.

6 DR. BADEN: Dr. Hardy?

7 DR. HARDY: David Hardy. I voted yes. No
8 further comments.

9 DR. BADEN: Dr. Hunsberger?

10 DR. HUNSBERGER: Sally Hunsberger. I voted
11 yes. No further comment.

12 DR. BADEN: Dr. Perez?

13 DR. PEREZ: Federico Perez, and I voted yes.
14 No further comments.

15 DR. BADEN: Dr. Haidar?

16 DR. HAIDAR: Hi. This is Ghady Haidar. I
17 voted yes. Just one quick comment just as a
18 reminder to everyone that there are some transplant
19 patients who have what is known as
20 compartmentalized CMV, which means that they have
21 tissue-invasive disease without evidence of plasma
22 viremia, meaning that you're actually never going

1 to be able to even get a genotype on them, even
2 though they have resistance. Thank you.

3 DR. BADEN: Thank you. And I guess that
4 also highlights the blood-brain barrier issue for
5 this medication that we didn't discuss. Thank you.

6 So the vote was 17 in favor of moving
7 forward for this population as well, those without
8 genotypic resistance, largely driven by the
9 clinical impracticality, and this may be a false
10 distinction clinically and the risk of harm.

11 There was great interest in postmarketing or
12 post-licensure data with trials in key populations
13 that are underrepresented, particularly pediatric
14 and underrepresented minority groups. The issue of
15 TDM needs to be considered in the real world with
16 polypharmacy and the other complexities of care in
17 this population, and we need to pay attention to
18 tissue-specific disease and the impact that may
19 have with this medication and the diagnostics
20 associated with it.

21 Thank you to the committee members, and I
22 would like to, before we adjourn, see if the FDA

1 has any further or last comments for us.

2 DR. BIRNKRANT: Hi. It's Debbie Birnkrant.
3 I also wanted to offer my thanks on behalf of my
4 colleagues. Thank you for the input today and for
5 the discussion. It was very helpful in addressing
6 not only this application but future applications
7 as well for these patients. We greatly appreciate
8 everyone's participation. Thank you very much.

9 **Adjournment**

10 DR. BADEN: I'd like to thank the applicant
11 and the agency for very clear presentations and
12 helpful discussion. I'd like to thank the
13 committee for your hours and hours of hard work and
14 input, and now we can adjourn this meeting. Thank
15 you all.

16 (Whereupon, at 4:00 p.m., the meeting was
17 adjourned.)
18
19
20
21
22