

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
Office of Tissues and Advanced Therapies (OTAT)
71st Cellular, Tissue and Gene Therapies Advisory Committee
(CTGTAC) Meeting**

OPEN SESSION

**Web-Conference
Silver Spring, Maryland 20993**

March 10, 2022

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

COMMITTEE MEMBERS	
Lisa Butterfield, Ph.D.	Parker Institute for Cancer Immunotherapy
Kenneth Berns, M.D., M.P.H.	University of Florida
Bernard Fox, Jr., Ph.D.	Providence Portland Medical Center
Randy Hawkins, M.D.	Private Practice
Christopher K. Breuer, M.D.	Nationwide Children's Hospital
Jeannette Yen Lee, Ph.D.	University of Arkansas for Medical Sciences
Joseph Wu, M.D., Ph.D.	Stanford University
John A. Zaia, M.D.	Beckman Research Institute of City of Hope
Geoffrey Nichol, M.D.	BioMarin Pharmaceutical
Shah, Nirali N., M.D., MHSc	National Institutes of Health
Gil Wolfe, M.D.	University at Buffalo/SUNY
FDA PARTICIPANTS/SPEAKERS	
Peter W. Marks, M.D., Ph.D.	Food and Drug Administration
Wilson Bryan, M.D.	Food and Drug Administration
Karen Elkins, Ph.D.	Food and Drug Administration
Rachael Anatol, Ph.D.	Food and Drug Administration
Chava Kimchi-Sarfaty, Ph.D.	Food and Drug Administration
Steven Oh, Ph.D.	Food and Drug Administration
Andrew Byrnes, Ph.D.	Food and Drug Administration

FDA ADMINISTRATIVE STAFF	
Prabhakara Atreya, Ph.D.	Food and Drug Administration
Tonica Burke, B.S.	Food and Drug Administration
Joanne Lipkind, M.S.	Food and Drug Administration
Christina Vert, M.S.	Food and Drug Administration
Michael Kawczynski	Food and Drug Administration

TABLE OF CONTENTS

1	OPENING REMARKS: CALL TO ORDER AND WELCOME.....	5
2	ADMINISTRATIVE ANNOUNCEMENTS, ROLL CALL, AND CONFLICT OF INTEREST STATEMENT.....	6
3	OVERVIEW OF CBER RESEARCH PROGRAMS.....	16
4	OVERVIEW OF OTAT AND DCGT RESEARCH PROGRAMS.....	27
5	OVERVIEW OF GTIB RESEARCH PROGRAMS	48
6	OPEN PUBLIC HEARING	70

1 **OPENING REMARKS: CALL TO ORDER AND WELCOME**

2

3 **MR. MICHAEL KAWCZYNSKI:** Good morning. I'm
4 Mike Kawczynski. I'm project manager at FDA. And I'd
5 like to welcome you to our 71st meeting of the
6 Cellular, Tissue, and Gene Therapies Advisory
7 Committee. This is a live virtual meeting with
8 participants from around the country, sometimes even
9 around the world, so once in a while we do expect some
10 technical difficulties. But let's cross our fingers
11 today and hope everything goes well.

12 We will have a scheduled break. If you do
13 need the agenda, everything is posted. But at this
14 time let's get this meeting started. I'm going to kick
15 it off to our chair, Dr. Lisa Butterfield. Lisa, are
16 you there?

17 **DR. LISA BUTTERFIELD:** All right. Good
18 morning, everyone. Welcome to today's meeting. I'd
19 like to welcome the committee members, our colleagues
20 at FDA, all of the online participants who will be
21 joining us today. A quick housekeeping reminder,
22 please use that raised hand icon if you'd like to

1 contribute to our discussion today and turn your camera
2 on so that I, as chair of today's meeting, can
3 recognize you and have you joined the conversation.
4 So, thank you for that.

5 And I'd like to now hand the meeting to
6 Christina Vert for our administrative announcements and
7 roll call. Thank you.

8

9 **ADMINISTRATIVE ANNOUNCEMENTS, ROLL CALL, AND CONFLICT**
10 **OF INTEREST STATEMENT**

11

12 **MS. CHRISTINA VERT:** Thank you, Dr.
13 Butterfield. Good morning, everyone, this is Christina
14 Vert. And it is my great honor to serve as the
15 designated federal officer for today's 71st Cellular,
16 Tissue, and Gene Therapies Advisory Committee meeting.
17 On behalf of the FDA, the Center for Biological
18 Research, and the Committee, I would like to welcome
19 everyone to today's virtual meeting.

20 The meeting for today will be to hear an
21 overview of the research program of the Gene Transfer
22 and Immunogenicity Branch. Today's meeting topic was

TranscriptionEtc.

1 described in the federal register notice that was
2 published on February 16th, 2022. I would now like to
3 introduce and acknowledge the excellent contributions
4 of the staff in the Division of Scientific Advisors and
5 Consultants including our director, Dr. Prabhakara
6 Atreya, who is my backup and co-DFO for this meeting.

7 Other staff are Ms. Joanne Lipkind, Ms. Karen
8 Thomas, and Ms. Tonica Burke, who have provided
9 excellent administrative support in preparing for this
10 meeting. And I would also like to express CBER's
11 sincere appreciation to Mr. Mike Kawczynski in
12 facilitating the meeting today. Please direct any
13 press media question for today's meeting to FDA's
14 Office of Media Affairs at fdaoma@fda.hhs.gov. The
15 transcriptionist for today's meeting is Ms. Linda
16 Giles.

17 We will begin today's meeting by taking a roll
18 call of the Committee members. When it is your turn,
19 please turn on your video camera and unmute your phone,
20 then state your first and last name, your organization,
21 and your expertise. When finished, please turn your
22 camera off and we will proceed to the next person.

1 Please see the member roster slides in which we will
2 begin with the chair, Dr. Lisa Butterfield. Dr.
3 Butterfield, please go ahead and introduce yourself.

4 **DR. LISA BUTTERFIELD:** Thank you very much.
5 My name is Lisa Butterfield. I'm a vice president of
6 R&D at the Parker Institute for Cancer Immunotherapy,
7 also an adjunct professor of microbiology and
8 immunology at the University of California San
9 Francisco, and I'm a cancer immunotherapist focused on
10 cell therapies and cancer vaccines.

11 **MS. CHRISTINA VERT:** Thank you. Dr. Ahsan was
12 not able to attend today so we will move on to Dr.
13 Berns.

14 **DR. KENNETH BERNIS:** Good morning. I'm Ken
15 Berns. I'm Distinguished Professor Emeritus of
16 Molecular Genetics and Microbiology at the University
17 of Florida College of Medicine, and my expertise is the
18 molecular biology of AAV.

19 **MS. CHRISTINA VERT:** Thank you. Dr. Breuer.

20 **DR. CHRISTOPHER BREUER:** Hi, my name is Chris
21 Breuer. I'm a professor of surgery at the Ohio State
22 University and the director of the Regenerative

1 Medicine Center at Nationwide Children's Hospital. My
2 expertise is in tissue engineered (audio skip).

3 **MS. CHRISTINA VERT:** Thank you. Dr. Fox.

4 **DR. BERNARD FOX:** Good morning. My name's
5 Bernard Fox. I'm the Harder Family Chair for Cancer
6 Research at the Early Child's Research Institute in
7 Portland, Oregon. My expertise is in tumor immunology
8 and cancer immunotherapy with a focus on cancer
9 vaccines and adopted cellular therapy.

10 **MS. CHRISTINA VERT:** Thank you. Dr. Hawkins.

11 **DR. RANDY HAWKINS:** Good morning, Randy
12 Hawkins. Private practice pulmonary and critical care
13 medicine, Charles University.

14 **MS. CHRISTINA VERT:** Thank you. Dr. Lee.

15 **DR. JEANNETTE LEE:** Good morning. My name is
16 Jeannette Lee. I am professor of biostatistics and a
17 member of the Winthrop P. Rockefeller Cancer Institute
18 at the University of Arkansas for Medical Sciences.
19 Thank you.

20 **MS. CHRISTINA VERT:** Thank you. Dr. Nichol.

21 **DR. GEOFFREY NICHOL:** Good morning. I'm Geoff
22 Nichol. I am the industry representative on the

TranscriptionEtc.

1 Advisory Committee. I have recently been the chief
2 medical officer and am currently a senior advisor at
3 BioMarin Pharmaceutical.

4 **MS. CHRISTINA VERT:** Thank you. Dr. Shah.

5 **DR. NIRALI SHAH:** Hi. I'm Nirali Shah, head
6 of the Hematologic Malignancies Section of
7 the Pediatric Oncology Branch. I'm a clinical
8 researcher and my work has involved the implementation
9 of immunotherapy, but mostly CAR T-cell therapies in
10 pediatric and young adults (inaudible) relapsed
11 refractory leukemia. Thank you.

12 **MS. CHRISTINA VERT:** Thank you. Dr. Wolfe.

13 **DR. GIL WOLFE:** Hi, I'm Gil Wolfe. I'm a new
14 member of the Advisory Committee. I apologize for my
15 attire. I was taken out of Buffalo on an emergency
16 basis earlier this week. I am a neuromuscular
17 neurologist with an interest in both auto immune
18 disorders and hereditary disorders in neuromuscular
19 disease. I'm the chair at the University of Buffalo.
20 That's part of the SUNY system. And I just head
21 yesterday I'm actually going to be named a SUNY
22 distinguished professor shortly as well.

1 **MS. CHRISTINA VERT:** Great. Thank you for
2 taking the time today to join us and you're welcome.
3 Dr. Wu.

4 **DR. JOSEPH WU:** I'm a professor of medicine
5 and a professor of radiology at Stanford. I also
6 direct the Stanford Cardiovascular Institute. I'm a
7 cardiologist. My research is in clinical genomics,
8 iPSC, stem cells, and also cardiovascular imaging.

9 **MS. CHRISTINA VERT:** Thank you. Dr. Zaia.

10 **DR. JOHN ZAIA:** Hi. My name's John Zaia. I
11 am the director of the Center for Gene Therapy at City
12 of Hope. I am an infectious disease physician as well.
13 And I would say my level of expertise is as a clinical
14 trialist for gene therapy trials.

15 **MS. CHRISTINA VERT:** Thank you. Thank you for
16 your introductions. I would also like to acknowledge
17 CBER leadership and management including Dr. Marks, Dr.
18 Elkins, Dr. Bryan, Dr. Anatol, Dr. Kimchi-Sarfaty, Dr.
19 Oh, and Dr. Byrnes, some of whom will be joining the
20 meeting later today and others who will be providing
21 overview presentations shortly.

22 **MR. MICHAEL KAWCZYNSKI:** Dr. Marks, are you

1 with us right now?

2 **MS. CHRISTINA VERT:** Okay.

3 **DR. PETER MARKS:** I am. Thank you.

4 **MR. MICHAEL KAWCZYNSKI:** Go ahead, Dr. Marks.

5 **MS. CHRISTINA VERT:** Thank you, Dr. Marks. If
6 you want to say anything you're welcome to.

7 **DR. PETER MARKS:** Just to say thank you. Big
8 thanks to the Committee members for taking the time
9 today. This is a really important thing for us to be
10 doing, and we really appreciate you taking the time to
11 do it. Thank you.

12 **MS. CHRISTINA VERT:** Yes, we do. Thank you.
13 I will now proceed with the conflict-of-interest
14 statement. Thank you. The Food and Drug
15 Administration is convening virtually today, March
16 10th, 2022, for the 71st meeting of the Cellular,
17 Tissue, and Gene Therapies Advisory Committee under the
18 authority of the Federal Advisory Committee Act, FACA,
19 of 1972. Welcome to the March 10th, 2022 meeting of
20 the Cellular, Tissue, and Gene Therapies Advisory
21 Committee.

22 CTGCAC Committee will meet in an open session

1 to hear an overview of the research programs in the
2 Gene Transfer and Immunogenicity Branch which is in the
3 Division of Cellular and Gene Therapies in the Office
4 of Tissues and Advanced Therapies in the Center for
5 Biologics Evaluation and Research. Per agency
6 guidance, these topics are determined to be non-
7 particular matters which would have no impact on
8 outside financial interests. Hence, no affected firms
9 are identified, and members are not screened for this
10 topic.

11 Today's meeting will have a closed session
12 from approximately 12:40 p.m. to 1:30 p.m. to permit
13 discussions where disclosure would constitute a clearly
14 unwarranted invasion of personal privacy, 5 U.S.C. 552
15 (b) (6). Dr. Lisa Butterfield is serving as the chair
16 for both the open and the closed sessions for this
17 meeting. The following information on the status of
18 this Advisory Committee's compliance with federal
19 ethics and conflict of interest laws, including but not
20 limited to 18 U.S. Code 208, is being provide to
21 participants at this meeting and the public.

22 With the exception of the industry

TranscriptionEtc.

1 representative, all participants of the Committee are
2 either special government employees or regular federal
3 government employees from other agencies and are
4 subject to the federal conflict of interest laws and
5 regulations. Given that the topic of this meeting is
6 determined to be a non-particular matter, it has also
7 been determined that the overview and updates of this
8 meeting present no actual or appearance of financial
9 conflict of interest.

10 Dr. Geoffrey Nichol is currently serving as
11 the industry representative to this Committee. Dr.
12 Nichol is employed by the BioMarin Pharmaceutical.
13 Industry representatives act on behalf of all related
14 industry and bring general industry perspective to the
15 Committee. Industry representatives are not special
16 government employees and do not vote and do not
17 participate in the closed sessions.

18 Dr. Randy Hawkins is serving as the consumer
19 representative for this Committee. Consumer
20 representatives are appointed special government
21 employees and are screened and cleared prior to their
22 participation. They are voting members of the

1 Committee and hence do have voting privileges, and they
2 do participate in the closed session.

3 FDA encourages all meeting participants,
4 members, and consultants, including open public hearing
5 speakers to advise the DFO and the Committee if they
6 realize they have any financial, professional, or
7 regulatory relationships with any of the topics or
8 individuals being discussed today that were not
9 previously disclosed, and recuse themselves from
10 Committee discussions. And their absence will be noted
11 for the record.

12 This concludes my meeting of the open session
13 conflicts of interest statement for the public record.
14 At this time, I would like to hand over the meeting to
15 Dr. Butterfield. Thank you.

16 **DR. LISA BUTTERFIELD:** Terrific. Thank you,
17 very much. It is now my privilege to introduce our
18 first speaker from FDA today. And that is Dr. Karen
19 Elkins, the Associate Director for Science, FDA. Dr.
20 Elkins.

21

1 **OVERVIEW OF CBER RESEARCH PROGRAMS**

2

3 **DR. KAREN ELKINS:** Good morning, everyone.

4 Thank you so much for joining us today. I'm going to
5 give you a brief overview of CBER research programs in
6 general to provide some context for your discussions
7 today. And then my colleagues will give you more
8 details about parts of the research program that are
9 particularly pertinent to today's site visit review.

10 So CBER is responsible for regulation of
11 biological products as the name obviously implies.
12 Biological products are defined in a particular way in
13 law, but as a practical matter the products that we are
14 tasked with regulation include vaccines. And within
15 the vaccines group, also live biotherapeutic products
16 and allergenic products are dealt with. We also have a
17 responsibility for a large range of blood and blood
18 products and then the subject of today's discussions,
19 which is cell tissue and gene therapies.

20 To do that we invoke large range of scientific
21 expertise. When we ask our scientists to identify
22 their areas of training and current areas of research

1 interests, that results in this word cloud. And so,
2 you can see that cell biology and related areas are
3 well represented among our areas of expertise. CBER
4 has recently updated its strategic plan, which runs
5 from 2021 to 2025, and conducting research to address
6 the challenges in the development and evaluation of our
7 products is an explicit goal of our strategic plan.

8 And to do our business we have a fairly unique
9 arrangement within FDA. And that is our research
10 investigators are also reviewers. As you'll see in
11 today's report, research programs are investigator
12 initiated. Our topics are in the context of the
13 regulatory review work that people are assigned and in
14 relationship to the products that we are tasked with
15 regulation. And they are all intended to support
16 product development.

17 Our active research programs range from topics
18 that you might consider rather basic to more targeted
19 studies that are very tightly related to regulated
20 products. And they are all designed to ensure a state-
21 of-the-art understanding of techniques that are the
22 source of data and regulatory decisions to ensure that

1 our reviews are efficient, effective, and credible and
2 to support decisions on regulatory activities that are
3 based on sound science. I belabor this to emphasize
4 that CBER's research and review are tightly integrated.

5 And that's illustrated more specifically in
6 the job description for our researcher reviewers.
7 Regulatory submissions, whether it be IND or the
8 licensing level, are reviewed by a team that is
9 comprised of a regulatory project manager that has
10 overall responsibility for the management of the team,
11 a pharm tox reviewer, a clinical reviewer who is
12 obviously dedicated to reviewing the clinical data and
13 to understanding and impacting the design of the
14 clinical trials, and a statistical reviewer who
15 verifies all of the data that are submitted by
16 sponsors.

17 And our researchers are the next part of the
18 team, so-called chemistry manufacturing and control
19 reviewers or product reviewers. And they are
20 responsible for looking at the scientific rationale
21 underpinning the product and any data submitted in
22 support of proof of concept. And they are specifically

1 responsible for the product itself, for how it is
2 produced and how it is tested at the end of it and
3 potentially for any clinical assays that are used in
4 the clinical trial itself.

5 More junior reviewers start out with maybe 10
6 to 20 percent of their time devoted to regulatory
7 review work. And that increases with increasing
8 experience and seniority up to about 50 percent of job
9 time for PIs. We believe that operating this way
10 allows our science and our research activities
11 particularly to impact the entire lifecycle of a
12 product. The submission of a new product IND presents
13 unique challenges.

14 Our scientific programs are designed to
15 discover tools that are needed to understand the
16 challenges inherent in any given product, to inform
17 regulatory and policy decisions, to inform judgements
18 of risk benefit, and to be useful to moving something
19 toward a licensed product. Our research programs are
20 in a facility on the White Oak Campus in Silver Spring,
21 Maryland. We wish that we were able to welcome you
22 there today, which is the usual thing for Advisory

TranscriptionEtc.

1 Committee meetings. Obviously not an option yet in our
2 continuing virtual environment.

3 Our facility is comprised of about 450,000
4 square feet that houses about 150 labs that range from
5 BSL-1 to BSL-3 and offices with about 500 research
6 staff. And we have the luxury of several useful core
7 technology facilities on campus for flow cytometry, for
8 imaging, for high performance computing, and for all
9 aspects of biotechnology. And we have a state-of-the-
10 art vivarium that can house up to seven different
11 specifics of animals with imaging facilities and
12 transgenic derivation options.

13 Our scientists are integrated well with the
14 rest of the world. As you might expect, a lot of our
15 collaborations are with academia, with other parts of
16 the Agency, and with other parts of the federal
17 government. But we do have interactions that are
18 controlled and guided by conflict-of-interest policies
19 for industry, international industries, and some
20 nonprofit organizations.

21 And they result in a number of agreements that
22 are reflected by formal mechanisms including contracts,

1 grants, and tech transfer agreements and some patent
2 inventions and the like. So, we think that doing
3 business this way has a number of benefits. Having an
4 active engaged scientific research staff prepares our
5 review staff for future products that we may see that
6 are innovated and for public health challenges. I
7 think we're living the example of that benefit for the
8 last two years in our exhausted virologists and
9 immunologists involved in the COVID-19 response.

10 In some cases, our research programs develop
11 specific data and tools that support the development of
12 classes of products. Our sponsors and manufacturers
13 are responsible for the tools necessary for their
14 individual products, but I think you'll see some
15 examples today of data and tools that are pertinent to
16 classes of products. And we seek to fill knowledge
17 gaps that we see out there by virtue of our window on
18 product development and also inform policy development
19 in all of our regulatory decision-making. And perhaps
20 underpinning all of that is the research program
21 facilitates the recruitment and the retention of highly
22 trained scientists with the necessary expertise to

TranscriptionEtc.

1 quickly and efficiently review regulatory submissions.

2 So, our research programs are evaluated in a
3 number of different ways. We have an annual reporting
4 system, which all layers of supervisors and management
5 review the progress on an annual basis. We have a
6 formal horizon scanning process that seeks to identify
7 future needs. That is conducted approximately every
8 four years. We're actually in the process of
9 bolstering that so it'll be a little more frequent and
10 periodic.

11 New projects are reviewed in a particular way,
12 usually at the office and the center level. And then
13 today's activity focuses on the fourth component of our
14 research evaluation, which is site visits, which are
15 intended to be conducted every four years. That
16 schedule has flipped a little bit in the pandemic, but
17 we're trying to get back on track. And in this
18 activity, we ask you all, as external subject matter
19 experts, to look at the quality of the science over the
20 last four-year period.

21 And the criteria for evaluation are what you
22 might expect. We are interested in comments on mission

TranscriptionEtc.

1 relevance. We understand that some of those are
2 unique, and you may not be fully familiar with what we
3 consider mission relevant. But we are sure that you
4 can evaluate that in a general way.

5 We look at how well the results of our
6 research efforts are being disseminated in terms of
7 publications, presentations, whether they result in
8 tech transfer activities. And probably the most
9 important criteria for us is what the impact is of our
10 research activities. How is the knowledge or the tools
11 that we're developing taken up by the scientific
12 community and by all of our stakeholders?

13 So, your task, I think, is to focus on the
14 scientific quality. And as I mentioned, we have a
15 number of internal processes to look at other
16 components that you may be a little bit less familiar
17 with. The result of the site visit is a site visit
18 report, and you have that today in front of you to act
19 on. The draft report is now distributed to the full
20 Advisory Committee, and that's the main subject of
21 today's deliberations. And then the outcomes of
22 today's meeting can be for you to accept the report as

1 you see it, to amend it, or to reject the report and
2 send it back to the site visit team for further work.

3 Once it is finally approved by the full
4 Advisory Committee, we use this report in many
5 different ways. First, of course, it's used by the PIs
6 themselves to receive constructive criticism and to
7 improve their research program. Lab chiefs and
8 supervisors of PIs, of course, use the material
9 similarly for an internal review of the program's
10 progress. And then all the layers up use the outcome
11 of the report to further consider the future of the
12 program itself and to allocate resources to it.

13 And so, the resources are already somewhat
14 limited. I don't want to give you the impression that
15 all of the site visit report leads directly to resource
16 reallocation, but that's certainly a component in
17 considering how the program is resourced in the future.
18 Mostly I really want to thank you for your time and
19 your energy and your attention in conducting this site
20 visit and in commenting on its outcome.

21 Your input is really critical to ensuring that
22 we have high quality science, that our programs are the

1 highest possible quality, and that we can fulfill our
2 regulatory mission. And we very much value and
3 appreciate your expertise and your hard work on this on
4 our behalf. We are really most grateful. And I'm
5 happy to answer questions.

6 **DR. LISA BUTTERFIELD:** Terrific. Thank you so
7 much, Dr. Elkins. So, we do have a few moments for
8 questions from the Committee. So, I'm going to look at
9 my list for raised hands for any of the Committee
10 members who would like to ask a question since we have
11 Dr. Elkins with us.

12 **DR. KAREN ELKINS:** And I'll be with you all
13 day, so it's not your last chance.

14 **DR. LISA BUTTERFIELD:** Great. Thank you. Dr.
15 Breuer, please.

16 **DR. CHRISTOPHER BREUER:** In previous meetings
17 we've heard about the volume of reviews, how it's been
18 growing exponentially. And I was wondering if that
19 continues and if you've been able to increase your
20 manpower to provide people with adequate time to do
21 their work?

22 **DR. KAREN ELKINS:** Going backwards on your

1 question, we will have some increase in congressionally
2 appropriated resources. We got some this year by
3 virtue of COVID-19 supplemental funding. And we are
4 anticipating some improvements next year and in the
5 following years by virtue of a new Prescription Drug
6 User Fee Act negotiation. PDUFA VII is the colloquial
7 name of that legislation. That will increase our
8 resources. Of course, those increases always lag the
9 needs.

10 And I think Dr. Oh is going to detail some of
11 the specifics in the cell and gene therapy arena that
12 will illustrate all too well the increase in interest.
13 The good news is that many arenas of biomedical
14 products, including cell and gene therapy, are coming
15 to fruition and maturing as industries. And that's
16 resulting in products that we hope will benefit people.
17 But it certainly places demands on the review.

18 And so, the workload is substantial. I think
19 there's no way of sugar coating that. Needless to say,
20 the COVID-19 situation has exacerbated that.

21 **DR. CHRISTOPHER BREUER:** With a follow-up.
22 From your perspective, with the added resources coming

1 do you think the problem is getting better or (audio
2 skip) just treading water or making improvements?

3 **DR. KAREN ELKINS:** You know, I'm not sure I'm
4 prepared to render a judgement exactly on that. You
5 know, I think we have always had probably fewer
6 resources than we would like for the workload. I think
7 our supervisors and managers have become quite adept at
8 prioritizing and juggling and trying to adjust. But
9 that is not to say that it isn't a demanding position.

10 **DR. CHRISTOPHER BREUER:** Thank you.

11 **DR. LISA BUTTERFIELD:** All right. Thank you,
12 very much, Dr. Breuer. So, with that -- and I'm not
13 seeing any other questions at this time, so I'm going
14 to thank you again, Dr. Elkins --

15 **DR. KAREN ELKINS:** Thank you, all.

16 **DR. LISA BUTTERFIELD:** -- for your
17 presentation. And I'd like now to introduce Dr. Steven
18 Oh who is the deputy director of the Division of
19 Cellular and Gene Therapies at OTAT. Dr. Oh.

20

21 **OVERVIEW OF OTAT AND DCGT RESEARCH PROGRAMS**

22

1 **DR. STEVEN OH:** Yes. Can you hear me well?

2 **MR. MICHAEL KAWCZYNSKI:** Yes, we can, sir.

3 Take it away.

4 **DR. STEVEN OH:** Thank you. So, good morning.
5 My name is Steven Oh. I am the Deputy Director of the
6 Division of Cellular and Gene Therapy, and I also serve
7 as interim director of the division. I'd like to first
8 thank Dr. Lisa Butterfield and the subcommittee co-
9 chairs, Dr. Butterfield and Dr. Kenneth Berns, the site
10 visit review team, and the Advisory Committee members.
11 We appreciate your time and effort in reviewing the
12 intermural research program in Gene Transfer
13 Immunogenicity Branch in the division. I would like to
14 also thank CBER's Division of Scientific Advisory and
15 Consultants and the IT team that helped with today's
16 meeting.

17 So, in my presentation today I'll discuss the
18 current organizational structure of Office of Tissues
19 and Advanced Therapies, which I'll refer to it as OTAT;
20 OTAT mission and regulated products, research goals,
21 research reviewer model; organizational structure of
22 Division of Cellular and Gene Therapies, which I'll be

1 referring to it as DCGT; DCGT activities, research, and
2 resources.

3 So OTAT is directed by Dr. Wilson Bryan and
4 has five divisions. Most divisions have several
5 branches. DCGT and Division of Plasma Protein and
6 Therapeutics also have branches that have lab research
7 components, which I'll get into in a little more detail
8 later on. OTAT's mission is to promote public health
9 and to facilitate the development of biological drugs
10 that ensure safety, quality, and effectiveness.

11 The office evaluates and regulates a wide
12 variety of products such as gene therapy products,
13 including ex vivo and genetically modified cells such
14 as CAR T-cell and various viral vector-based
15 therapeutics. We also have cell therapy products
16 including stem cells, stem cell-derived products, and
17 thematic cells, therapeutic vaccines and cellular
18 immunotherapy products. We have also tissue engineered
19 medical products, human tissues and veno
20 transplantation products, and blood and plasma-derived
21 therapeutics.

22 The research goals in our office are in three

TranscriptionEtc.

1 folds. The first goal is chemistry manufacturing and
2 controls, which is to develop and evaluate methods and
3 standards for improving characterization and
4 (inaudible) of our products including critical quality
5 attributes. We also develop and establish pre-clinical
6 models to better understand the underlying biology to
7 enhance the safety and effectiveness of the
8 therapeutics.

9 We conduct analysis to gain increased
10 understanding of clinical trial design issues and
11 patient characteristics. Lastly, we study safety
12 issues related to human tissues. Cell and gene therapy
13 products that we review and regulate are extremely
14 diverse, rapidly evolving, and often use nontraditional
15 regulatory paradigm, which raises extraordinarily
16 complex scientific and regulatory issues.

17 To address these challenges, we have not only
18 regulatory reviewer scientists in DCGT but a large
19 number of researcher reviewer scientists who perform
20 regulatory reviews, participate in developing policies
21 and guidance documents, as well as performing research
22 in key areas of development relevant to our products to

TranscriptionEtc.

1 support the FDA mission. The research review model has
2 been already discussed by Dr. Elkins, so I'll not get
3 into too much detail in the interest of time.

4 So, we have in DCGT 14 principal investigators
5 who are researcher reviewers, and a majority of them
6 are permanent. We also have staff scientists and staff
7 fellows who are also researcher reviewers supporting
8 their PI's research program. They are fairly
9 independent in the lab but also carry out a large
10 amount of regulatory activities as well. We have
11 technical staff that primarily do research, but some
12 technicians voluntarily wish to do review work as well.
13 So that is also happening on a case-by-case basis.

14 Between FDA and NCI, we have Inter Agency
15 Oncology Task Force, IOTF, fellows. We also have
16 National Standards for Advanced Translational Science,
17 NCATS, fellows. These fellows conduct research in the
18 lab, and they are also trained to do some review work
19 with their PIs. In addition to all that, we have
20 postdoctoral fellows who are funded by Oak Ridge
21 Institute for Science and Engineering. The research
22 funding is provided to the PI, and the PIs are expected

1 to build and lead FDA mission-relevant research
2 programs. And that's been already discussed by Dr.
3 Elkins.

4 The responsibility of the PIs include product
5 review, product development, outreach to give pre-
6 submission advices, scientific and regulatory talks,
7 refereeing and editing journals, chairing sessions at
8 scientific conferences, and scientific collaborations.
9 They also manage the lab activities, obviously, and
10 involved in training, mentoring, and supervising,
11 publishing papers and writing grants. As part of
12 regulatory work duties, they also participate in
13 compliance and enforcement actions.

14 OTAT has 21 research labs in the two
15 divisions, namely DCGT and the Division of Plasma
16 Protein and Therapeutics, who have published 51
17 research articles in 2021, given 47 external scientific
18 research presentations, and there are seven COVID-
19 related ongoing research projects at the moment.

20 So, here's a closer look at the structure of
21 DCGT. As I mentioned earlier, I serve as interim
22 Division Director, and I'm also the Deputy Director.

TranscriptionEtc.

1 We have three associate directors in this division. On
2 the left side of the org chart you'll see four
3 regulatory branches dedicated to regulatory work full-
4 time. Whereas on the right side you'll see three
5 research regulatory branches, namely Cellular and
6 Tissue Therapy Branch, Gene Transfer and Immunogenicity
7 Branch, and Tumor Vaccine and Biotechnologies Branch.
8 And in these three branches all research reviewers
9 carry out their mission-relevant research, as well as
10 regulatory work in parallel.

11 DCGT played a critical role in review and
12 approving first gene therapy product, Kymriah, in the
13 United States in 2017. It's a CAR T-cell product for
14 the treatment of certain children and young adults with
15 B-cell acute Leukemia. In the same year we also
16 licensed another CAR T-cell product, Yescarta, for
17 treatment of adult patients with relapsed or refractory
18 large B-cell lymphoma.

19 Since 2017 we have licensed additional gene
20 therapy products as shown in this slide. These include
21 first in class and adeno-associated viral vector
22 expressing the gene for human RPE65 protein for the

TranscriptionEtc.

1 treatment of patients with biallelic RPE65 mutation
2 associated retinal dystrophy. Most recently, in fact
3 only 10 days ago, we have licensed a B-cell maturation
4 antigen-directed genetically modified autologous T-cell
5 immunotherapy. And this was for treatment of adults
6 with relapsed or refractory multiple myeloma.

7 On the cell therapy side, we have licensed a
8 variety of cell therapy products. That includes
9 Provenge, one of the first cancer vaccine products in
10 autologous antigen presenting cells for the treatment
11 of asymptomatic or minimally symptomatic metastatic
12 caspase-resistant and hormone refractory prostate
13 cancer. Over the years we have also licensed eight
14 cord blood centers in the United States for the
15 hematopoietic regenerative cell cord blood.

16 Activities of DCGTs are numerous, and I would
17 like to summarize some of them in the next two slides.
18 Our staff reviews, evaluates, and takes appropriate
19 actions on product applications, some of these through
20 various regulatory pathways such as INDs, IDEs, HDEs,
21 BLAs, PMAs, NDAs, and 510(k)s. We also hold a lot of
22 meetings that includes CATT, INTERACT, pre-IND meetings

1 and pre-IDE meetings, and other variety of milestone
2 meetings such as end of Phase 2 pre-BLA meetings during
3 the product development lifecycle.

4 Our staff participates in facility inspections
5 for compliance and pre-licensure of the products. We
6 also develop policies and procedures governing the pre-
7 market review and the evaluation of our products. And
8 these efforts include developing over 11 FDA guidance
9 documents for our products in the last two years alone.
10 We've provided scientific and technical advice to other
11 CBER offices, other FDA centers, government agencies,
12 and sponsors.

13 We hold advisory committee meetings like this
14 one and typically DCGT staff chairs the OTAT advice
15 committee events. We are extensively involved in
16 community outreach. We give numerous regulatory talks
17 in conferences organized by various professional
18 societies, for example, American Society for Gene and
19 Cell Therapies, International Society of Stem Cell
20 Research, International Society for Cell and Gene
21 Therapies, Society for Immunotherapy of Cancer, patient
22 advocacy groups, and so on.

1 We also participate in standard development
2 organizations, NIH activities, National Institute
3 Standards and Technology, NIST, Activities, and global
4 regulatory authorities on various regulatory science
5 matters. Lastly and not least, we conduct research to
6 support review and expand the field towards developing
7 safe and effective products.

8 I'd like to show two charts in the next two
9 slides to highlight how busy we have been with
10 regulatory work in DCGT. Clearly in the last five
11 years, this bar graph shown here shows the total new
12 INDs received in our office each year since 1963. You
13 may note that in year 2016 we received a total of 263
14 new INDs. But since then, the annual rate of increase
15 has become much steeper. And in four years, in 2020,
16 the number has nearly tripled to 666 new INDs. This is
17 a sharp increase of regulatory work, almost looking
18 like an exponential increase. In 2021 and '22,
19 although those numbers are not in the chart, we expect
20 the numbers will match this trend.

21 Now in this chart, the total number of sponsor
22 meetings on regulatory matters are shown. And relative

1 to the previous bar graph the rate of increase from
2 2016 has become much steeper, and the total number of
3 meetings has doubled again in 2020 as compared to 2016.

4 In addition, cell and gene therapy products
5 and tissue engineered products are eligible for
6 expanded development pathways known as Breakthrough
7 Therapy Designation and Regenerative Medicines Advanced
8 Therapy Designation. And this can happen as early as
9 gene Phase I study. OTAT has reviewed several hundreds
10 of breakthrough designation and RMAT designation
11 requests and granted these designations to numerous
12 INDs.

13 When breakthrough designation or RMAT
14 designation has been granted to an IND, DCGT reviewers
15 are involved in providing extensive advice and
16 interactions with sponsors to facilitate efficient CMC
17 development. This activity involves the reviewers time
18 and effort that go beyond what would be typically
19 expect of an IND without such a designation.

20 The research areas in DCGT are many. Our PIs
21 perform research in virology, immunology, stem cell and
22 developmental biology, cancer biology and cancer

1 immunology. The division also fosters expertise in
2 various advanced technologies such as genome editing,
3 advanced manufacturing, genomics, proteomics,
4 transgenics, flow cytometry, and tissue engineering.
5 Notably, seven PIs in DCGT form the Multipotent Stromal
6 Cell, MSC, Consortium and have been using MSC, also
7 known as mesenchymal stem cells, as a model cell and
8 taken a systems biology approach to look at the
9 analytical attributes of MSCs to link them to the safe
10 and effectiveness of MSC-based products. And lastly,
11 we have been pursuing various projects related to
12 pyrosequencing and whole genomic sequencing of cell
13 therapy or tissue products.

14 The bulk of research for research labs comes
15 from budget authority, and Dr. Elkins has already
16 explained to some extent. Each year, each PI in CBER
17 is expected to provide their annual report in CBER's PI
18 annual report database. In addition, we collaborate
19 with Dr. Sue Epstein who is the associate director of
20 research in our office, DCGT, to collect information
21 regarding PIs productivity each year. We look at this
22 data in assigning additional resources to PIs based on

1 their accomplishments. I would also note that some PIs
2 may receive supplemental research funding from various
3 grants such as Chief Scientist Challenge Grant, 21st
4 Century Cures Fund, Defense Manufacturing Fund, COVID
5 Fund, Cooperative Research Development Agreement, and
6 other resources.

7 So in summary, our research provides in-house,
8 hands-on expertise in cutting edge areas. We
9 facilitate product development by addressing challenges
10 encountered and by helping develop approaches and
11 guidance documents. We believe these activities, by
12 addressing concerns, provide increased public
13 confidence in and acceptance of these novel
14 technologies.

15 I would like to acknowledge all my colleagues
16 in DCGT for their incredible work every day
17 collaboratively to promote the public health. I'd also
18 like to thank the colleagues whose names are shown here
19 on this slide for their help with the preparing of this
20 presentation. And thank you for your attention.

21 **DR. LISA BUTTERFIELD:** Super. Thank you so
22 much, Dr. Oh. We appreciate that detailed overview and

TranscriptionEtc.

1 all the information. So, we do also have a few minutes
2 here for questions from the Committee for Dr. Oh.
3 Geoffrey Nichol, please.

4 **MR. MICHAEL KAWCZYNSKI:** Let's get you
5 unmuted, Dr. Nichol. Hold on a minute.

6 **DR. GEOFFREY NICHOL:** Yep.

7 **MR. MICHAEL KAWCZYNSKI:** Go ahead, sir.

8 **DR. GEOFFREY NICHOL:** Okay. We good?

9 **MR. MICHAEL KAWCZYNSKI:** Yep, we're good.

10 **DR. GEOFFREY NICHOL:** Great. Thank you, Dr.
11 Oh, for a great overview. Just one question for
12 clarification. On slide 11 you mentioned two gene
13 therapy branches, branches one and two. What are the
14 differences between those two branches?

15 **DR. STEVEN OH:** Are you talking about the ones
16 that are shown on the left side and the right side?

17 **DR. GEOFFREY NICHOL:** Correct.

18 **DR. STEVEN OH:** Okay. So, we have two types
19 of branches for the lack of better word. If you could
20 show that slide 11. I'll move it over there. Yes,
21 thank you. So, the ones on the left are primarily for
22 full-time reviewing of information -- the regulatory

1 information. So, people in those four branches -- the
2 branches are cell therapy branches, gene therapy branch
3 one and gene therapy branch two, and tissue engineering
4 branch. Staffing those branches are full-time
5 reviewers, and their primary responsibilities would be
6 reviewing regulatory submissions.

7 Whereas the ones -- the three branches on the
8 right side, those are cellular and tissue therapy
9 branch, gene transfer and immunogenicity branch, and
10 tumor vaccine and biotechnology branches. Those are
11 the lab-based branches where most of the people in the
12 branch are research regulatory, in other words,
13 researcher or reviewer in terms of their duty. So
14 roughly their role is 50 percent research and 50
15 percent regulatory reviewer. Does that answer your
16 question?

17 **DR. GEOFFREY NICHOL:** Thank you. Thank you
18 very much.

19 **DR. STEVEN OH:** Great.

20 **DR. LISA BUTTERFIELD:** Thank you. And we have
21 several other questions. Dr. Wu. And we can't hear
22 you yes, Dr. Wu.

1 **MR. MICHAEL KAWCZYNSKI:** Yep, hold on a
2 second, sir. I'll make sure you're unmuted.

3 **DR. JOSEPH WU:** So sorry, I just unmuted
4 myself. So, great presentation, Dr. Oh. I have a
5 question about the intermural programs that you have.
6 Are they mostly for basic research and pre-clinical
7 research, or are the investigators trying to push some
8 of this research into clinical and even into a phase 1
9 clinical trial? And if you were to do that who would
10 be reviewing the product profiles given that there's a
11 potential conflict of interest?

12 **DR. STEVEN OH:** Yes, that's a great question.
13 The scope of the research is based on PI initiated
14 projects. Having said that, most of the research
15 projects that's ongoing are rather in the pre-clinical
16 or translational side of the research spectrum. And
17 the goal of the research is really to bridge the gap
18 that's in the research arena, where the scientific, the
19 academic research, or the industry research has their
20 own niche where we see some gaps in that particular
21 area of science. And PIs in the labs are developing
22 projects that would bridge those types of gaps. And we

1 would therefore focus more on the regulatory science
2 aspect of the projects.

3 **DR. JOSEPH WU:** Maybe as a follow-up question,
4 do you have programmatic reviews so that the research
5 that you're doing are more aligned with the industry?
6 For example, if the industry is currently working on
7 product A, B, C, but yet the FDA is working on product
8 X, Y, Z, that this will really relate to what the
9 industry currently are doing? And there might not be
10 so much relevance in terms of what the FDA is doing
11 versus what the current biotech companies or companies
12 are doing. So just wondering how do you kind of link
13 the two -- you know, how do you link your programs and
14 make them relevant as to what's going on as of 2020,
15 2030?

16 **DR. STEVEN OH:** Yeah, those are great
17 questions. So, we have projects ongoing, for example,
18 on MSC, mesenchymal stromal cells or multiple stromal
19 cells. And while there are a lot of MSC-based products
20 that are being developed by the sponsors or the
21 industry, we do not necessary duplicate any of those
22 efforts. Rather we would look for areas where there's

1 a gap and try to delegate projects that would help --
2 to help the industry and to really cover the areas
3 where there's a greater need from the regulatory
4 science point of view.

5 So, I guess to answer your question in a
6 different way, we do not try to develop actual
7 therapeutic products for clinical use. Whereas we try
8 to develop tools and methods that we can publish which
9 will be useful for any cell therapy or gene therapy
10 manufacturers. We also have projects that are based on
11 AAV vectors. We have projects that are based on CAR T-
12 cells, but we don't necessarily -- our interest is in
13 developing actually therapeutic products.

14 **DR. JOSEPH WU:** Got it. Thank you very much.

15 **DR. LISA BUTTERFIELD:** All right. Let's see
16 if we can have a couple more short questions. Dr.
17 Shah.

18 **DR. NIRALI SHAH:** Hi. My video take is slow.
19 But the question that I have -- you know, you showed
20 that really beautiful slide about the number of INDs
21 that are being requested. A fair portion of those in
22 recent years seem to be distributed towards expanded

1 access. Can you explain in more detail what those
2 expanded access studies are and, you know, if they are
3 typically representing a particular single patient
4 access or single product? Thank you.

5 **DR. STEVEN OH:** Yes, a great question. And I
6 have to admit that I didn't go through that slide in
7 detail. Could we pull up Slide 17? So, if you look at
8 that slide, yes, each bar is color coded. And the
9 reddish part of the bar is for expanded access, whereas
10 the blue part is what you call research INDs, where you
11 would typically have a study design meant to provide a
12 clinical study output based on set objectives. So, we
13 do have expanded access there.

14 That expanded access could include single
15 patient IND or expanded access that goes beyond just
16 treating single patients. So that's included in the
17 bar. If you take away the expanded access and just
18 look at the blue part of the chart there in each bar,
19 you would see about doubling of the number of INDs from
20 2016 to 2020. Can you hear me? I think I --

21 **DR. NIRALI SHAH:** Yep, I can hear you.

22 **DR. STEVEN OH:** Okay. Do I still have video?

1 I'm seeing something --

2 **MR. MICHAEL KAWCZYNSKI:** No, sir. No sir,
3 your camera came off. Your camera came off, sir.

4 **DR. STEVEN OH:** Okay. It looks like I'll have
5 to re-log in, but in the interest of time I'll just
6 keep my audio and log in back later on. Will that be
7 okay?

8 **MR. MICHAEL KAWCZYNSKI:** That's fine. That's
9 fine.

10 **DR. LISA BUTTERFIELD:** Thank you. And we've
11 got two more questions if we can wrap this one up.

12 **DR. NIRALI SHAH:** That answers my question.
13 Thank you.

14 **DR. LISA BUTTERFIELD:** Perfect. Dr. Fox.

15 **DR. BERNARD FOX:** Yeah. Just a quick question
16 for Dr. Oh. In that doubling of sort of the blue bar,
17 the INDs from 2016 to 2020 and when it continued to
18 increase, how many new reviewers have you been able to
19 add to take care of that workload?

20 **DR. STEVEN OH:** Great question, Dr. Fox. And
21 thank you for the question. We are able to add a
22 number of new reviewers but not at the rate of what we

1 see in that chart.

2 **DR. BERNARD FOX:** And I guess just in terms of
3 working to leverage support for additional reviewers
4 given the interest of the field in this area are there
5 -- and it may be something for offline, but I just
6 wonder what it is that we can do to help support
7 getting FDA additional funding to support that type of
8 development? Because I think as you noted it's going
9 to continue, or it is continuing to increase. But
10 thank you for your efforts and congratulations on being
11 names interim director.

12 **DR. LISA BUTTERFIELD:** Thank you for those
13 comments, Dr. Fox. So why don't we go to our last
14 question from Dr. Hawkins.

15 **DR. RANDY HAWKINS:** Thank you, Dr. Oh. And
16 I'm not sure if this question applies here. I notice
17 there are a couple open position interim directors.
18 How are we doing with recruiting, realizing that staff
19 actually are critical to a division or department's
20 function? Thank you.

21 **DR. STEVEN OH:** So, we are actually recruiting
22 around the clock. That's been one of the major

1 challenges that we face on a daily basis. So that's a
2 great challenge. I think that's true for not just FDA
3 but a lot of other employees who are in this space.

4 **DR. LISA BUTTERFIELD:** Well, terrific. Thanks
5 again, Dr. Oh, for all of the questions and answers.
6 And so now we're going to move to the presentation from
7 Dr. Andrew Byrnes, who is the Chief of the Gene
8 Transfer and Immunogenicity branch. Looking forward to
9 your presentation, Dr. Byrnes.

10

11

OVERVIEW OF GTIB RESEARCH PROGRAMS

12

13 **DR. ANDREW BYRNES:** All right. Good morning,
14 everybody. It's a pleasure to be here. And I'm going
15 give you a very brief, whirlwind 20-minute overview of
16 the six labs and the research we do and the mission
17 relevance. And I'd like to start by thanking the site
18 visit committee and the Advisory Committee. Your
19 feedback is so valuable to us as we review the quality
20 and the mission relevance of our research programs.
21 So, thank you so much for being here today and to our
22 FDA colleagues in GTAC and elsewhere who have put this

1 Advisory Committee meeting together today.

2 So, we have six laboratories focused on cell
3 and gene therapy, immunology and virology, so very
4 related topics. And the relevance to FDA's mission
5 broadly is by improving the safety and efficacy of cell
6 and gene therapy products, and that includes
7 characterizing complex products. These are some of the
8 most complicated therapeutics ever manufactured in many
9 cases -- mitigating and measuring immune responses to
10 these products, developing better pre-clinical models,
11 and understanding what are the differences between pre-
12 clinical model in humans, and then other overarching
13 FDA and HHS priorities including pandemic influenza and
14 now COVID-19.

15 And before I get into the research programs,
16 just one slide on the regulatory review
17 responsibilities of staff in this branch because as
18 you've heard, it is a very significant amount of our
19 time, approximately 50 percent, although that varies.
20 And these duties include review of investigational
21 products. So, some of the types of products that we
22 review in this branch, gene therapy vectors, especially

TranscriptionEtc.

1 adenovirus, AAV, and lentiviral vectors, T-cell
2 therapies like CAR T-cells, CD34 positive hematopoietic
3 stem cell therapies, and genome editing products, which
4 is a very rapidly increasing product category. And
5 then when it comes time for license applications, so
6 BLAs, we serve on those BLA committees. In many cases
7 a number of us have chaired those BLA review
8 committees.

9 These are many first in class products that
10 raise complicated scientific and regulatory issues.
11 So, our scientific backgrounds really come into play
12 here. And then even after our products are licensed,
13 we're finding that because these products are so new in
14 part, there's many manufacturing improvements and
15 changes that need to occur after licensure. So, we're
16 constantly reviewing BLA supplements as manufacturers
17 expand their manufacturing or improve their
18 manufacturing processes.

19 We also participate as team members on GMP
20 inspections of manufacturing facilities across the
21 United States and internationally. And then we
22 participate in a variety of policy guidance writing

TranscriptionEtc.

1 activities, meetings with sponsors, and outreach at
2 conferences and workshops and training as well. So,
3 these are very important duties that we do here.
4 However, they do impact our productivity in the lab.
5 And also, the COVID pandemic in the past two years,
6 especially in 2020, had a very major impact on
7 productivity as well.

8 All right. So, I'd like to start my overview
9 of the six labs' research with the Epstein lab. Dr.
10 Epstein works on recombinant vectors used as vaccines
11 for influenza virus. And the relevance of this work to
12 our regulatory mission, of course, with the interest in
13 influenza across the HHS, the Epstein lab is developing
14 approaches that could potentially serve as universal
15 influenza vaccines that could protect against a variety
16 of strains of influenza without having to have the
17 strain match type.

18 But beyond the relevance to influenza, these
19 projects from the Epstein lab are very relevant to cell
20 and gene therapies, particularly gene therapy vectors.
21 The vectors used by the Epstein lab include many of the
22 same vectors that are used for gene therapy including

1 plasmid, adenovirus which I'll be telling you about
2 today, AAV vectors, and poxvirus vectors. And it's
3 very important to understand the immune responses, how
4 to measure those and evaluate them in both pre-clinical
5 animal models and clinical trials. And it's worth
6 noting that we also regulate several immune-based
7 therapies for influenza and other respiratory viral
8 infections.

9 So just briefly, some work that was done in
10 the past few years from the Epstein lab with
11 recombinant adenovirus vectors that express conserved
12 influenza A or influenza B proteins as a potential
13 (audio skip). So, the findings in recent years include
14 that after a single intra-nasal administration with
15 these adenovirus vectors expressing flu antigen, you
16 get antibody and T-cell responses against the flu
17 antigens that can persist for more than a year. And
18 that also gives broad protection against a variety of
19 influenza virus strains for more than a year. And
20 despite pre-existing immunity to the vector after a
21 first injection, you can give a second injection of the
22 vector that expresses a different antigen a year later

1 and still get a good immune response against that
2 antigen.

3 And then the Epstein lab has developed a very
4 interesting mouse model of influenza transmission. And
5 they've shown that this intra-nasal vaccine can protect
6 against flu transmission for up to a year. And then
7 they have been looking more recently at whether this
8 intra-nasal administration has any damaging effects on
9 the lungs or the immune response. So, they've shown
10 recently that mucosal immunization by the intra-nasal
11 route with adenovirus vectors dose not impair lung
12 function.

13 And to follow up on that, their current
14 ongoing research is to analyze those immune responses
15 in more detail and just make sure that there are no
16 damaging effects, for example, excess cytokine
17 secretions or very severe cytotoxic T-cell responses.
18 And again, this work has very broad public health
19 implications. You could use potentially universal
20 influenza vaccines to protect against any influenza
21 strain. And although they may not prevent infections
22 of individuals by influenza, they do have the potential

1 to reduce illness and death and transmission of
2 infection.

3 I would like to speak briefly about the lab of
4 Nirjal Bhattarai and Alan Baer as a staff fellow in the
5 Bhattarai lab. And they work on both cell and gene
6 therapies to understand mechanisms for immunotoxicity,
7 immunogenicity, and inflammatory toxicity. So, the
8 Bhattarai lab aims to improve manufacturing and
9 decrease immunogenicity of cell and gene therapies.

10 And there's two main areas that I'll tell you
11 about next. The first broad area is cell-based gene
12 therapies, including CAR T-cells. They work on
13 manufacturing challenges, developing methods to make
14 products of better quality, and also understanding the
15 mechanisms that contribute to toxicity, especially
16 cytokine release syndrome and developing strategies to
17 reduce those toxicities.

18 And then in the area of viral vectors, they
19 use AAV as a model system. They're studying innate
20 immune responses in in vitro systems and working also
21 on developing in vivo systems as well. And they've
22 recently developed novel strategies to reduce T-cell

TranscriptionEtc.

1 responses to AAV vectors. And this work has obvious
2 mission relevance because it addresses important
3 challenges with cell and gene therapy products to
4 improve the safety and efficacy of the products.

5 The major findings, with the CAR T-cells
6 they've identified a novel role for Src-kinases in CAR-
7 T-cell activation. And this has led directly to a
8 strategy to improve the quality of CAR T-cells during
9 manufacturing by using a Src-kinases inhibitor. And
10 this was published recently in *Journal of*
11 *Immunotherapy*. And then to address the safety
12 concerns, they've identified a novel inflammatory
13 factor that's released by T-cells and that activates
14 bystander cells and contributes to CAR T-cell toxicity,
15 in vitro at least. And then they're also working on
16 AAV vectors. They've identified a novel peptide from
17 hepatitis C virus that suppresses T-cell responses and
18 shown that this works when you put it into an AAV
19 vector to suppress T-cell responses.

20 So ongoing work and future directions, with
21 the CAR T-cell project they're going to be
22 characterizing this inflammatory factor that's related

1 by T-cells in more detail, including in vivo models of
2 cytokine release syndrome and neurotoxicity in mice and
3 then to develop strategies to prevent toxicity or
4 reduce toxicity by modulating this inflammatory
5 molecule that's released by the CAR T-cells. And then
6 the viral vector immunogenicity project, they're
7 studying the immunogenicity of these AAV vectors in
8 vivo, as I mentioned, in mice but also developing
9 strategies to reduce vector-induced innate immune
10 responses in addition to T-cells.

11 Now the lab of Jakob Reiser. And Takele Argaw
12 is the Staff Scientist in this lab. And this lab works
13 on safety enhanced lentiviral vectors for gene therapy.
14 Now, there's a number of important potential safety
15 issues with lentiviral vectors that I'm sure you're all
16 aware of. They have the potential to form replication
17 competent lentiviruses. They can also potentially
18 cause insertional gene activation or inactivation, and
19 this could lead to genotoxicity or oncogenesis. And
20 there's also the potential for off target
21 transductions. So, the lentiviral vectors might
22 transduce the wrong cells.

1 So, the Reiser lab is working on these last
2 two safety issues with lentiviral vectors. I'll take
3 these topics one by one on the next two slides. But
4 the overall goal is to develop safer lentiviral vectors
5 by directing vector integration to genomic safe harbor
6 sites and then narrowing the vector's cell tropism to
7 make sure the vector gets to the right cells in the
8 first place.

9 So, on the topic of directing vector
10 integration to genomic safe harbor sites, the Reiser
11 lab is using engineered recombinases to target
12 lentiviral integration without causing double stranded
13 DNA breaks and to target safe harbor sites that won't
14 disrupt the central genes or raise the risk of
15 oncogenesis. They're also using a strategy with the
16 Rhabdovirus vector, so Vesicular Stomatitis Virus or
17 VSV, to use directed evolution to evolve recombinases
18 that have better specificity and activity. And then
19 finally, they're using Gag protein from HIV either in
20 lentiviral vectors or nanoparticles as tools for
21 transient delivery of recombinases either in the form
22 of protein or RNA. So, you can attach these either

1 proteins or RNA to Gag and use that as the delivery
2 mechanism.
3 And next in the topic of narrowing the vectors cellular
4 tropism by engineering the envelope proteins of
5 lentiviral vectors to bind to new receptors, so the
6 Reiser lab has worked for many years now on rational
7 design of targeting envelope proteins. And they're
8 also starting to work on directed evolution using this
9 VSV system with the various envelope glycoproteins.
10 You can put them into the VSV system and evolve them to
11 improve cell targeting and then to test these re-
12 targeted vectors, both in vitro and in vivo in mouse
13 models, characterize their cellular tropism. There's
14 the potential that these vectors or nanoparticles with
15 these re-targeted envelope proteins could also be used
16 to transiently deliver protein or RNA to specific
17 cells.

18 Next, I'll turn to the lab of Zhaohui Ye who is
19 working on development and evaluation of cell
20 engineering technologies. And the Ye lab works on two
21 areas that I'll explain on the next two slides. The
22 first area is making hematopoietic stem cells from

1 induced pluripotent stem cells, which would ultimately
2 allow reconstituting a patient's hematopoietic system
3 from any cell type. And then the second area is
4 understanding the safety of genome editing technologies
5 to understand how to evaluate whether genome editing
6 causes unintentional mutations.

7 So, in the area of iPSCs the lab is developing
8 methods to optimize hematopoietic differentiation
9 conditions as well as to develop characterization
10 methods for iPSC generated cell types. So, we have
11 many hematopoietic products that we regulate and many
12 iPSC derived products. And this is an area of huge
13 interest and rapidly growing and complicated science.

14 So, the mission relevance is quite clear.
15 This knowledge gained from these projects can be used
16 to support development of manufacturing platforms that
17 use iPSCs but also improve methods for quality
18 assessment of stem cell derived products. And then in
19 the area of evaluating genome editing technologies the
20 Ye lab works on novel CRISPR-based genome editing
21 tools. And this is a huge area of interest right now.

22 So, they work on developing technology to

TranscriptionEtc.

1 improve product manufacturing and also improving safety
2 evaluation of gene therapies incorporating genome
3 editing. So, in this example here from a recently
4 published work the Ye lab used genome wide sequence
5 analysis to look at mutations caused by Cas9-based
6 cytosine-based editors in human stem cells. And these
7 mutations they found have a random chromosomal
8 distribution. So, it's not targeted to specific areas.

9 The distribution of mutations, in fact, is not
10 predicted by in silico algorithms and is independent of
11 Cas9 binding to DNA. So, you can see in blue the Cas9
12 that has no guide RNA produces the same pattern of
13 mutations across the chromosomes as Cas9 that does have
14 the guide RNA. So, this is independent of the Cas9
15 binding to DNA. This is a very good example of how to
16 assess the safety of base editors using genome
17 sequencing. And this result also highlights that
18 there's room for improvement in these base editing
19 tools and also room for improvement in the method for
20 assessing the safety of these tools.

21 Next, I'll turn to the lab of Ronit Mazor who
22 works on immunogenicity of AAV vectors using gene

1 therapy. And as you all know, AAV vectors are a very
2 active category right now of the products that we
3 regulate. There is at least 170 active INDs here
4 across multiple indications.

5 We have two FDA licensed AAV products, as Dr.
6 Oh mentioned. And this is an increasing category of
7 the meetings that we have with sponsors and the INDs
8 that we have that are active. The goals of the Mazor
9 lab include developing platform technologies to
10 investigate, monitor, and mitigate the adaptive
11 immunogenicity, so the T-cell responses to AAV vectors.
12 So, their ongoing projects include identifying T-cell
13 epitopes in AAV vectors, in both mice and humans but
14 mainly in humans.

15 And then they design novel controls for immune
16 monitoring assays. For example, they plan to design a
17 human T-cell line that could be used as a control in
18 assays to monitor clinical T-cell responses against AAV
19 vectors. They also work in the long-term on developing
20 AAV vectors that have reduced immunogenicity. So, once
21 you identify the T-cell epitopes, you can potentially
22 mutate them to reduce the ability of the T-cells to

1 detect the AAV vectors.

2 And then once those T-cell epitopes have been
3 mutated, they can be put back into the capsids and see
4 how that affects the activity and the tropism of the
5 AAV vectors as well as their immunogenicity. And
6 here's an example from the Mazor lab of some recently
7 published work looking at the effect of amidation. So
8 deamidation is a chemical modification of amino acids
9 that occurs spontaneously. And this type of
10 modification to the AAV capsid proteins might cause
11 changes in the ability of T-cells to react these capsid
12 proteins.

13 So, what's shown here on the top is the amount
14 of protein deamidation in the AV capsids increases with
15 the amount of time after manufacturing. So, this
16 modification happens spontaneously. So why is this
17 important? It's because the T-cells can potentially
18 change how they recognize these epitopes if they have
19 an amino acid that's modified by deamidation. So, if
20 the amino acids in these proteins are changing
21 chemically, it can potentially alter the T-cell
22 responses. And that's basically what the Mazor lab

1 found in this study.

2 So, they looked at anti-AAV T-cell responses
3 from a panel of human donors, and they found that
4 deamidation increased T-cell reactivity for some
5 humans. But interestingly, it also decreased it for
6 other donors. So, these differences in T-cell
7 reactivity amongst humans were related to genetic
8 differences in MHC II alleles. So, this work has
9 implications for how to monitor T-cell responses as
10 well as how deamidation might affect immunogenicity of
11 AAV therapies.

12 And then finally, my lab works on adenovirus
13 vectors and the biodistribution and toxicity of these
14 vectors. Adenovirus remains -- so it's one of the
15 older products classes that we regulate, but it remains
16 one of the most popular. There's currently over 90
17 active gene therapy and oncolytic adenovirus clinical
18 trials regulated by our office, most of them for
19 cancer. Now, these vectors can be engineered to either
20 replicate or not replicate. The work in our lab is
21 done with non-replicating adenovirus vectors.

22 And we study systemic IV gene therapies. So,

TranscriptionEtc.

1 this could be a very potentially advantageous route for
2 administering adenovirus vectors to target a variety of
3 organs or a variety of metastatic tumors. But there's
4 a big problem because these vectors are cleared very
5 rapidly from the circulation. They end up in the liver
6 where they cause toxicity. So, we're looking at what
7 are the routes and the mechanisms for the immediate
8 clearance of the vector by the liver and how to prevent
9 that.

10 And we're also very focused on differences
11 between animal models and humans. In some cases, we
12 found that mice may mimic what happens in a human, and
13 in other cases we found that the protein interactions
14 of mouse proteins and human proteins with adenovirus
15 vectors are completely different. So, this has very
16 clear implications for the use of mouse models and
17 other models for preclinical studies.

18 Now I don't have time to go into detail, but
19 here's just some of the things that we've been working
20 on. And overall, what we've found is that as soon as
21 you expose adenovirus vectors to plasma, they
22 immediately get coded by a variety of host proteins

1 that interact with the virus and with other host
2 proteins in very complicated ways. So, for example,
3 you could have antibodies bind to the vector that could
4 activate complement, the classical complement cascade.
5 And this can actually neutralize the virus under some
6 circumstances.

7 And coagulation factors, like Factor X, can
8 bind to specific binding site on the capsid and
9 actually prevent this neutralization. And so again,
10 these proteins interact with each other and with the
11 virus in complicated ways. In some cases, we found
12 that coagulation factors for mice and humans interact
13 in different ways with these adenovirus vectors. So
14 again, that's very relevant to preclinical studies.

15 So, our ongoing work in my lab and future
16 directions are focused on host proteins that interact
17 with Ad vectors. How do these proteins influence
18 vector via distribution toxicity? And again, how do
19 they differ between mice and humans? We're currently
20 expanding our studies to many different adenovirus
21 serotypes following trends in the field where people
22 are expanding beyond Ad V vectors. And these different

1 vectors have very different properties as gene therapy
2 vectors.

3 Goals and mission relevance are to build
4 better vectors that can be targeted to specific tissues
5 or tumors and also to understand the benefits and
6 limitations of preclinical animal models. So, I'll
7 stop there. And thank you so much for your time and
8 for participating in this very important process. And
9 I'll be happy to take any questions.

10 **DR. LISA BUTTERFIELD:** Super. Thank you so
11 much, Dr. Byrnes. So, we're going to give it a moment
12 for the Committee to see if there are questions. And
13 first we have a question from Dr. Wolfe.

14 **DR. GIL WOLFE:** Hi, Dr. Byrnes. Thanks for
15 that presentation. In regard to the first lab you
16 mentioned, Dr. Epstein's lab, this broad spectrum
17 persistent and yet it seemed modifiable immune response
18 to influenza it would seem to have equal, if not even
19 greater relevance on the coronavirus side, specifically
20 SARS CoV-2. And I'm wondering if they're applying any
21 of these findings potentially into the coronavirus
22 sphere?

1 **DR. ANDREW BYRNES:** Yeah, that's a great
2 point. So, this is a strategy that's broadly
3 applicable to a variety of respiratory viruses,
4 including coronaviruses. And the Epstein lab is not
5 working on that, but a variety of other labs are very
6 interested in developing coronavirus vaccines that
7 could produce broad immunity against either a variety
8 of SARS-CoV-2, you know, variants or against
9 coronaviruses more broadly. So, it's a very broadly
10 applicable strategy.

11 **DR. LISA BUTTERFIELD:** Thank you. And we have
12 one other question from Dr. Nichol, please.

13 **DR. GEOFFREY NICHOL:** Hi. Thanks for a great
14 overview, Dr. Byrnes. Just a general observation, but
15 many of these labs are working on things that are of
16 extreme interest to industry sponsors. And it would be
17 great to sort of -- well, to ask you the extent to
18 which it's possible to arrange as much interaction as
19 possible from the scientific front with both industry
20 and academic people. I get from many other
21 presentations that this is ongoing, but it would be
22 very good to encourage as much ongoing scientific

1 interaction on some of these key questions as possible
2 and certainly to keep industry researchers up with the
3 play of what our FDA scientific interests is in many of
4 these areas.

5 **DR. ANDREW BYRNES:** Yeah, that's a good point.
6 So, we both -- we communicate at scientific
7 conferences. We publish our work, and we also hear
8 what's going on at the same time including in
9 scientific conferences but also in venues like our
10 advisory committees. So, for example, we had an
11 Advisory Committee meeting late last year about AAV
12 toxicities, and many of us are very interested in those
13 same problems that were discussed there.

14 We do have issues, as you might imagine, about
15 collaborating directly with industry being a conflict
16 of interest in many cases. But we are open to
17 collaborating with academic centers, and we do that to
18 large extent.

19 **DR. GEOFFREY NICHOL:** Thank you. That's
20 great.

21 **DR. LISA BUTTERFIELD:** All right. And let's
22 take just one more minute. Dr. Zaia, a final, final

1 question.

2 **DR. JOHN ZAIA:** Thank you very much for that
3 excellent talk. One of the key goals, I think, of your
4 section will be to stay ahead of the field. You
5 mentioned lipid nanoparticles, in part. There are
6 other areas that are moving quickly. Let's say direct
7 injection gene therapy would be one of them. And I'm
8 asking the question, how do you stay ahead of the
9 field, and where are you on lipid nanoparticle delivery
10 or even direct injection of vectors for gene therapy?

11 **DR. ANDREW BYRNES:** I think this is -- so
12 you're mentioning the work in the Reiser lab. And this
13 is one of the main things that they're interested in.
14 And the impetus for studying these is that people are
15 increasingly interested in delivery lentiviral vectors
16 in vivo instead of using them for ex vivo genetic
17 modification. So, this is -- it's still a very early
18 project, but it's in direct response to those changes
19 in the field.

20 And then because our office regulates such a
21 very wide variety of products, we can't have experts in
22 every single corner. But we do try to -- especially as

1 we recruit new PIs, we do try to look for areas that
2 will fill gaps. Rather than having people work on the
3 same thing in multiple labs we try to spread out and
4 identify new areas of interest and technology. We call
5 that process horizon scanning. And we do it before we
6 recruit any new PI to our division.

7 **DR. JOHN ZAIA:** Thank you.

8 **DR. LISA BUTTERFIELD:** All right. Thanks
9 again. We are out of time now for the question and
10 answers. So, thanks again, Dr. Byrnes for that. And
11 so now we are going to take a 10-minute break for the
12 committee before we move to the open public session.

13

14 **[BREAK]**

15

16

OPEN PUBLIC HEARING

17

18 **MR. MICHAEL KAWCZYNSKI:** All right. And
19 welcome back to our 71st meeting of the Cellular tissue
20 and Gene Therapies Advisor Committee. I'm going to
21 hand it over to our chair, Dr. Lisa Butterfield.

22 **DR. LISA BUTTERFIELD:** All right. Welcome

1 back, everyone, from our short break. And I would like
2 to welcome everyone to the open public hearing part of
3 our meeting. However, this is a different sort of
4 meeting, and we did not have any requests to speak in
5 the open public hearing. So, I now close the open
6 public hearing because of lack of request. So with
7 that, we are now going to move to the closed session
8 for Committee discussion.

9 **[END OF OPEN SESSION]**