

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Thursday, February 10, 2022

10:00 a.m. to 2:46 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****LaToya Bonner, PharmD**

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**Ranjana H. Advani, MD**

Physician Leader, the Lymphoma Clinical
Care Program
Saul A. Rosenberg Professor of Lymphoma
Stanford University Medical Center
Stanford, California

Mark R. Conaway, PhD

Professor and Director of Translational Research
Division of Translational Research and
Applied Statistics
Department of Public Health Sciences
University of Virginia
Charlottesville, Virginia

1 **Massimo Cristofanilli, MD, FACP**

2 Chief of Breast Medical Oncology

3 Associate Director of Precision Medicine

4 Meyer Cancer Center (MCC)

5 Scientific Director of the Englander Institute of

6 Precision Medicine (EIPM)

7 Weill Cornell Medicine

8 Division of Hematology-Oncology

9 New York, New York

10

11 **Jorge A. Garcia, MD, FACP**

12 Chair, Division of Solid Tumor Oncology

13 George and Edith Richman Distinguished

14 Scientist Chair

15 Director, GU Oncology Program

16 University Hospitals Seidman Cancer Center

17 Case Comprehensive Cancer Center

18 Case Western Reserve University

19 Cleveland, Ohio

20

21

22

1 **Pamela L. Kunz, MD**

2 *(Acting Chairperson)*

3 Associate Professor

4 Department of Medicine, Division of Oncology

5 Yale University School of Medicine

6 Director, Center for Gastrointestinal Cancers at

7 Smilow Cancer Hospital and Yale Cancer Center

8 New Haven, Connecticut

9

10 **Christopher H. Lieu, MD**

11 Associate Professor of Medicine

12 Associate Director for Clinical Research

13 Director, Gastrointestinal Medical Oncology Program

14 University of Colorado

15 Aurora, Colorado

16

17

18

19

20

21

22

1 **Ravi A. Madan, MD**

2 Clinical Director

3 Genitourinary Malignancies Branch

4 Center for Cancer Research

5 National Cancer Institute

6 National Institutes of Health

7 Bethesda, Maryland

8

9 **David E. Mitchell**

10 *(Consumer Representative)*

11 Founder, Patients for Affordable Drugs

12 Bethesda, Maryland

13

14 **Jorge J. Nieva, MD**

15 Associate Professor of Clinical Medicine

16 Section Head, Solid Tumors

17 University of Southern California (USC) Norris

18 Comprehensive Cancer Center

19 Keck School of Medicine of USC

20 Los Angeles, California

21

22

1 **Ashley Rosko, MD**

2 Associate Professor

3 Division of Hematology

4 Department of Internal Medicine

5 Medical Director, Oncogeriatric Program

6 James Comprehensive Cancer Center

7 The Ohio State University

8 Columbus, Ohio

9

10 **Anthony D. Sung, MD**

11 Associate Professor of Medicine

12 Duke University School of Medicine

13 Duke Adult Blood and Marrow Transplant Clinic

14 Durham, North Carolina

15

16

17

18

19

20

21

22

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2 **(Non-Voting)**

3 **Jonathan D. Cheng, MD**

4 *(Industry Representative)*

5 Senior Vice President

6 Head of Oncology Development

7 Global Drug Development

8 Bristol-Myers Squibb

9 Lawrenceville, New Jersey

10

11 **TEMPORARY MEMBERS (Voting)**

12 **Karen E. Arscott, DO, MSc**

13 *(Patient Representative)*

14 Jermyn, Pennsylvania

15

16 **Ibiayi Dagogo-Jack, MD**

17 Assistant Professor of Medicine

18 Harvard Medical School

19 Massachusetts General Hospital

20 Boston, Massachusetts

21

22

1 **John Deeken, MD**

2 President, Inova Schar Cancer Institute

3 Senior Vice President, Inova Health System

4 Professor of Medicine, University of Virginia

5 Fairfax, Virginia

6

7 **Antoinette J. Wozniak, MD**

8 Professor of Medicine

9 Division of Hematology/Oncology

10 Department of Medicine

11 University of Pittsburgh

12 Associate Director for Clinical Research

13 Leader, Lung Cancer Disease Center

14 UPMC Hillman Cancer Center

15 Pittsburgh, Pennsylvania

16

17 **FDA PARTICIPANTS (Non-Voting)**

18 **Richard Pazdur, MD**

19 Director, Oncology Center of Excellence (OCE)

20 Director (Acting)

21 Office of Oncologic Diseases (OOD)

22 Office of New Drugs (OND), CDER, FDA

1 **Julia Beaver, MD**

2 Chief of Medical Oncology, OCE

3 Deputy Director (Acting), OOD

4 OND, CDER, FDA

5

6 **Harpreet Singh, MD**

7 Director

8 Division of Oncology 2 (DO2)

9 OOD, OND, CDER, FDA

10

11 **Paz J. Vellanki, MD, PhD**

12 Medical Officer

13 DO2, OOD, OND, CDER, FDA

14

15 **Nicole Drezner, MD**

16 Clinical Team Lead

17 DO2, OOD, OND, CDER, FDA

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Pamela Kunz, MD	12
5	Introduction of Committee	
6	LaToya Bonner, PharmD	12
7	Conflict of Interest Statement	
8	LaToya Bonner, PharmD	18
9	FDA Opening Remarks	
10	Harpreet Singh, MD	24
11	Applicant Presentations - Innovent Biologics	
12	Introduction	
13	Lana Shiu, MD	38
14	Treatment Landscape in Non-Small	
15	Cell Lung Cancer (NSCLC)	
16	Mark Socinski, MD	44
17	ORIENT-11 Efficacy and Conduct	
18	Eduard Gasal, MD	52
19	Safety	
20	Maria Fernandes, MD	60
21	Applicability to U.S. Population	
22	David Ferry, MD, PhD	63

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentation	
4	Sintilimab for Locally Advanced or	
5	Metastatic Non-Squamous NSCLC	
6	Paz Vellanki, MD, PhD	79
7	Clarifying Questions to Presenters	114
8	Open Public Hearing	131
9	Clarifying Questions to Presenters (continued)	138
10	Questions to the Committee and Discussion	172
11	Adjournment	217
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. KUNZ: Good morning and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Dr. Pamela Kunz, and I will be chairing this meeting. I will now call the February 10, 2022 meeting of the Oncology Drug Advisory Committee to order. Commander LaToya Bonner is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

CDR BONNER: Good morning. My name is LaToya Bonner, and I am the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Advani?

(No response.)

1 CDR BONNER: Dr. Advani, can you please
2 unmute your phone?

3 DR. ADVANI: This is Dr. Advani from
4 Stanford.

5 CDR BONNER: Thank you.
6 Dr. Conaway?

7 DR. CONAWAY: Mark Conaway, biostatistics,
8 University of Virginia.

9 CDR BONNER: Thank you, sir.

10 DR. CRISTOFANILLI: Yes. Good morning.
11 Dr. Massimo Cristofanilli, oncologist from Weill
12 Cornell, New York.

13 CDR BONNER: Dr. Garcia?

14 DR. GARCIA: Good morning. Jorge Garcia, GU
15 medical oncologist, chief of medical oncology,
16 University Hospitals Seidman Cancer Center, Case
17 Western Reserve University in Cleveland, Ohio.

18 CDR BONNER: Thank you, sir.

19 Dr. Kunz?

20 DR. KUNZ: Good morning. Dr. Pamela Kunz.
21 I'm a GI medical oncologist at Yale Cancer Center
22 in New Haven, Connecticut.

1 CDR BONNER: Thank you.

2 Dr. Lieu?

3 DR. LIEU: Good morning. I'm Chris Lieu, GI
4 medical oncologist from the University of Colorado
5 Cancer Center.

6 CDR BONNER: Thank you.

7 Dr. Madan?

8 DR. MADAN: Good morning. I'm Ravi Madan.
9 I'm a senior clinician and GU medical oncologist at
10 the National Cancer Institute.

11 CDR BONNER: Thank you, sir.

12 Mr. Mitchell?

13 MR. MITCHELL: I'm David Mitchell. I'm the
14 consumer representative. I'm a multiple myeloma
15 patient, and I'm founder of Patients for Affordable
16 Drugs.

17 CDR BONNER: Thank you, sir.

18 Dr. Nieva?

19 DR. NIEVA: Hi. I'm Jorge Nieva. I'm a
20 section head of solid tumors and a thoracic medical
21 oncologist at the University of Southern California
22 Norris Cancer Center in Los Angeles, California.

1 CDR BONNER: Dr. Rosko?

2 DR. ROSKO: Good morning. I'm Ashley Rosko
3 from the Division of Hematology, and also the
4 medical director of the Oncogeriatric Program at
5 The Ohio State University.

6 CDR BONNER: Thank you.

7 Dr. Sung?

8 DR. SUNG: Anthony Sung, hematology-
9 oncology, Duke University.

10 CDR BONNER: Thank you, sir.

11 Dr. Cheng?

12 DR. CHENG: Good morning. Jonathan Cheng.
13 I'm a medical oncologist, and I'm the industry rep,
14 and I'm affiliated with Bristol-Myers Squibb.

15 CDR BONNER: Thank you, sir.

16 Dr. Arscott?

17 DR. ARSCOTT: I'm Karen Arscott. I'm a
18 primary care physician and addiction medicine
19 specialist, and a two-time lung cancer survivor.

20 CDR BONNER: Thank you, ma'am.

21 Dr. Dagogo-Jack?

22 DR. DAGOGO-JACK: Good morning. I'm Ibiayi

1 Dagogo-Jack. I'm a thoracic medical oncologist at
2 Massachusetts General Hospital.

3 CDR BONNER: Thank you, ma'am.

4 Dr. Deeken?

5 DR. DEEKEN: Hi. John Deeken. I'm a head
6 and neck medical oncologist and president of the
7 Inova Schar Cancer Institute in Fairfax, Virginia.

8 CDR BONNER: Thank you.

9 Dr. Wozniak?

10 DR. WOZNIAK: Yes. I'm Antoinette Wozniak.
11 I'm a thoracic medical oncologist at the UPMC
12 Hillman Cancer Center in Pittsburgh.

13 CDR BONNER: Dr. Pazdur?

14 DR. PAZDUR: Hi. Richard Pazdur. I'm the
15 director of the Oncology Center of Excellence at
16 the FDA.

17 CDR BONNER: Dr. Beaver?

18 DR. BEAVER: Hi. I'm Dr. Julia Beaver. I'm
19 chief of medical oncology in the Oncology Center of
20 Excellence at FDA.

21 CDR BONNER: [Inaudible].

22 DR. SINGH: Good morning. I'm Dr. Harpreet

1 Singh, division director of the Division of
2 Oncology 2 at the FDA.

3 CDR BONNER: Dr. Vellanki?

4 DR. VELLANKI: Hi. I'm Paz Vellanki. I'm a
5 clinical reviewer on the thoracic head and neck
6 team at FDA.

7 CDR BONNER: And last is Dr. Drezner.

8 DR. DREZNER: Hi. Dr. Nicole Drezner. I am
9 an oncologist on the thoracic head and neck team,
10 in the Division of Oncology 2 at the FDA.

11 CDR BONNER: Thank you. I will now turn
12 this meeting back over to our chair, Dr. Kunz.

13 DR. KUNZ: Wonderful. Thank you.

14 For topics such as those being discussed at
15 this meeting, there are often a variety of
16 opinions, some of which are quite strongly held.
17 Our goal today is that this meeting will be fair
18 and an open forum for discussion of these issues,
19 and that individuals can express their views
20 without interruption.

21 Thus, as a gentle reminder, individuals will
22 be allowed to speak into the record only if

1 recognized by the chairperson. We look forward to
2 a productive meeting.

3 In the spirit of the Federal Advisory
4 Committee Act and the Government in the Sunshine
5 Act, we ask that the advisory committee members
6 take care that their conversations about the topic
7 at hand take place in the open forum of the
8 meeting.

9 We are aware that members of the media are
10 anxious to speak with the FDA about these
11 proceedings, however, FDA will refrain from
12 discussing the details of this meeting with the
13 media until its conclusion. Also, the committee is
14 reminded to please refrain from discussing the
15 meeting topic during the breaks or lunch. Thank
16 you so much.

17 Now I'll pass it to Commander Bonner, who
18 will read the Conflict of Interest Statement.

19 **Conflict of Interest Statement**

20 CDR BONNER: Thank you, ma'am.

21 The Food and Drug Administration is
22 convening today's meeting of the Oncologic Drugs

1 Advisory Committee under the authority of the
2 Federal Advisory Committee Act, FACA, of 1972.
3 With the exception of the industry representative,
4 all members and temporary voting members of the
5 committee are special government employees or
6 regular federal employees from other agencies and
7 are subject to federal conflict of interest laws
8 and regulations.

9 The following information on the status of
10 this committee's compliance with federal ethics and
11 conflict of interest laws, covered by but not
12 limited to those found at 18 U.S.C. Section 208, is
13 being provided to participants in today's meeting
14 and to the public.

15 FDA has determined that members and
16 temporary voting members of this committee are in
17 compliance with federal ethics and conflict of
18 interest laws. Under 18 U.S.C. Section 208,
19 Congress has authorized FDA to grant waivers to
20 special government employees and regular federal
21 employees who have potential financial conflicts
22 when it is determined that the agency's need for a

1 special government employee's services outweighs
2 his or her potential financial conflict of interest
3 or when the interest of a regular federal employee
4 is not so substantial as to be deemed likely to
5 affect the integrity of the services which the
6 government may expect from the employee.

7 Related to the discussions of today's
8 meeting, members and temporary voting members of
9 this committee have been screened for potential
10 financial conflicts of interests of their own as
11 well as those imputed to them, including those of
12 their spouses or minor children and, for purposes
13 of 18 U.S.C. Section 208, their employers. These
14 interests may include investments; consulting;
15 expert witness testimony; contracts, grants,
16 CRADAs; teaching, speaking, writing; patents and
17 royalties; and primary employment.

18 Today's agenda involves discussion of the
19 biologics license application 761222, for
20 sintilimab injection, submitted by Innovent
21 Biologics Company Ltd. The proposed indication for
22 this product is in combination with pemetrexed and

1 platinum-based chemotherapy for first-line
2 treatment of patients with stage IIIB, IIIC, or
3 stage IV non-squamous non-small cell lung cancer
4 with no epidermal growth factor receptor or
5 anaplastic lymphoma kinase genomic tumor
6 aberrations.

7 This is a particular matters meeting during
8 which specific matters related to Innovent
9 Biologics' BLA will be discussed. Based on the
10 agenda for today's meeting and all financial
11 interests reported by committee members and
12 temporary voting members, conflict of interest
13 waivers have been issued in accordance with
14 18 U.S.C. Section 208 (b) (3) to Drs. Ashley Rosko
15 and Jorge Nieva.

16 Dr. Rosko's waiver involves her employer's
17 contract for two studies. One study is funded by
18 GlaxoSmithKline and competing firm. Dr. Rosko's
19 employer receives between \$0 to \$50,000 per year
20 with GlaxoSmithKline. The second study is funded
21 by a competing firm, and Dr. Rosko is not aware of
22 the funding about the amounts being provided to

1 employer.

2 Dr. Nieva's waiver involves his employer's
3 research contract funded by competing firms for
4 which his employer receives between \$300,000 to
5 \$350,000 per year, and Dr. Nieva receives between
6 \$0 to \$5,000 per year in salary support.

7 The waivers allow these individuals to
8 participate fully in today's deliberations. FDA's
9 reason for issuing the waivers are described in the
10 waiver documents, which are posted on FDA's website
11 at [https://www.fda.gov/advisory-committees/
12 committees-and-meeting-materials/human-drug-
13 advisory-committees.](https://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees)

14 Copies of the waivers may also be obtained
15 by submitting a written request to the agency's
16 Freedom of Information Division at 5630 Fishers
17 Lane, Room 1035, Rockville, Maryland, 20857, or
18 requests may be sent via fax to 301-827-9267.

19 To ensure transparency, we encourage all
20 standing committee members and temporary voting
21 members to disclose any public statements that they
22 have made concerning the product at issue.

1 With respect to FDA's invited industry
2 representative, we will like to disclose that
3 Dr. Jonathan Cheng is participating in this meeting
4 as a non-voting industry representative acting on
5 behalf of a regulated industry. Dr. Cheng's role
6 at this meeting is to represent industry in general
7 and not any particular company. Dr. Cheng is
8 employed by Bristol-Myers Squibb.

9 We would like to remind members and
10 temporary voting members that if the discussions
11 involve any other product or firms not already on
12 the agenda for which an FDA participant has a
13 personal or imputed financial interest, the
14 participants need to exclude themselves from such
15 involvement, and their exclusion will be noted for
16 the record. FDA encourages all participants to
17 advise the committee of any financial relationships
18 that they may have with the firm at issue. Thank
19 you.

20 I will now turn the meeting back over to our
21 chair.

22 Dr. Kunz?

1 DR. KUNZ: Thank you, Commander Bonner.

2 We will proceed with FDA introductory
3 remarks at this point from Dr. Harpreet Singh.

4 **FDA Opening Remarks - Harpreet Singh**

5 DR. SINGH: Thank you, everyone, and good
6 morning. I'm Harpreet Singh, a medical oncologist
7 and director of FDA's Division of Oncology 2. We
8 convened today's Oncologic Drugs Advisory Committee
9 to discuss an application for use of sintilimab for
10 locally-advanced or metastatic non-small cell lung
11 cancer.

12 Today's ODAC will not follow the traditional
13 paradigm of assessing the benefit-risk profile of a
14 single drug. Rather, the concept of
15 generalizability and applicability of
16 single-country foreign data to a U.S. population is
17 the central issue for which we referred this
18 application to the committee.

19 I will provide a high-level overview of
20 ORIENT-11, a study conducted exclusively in China,
21 followed by regulations and guidances with which to
22 consider foreign data in support of a U.S.

1 marketing application. We will then move to key
2 issues with ORIENT-11, ending with our voting
3 question for the committee.

4 I will note that while FDA recognizes the
5 societal implications of cost of drugs, pricing and
6 competition may not be considered as part of FDA
7 regulatory decision-making and should not be
8 included in our discussions today.

9 ORIENT-11 randomized patients in a 2-to-1
10 ratio to either chemotherapy plus sintilimab, an
11 anti-PD-1 monoclonal antibody, or chemotherapy
12 alone as initial treatment for metastatic non-small
13 cell lung cancer. The primary endpoint was
14 progression-free survival by an independent review
15 committee with crossover permitted at time of
16 progression.

17 ORIENT-11 met its primary endpoint
18 demonstrating PFS by blinded independent central
19 review with a hazard ratio of 0.48. Overall
20 survival and overall response rate were descriptive
21 endpoints not formally tested. Conducted
22 exclusively in China, the trial design enrollment

1 criteria and statistical assumptions of ORIENT-11
2 closely resembled landmark trials, which
3 established immune checkpoint inhibitors as part of
4 initial treatment for non-small cell lung cancer.

5 Rather than an isolated case, this
6 application reflects an increasing number of
7 oncology development programs based solely on, or
8 predominantly on, clinical data from China. This
9 strategy is in contrast to multiregional clinical
10 trials, which have been promoted by the global
11 regulatory community as the preferred development
12 strategy.

13 FDA regulations are established by Title 21
14 of the Code of Federal Regulations, which contains
15 specific criteria on accessibility of foreign data.
16 Guidances from the International Council of
17 Harmonisation also stand as FDA guidance and
18 represent our current thinking on a particular
19 topic.

20 A marketing application based solely on
21 foreign clinical data may be approved if foreign
22 data are applicable to the U.S. population and U.S.

1 medical practice; studies are performed by
2 investigators of recognized competence; and there
3 is FDA validation of trial data through on-site
4 inspection or other appropriate means. Failure to
5 meet any of these criteria will result in an
6 application not being approvable based on the
7 foreign data alone. Notably, the FDA does have
8 flexibility in applying this policy according to
9 the nature of the drug and the data being
10 considered.

11 International consensus guidelines on global
12 drug development have evolved from the late 1990s
13 with ICH E5 to more current thinking in ICH 17. E5
14 describes strategies to extrapolate foreign data
15 through bridging studies from one often
16 heterogeneous region to a typically homogeneous
17 population.

18 The goal was to fulfill an unmet need and
19 brought in global access to novel therapy. However,
20 bridging studies are inherently limited in their
21 ability to demonstrate applicability to a new
22 population. They were conducted sequentially after

1 completion of international multiregional trials
2 which actually delayed access to important drugs.

3 With this in mind, the ICH reconvened with
4 additional global partners, including China, and in
5 2017 issued guidance calling for concurrent global
6 registration strategies. This guidance reflected
7 an emerging consensus that trials requiring
8 international collaboration were preferred over
9 single-country trials.

10 In keeping with the shift from a local to
11 global mindset, the historical underrepresentation
12 of Asian countries in international multiregional
13 trials and subsequent reliance on bridging studies
14 has led many Asian countries to increase their
15 participation in multiregional trials over the past
16 decade.

17 An FDA analysis of the relative patient
18 contribution for registrational studies submitted
19 to oncology by geographic region shows that China,
20 depicted in blue, has had limited participation in
21 multiregional trials relative to other Asian
22 countries as depicted in orange.

1 The true value of international
2 multiregional clinical trials are emphasized in the
3 ICH E17 framework. These trials have typically
4 formed the basis for new drug registration. By
5 drawing from diverse geographic areas and ethnic
6 populations, multiregional trials allow for
7 evaluation of regional consistency of treatment
8 effect, avoid duplicative efforts and the need for
9 bridging studies, and ultimately promote
10 international harmonization of best medical
11 practices.

12 ORIENT-11 was initiated in China in 2018
13 after this international guidance was issued
14 despite China's regulatory authority joining the
15 ICH in 2017. Per the U.S. Code of Federal
16 Regulations and applicability standards outlined in
17 ICH E5, ORIENT-11 is not applicable to a U.S.
18 population.

19 The KEYNOTE-189 trial forms the basis for a
20 U.S. standard of care at the time ORIENT-11 was
21 initiated. The 2017 accelerated approval followed
22 by the 2018 regular approval of pembrolizumab with

1 chemotherapy, based on a formally tested,
2 statistically significant improvement in overall
3 survival, shifted the treatment paradigm, moving
4 immune checkpoint inhibitors to a frontline setting
5 and rendering chemotherapy alone an inappropriate
6 initial regimen.

7 Four-year follow-up from this landmark trial
8 shows a median overall survival of 22 versus
9 10.6 months and approximately one year of overall
10 survival improvement for patients treated with
11 pembrolizumab.

12 ORIENT-11 could not have been conducted in
13 the United States, as it was no longer applicable
14 to U.S. Medical Practice. Investigators would not
15 have enrolled patients to a chemotherapy control
16 arm given available FDA-approved options conferring
17 substantial survival benefit.

18 Had FDA been consulted regarding ORIENT-11,
19 a formal head-to-head comparison of sintilimab to
20 an FDA-approved checkpoint inhibitor would have
21 been recommended as an initial registration
22 strategy. This type of trial could help address

1 the need for clarity in a crowded field by
2 comparing regimens directly. Instead, ORIENT-11
3 only contributes to the lack of coordination and
4 redundancy in the checkpoint inhibitor space.

5 ORIENT-11 was powered for progression-free
6 survival without statistical testing for overall
7 survival. Overall survival is generally the
8 preferred endpoint in oncology clinical trials when
9 it can be reasonably assessed. To date, all FDA
10 approvals of first-line immunotherapy-based
11 regimens for metastatic non-small cell lung cancer
12 have been based on a statistically significant
13 improvement in overall survival.

14 Given this precedent, single-country foreign
15 data powered for a less meaningful
16 endpoint -- progression-free survival -- provides
17 no therapeutic advantage to patients; rather only
18 offers uncertainty given the lack of formal testing
19 for overall survival.

20 ORIENT-11 shows a lack of diversity by
21 design and does not reflect the ethnic and racial
22 makeup of a U.S. population notably with regard to

1 groups traditionally underrepresented in clinical
2 trials. There are both known and unknown factors
3 which may impact study interpretation and
4 generalizability. Acceptance of single-country
5 data would be incongruent with calls to address the
6 underrepresentation of racial and ethnic minorities
7 in drug development.

8 The Code of Federal Regulations requires
9 that data be validated through on-site inspection
10 or other appropriate means, however, only a handful
11 of sites are clinically inspected, which does not
12 account for heterogeneity in trial conduct and data
13 quality.

14 The FDA's Office of Scientific Investigation
15 inspected two of the 48 clinical sites for
16 ORIENT-11. They found that the investigators
17 underreported both adverse events and concomitant
18 medications. Corrective actions were taken,
19 including training on good documentation practices.
20 For both investigators, this was their first FDA
21 inspection. These findings underscore the need for
22 international, multiregional clinical trials with

1 investigators who have gained experience in
2 regulatory submissions to the FDA, which may
3 mitigate concerns regarding data integrity.

4 The applicant claims to fulfill the Code of
5 Federal Regulations on foreign data based on
6 similar clinical practice standards to the U.S.
7 However, standard of care was not similar at the
8 time of trial initiation, resulting in an
9 inapplicable comparator arm. While the applicant
10 claims similar pharmacokinetics and
11 pharmacodynamics of sintilimab between Chinese and
12 U.S. patients, there's insufficient data provided
13 to make this conclusion given the vast diversity of
14 a U.S. population.

15 Finally, the applicant cites an exploratory
16 FDA analysis to show similar efficacy of checkpoint
17 inhibitors between Chinese and U.S. patients,
18 however, multiple or retrospective analyses,
19 including FDA analyses, have shown mixed results,
20 and this would be best evaluated in a prospective
21 international, multiregional clinical trial.

22 ORIENT-11 fails to meet criteria outlined in

1 the Code of Federal Regulations. As discussed, the
2 trial endpoint and comparator arm are not
3 applicable to U.S. regulatory standards. The
4 population is not reflective of the diversity
5 within the United States, and there are concerns
6 regarding compliance with good clinical practice,
7 or GCP, as well as data integrity. Lung cancer is
8 not a rare disease or endemic to China. Thus,
9 international, multiregional clinical trials can
10 easily be performed.

11 To address FDA concerns regarding
12 applicability to a U.S. population, the applicant
13 proposed a randomized non-comparative study,
14 including 150 patients from the U.S., EU, and
15 China, comparing 2 doses of sintilimab. The FDA
16 does not consider this dose-finding study adequate
17 to address issues of generalizability. A possible
18 strategy would be a formal comparison of sintilimab
19 to an approved immune checkpoint inhibitor in an
20 international, multiregional trial with an overall
21 survival endpoint which could be conducted prior to
22 FDA registration.

1 The current landscape of me-too drugs was
2 not envisioned in ICH E5 when considering bridging
3 studies as a means of extrapolating foreign data.
4 In an already crowded space of approved checkpoint
5 inhibitors, sintilimab offers uncertain benefit.
6 What is best for drug development is to bring China
7 into the fold as a key player in international,
8 multiregional trials.

9 Neither company involved in the development
10 of sintilimab engaged the FDA through mechanisms.
11 It is critical to maintain the survival advantage
12 for U.S. patients demonstrated with multiple
13 approved therapies. The applicant utilizes
14 post hoc, cross-trial comparison to address the
15 uncertain benefit sintilimab provides.

16 If ORIENT-11 had been designed as a well-
17 conducted, multiregional trial, there would have
18 been early communication with international
19 regulatory authorities, and FDA would have provided
20 appropriate advice on selection of a comparator arm
21 and study endpoint. An international,
22 multiregional trial would have permitted direct

1 evaluation of safety and efficacy across geographic
2 regions and would have addressed concerns regarding
3 applicability to a U.S. population.

4 Multiregional trials can be strengthened by
5 adding participants such as China, Africa, and
6 Latin American countries. This greater diversity
7 may help the U.S. in answering calls to address
8 underrepresentation of racial and ethnic minorities
9 in drug development. Increased participation in
10 these trials provides a framework to establish
11 experience in submitting data to multiple
12 regulatory agencies around the world. This
13 patient-centered approach will expedite global
14 access to therapeutic advances in oncology and
15 should be widely adopted.

16 The committee will be asked to discuss the
17 generalizability of ORIENT-11 to a U.S. population
18 and U.S. medical practice, as well as what
19 potential trials, if any, may address issues of
20 applicability.

21 After the discussion, we will ask the
22 committee to vote on the following question.

1 Should additional clinical trials demonstrating
2 applicability to U.S. patients and U.S. medical
3 care be required prior to a final regulatory
4 decision?

5 Thank you. This concludes my opening
6 remarks.

7 DR. KUNZ: Thank you, Dr. Singh.

8 We will move on to the next section.

9 Both the FDA and the public believe in a
10 transparent process for information gathering and
11 decision making. To ensure such transparency at
12 the advisory committee meeting, the FDA believes
13 that it is important to understand the context of
14 an individual's presentation.

15 For this reason, FDA encourages all
16 participants, including the applicant's non-
17 employee presenters, to advise the committee of any
18 financial relationships that they may have with the
19 sponsor such as consulting fees, travel expenses,
20 honoraria, and interest in the sponsor, including
21 equity interests and those based upon the outcome
22 of the meeting.

1 Likewise, FDA encourages you at the
2 beginning of your presentation to advise the
3 committee if you do not have any such financial
4 relationships. If you choose not to address this
5 issue of financial relationships at the beginning
6 of your presentation, it will not preclude you from
7 speaking.

8 We will now proceed with Innovent and Eli
9 Lilly's presentations.

10 (No response.)

11 DR. KUNZ: We cannot hear anyone speaking.

12 **Applicant Presentation - Lana Shiu**

13 DR. SHIU: Good morning, FDA and members of
14 the Oncologic Drug Advisory Committee. I'm
15 Dr. Lana Shiu, global head of Regulatory Affairs at
16 Innovent Biologics. We are joined today by Eli
17 Lilly and Company, our global development partner
18 for sintilimab. We want to thank the FDA for
19 giving us the opportunity to present the data in
20 support of sintilimab's BLA.

21 Innovent is a global pharmaceutical company
22 headquartered in Suzhou, China -- [inaudible -

1 audio gap] -- 25 products in development and 6
2 approved in China. Our mission is to develop high-
3 quality pharmaceuticals that are more affordable.
4 In 2015, we entered into a global collaboration
5 with Eli Lilly to co-develop multiple products.
6 Now, based on the sintilimab's compelling safety
7 and efficacy in non-small cell lung cancer, we are
8 seeking to bring it to the patients in the United
9 States.

10 Sintilimab is a well-characterized, novel
11 recombinant, human IgG monoclonal antibody that
12 binds PD-1 with high affinity. It is well
13 tolerated in multiple GLP toxicity studies.
14 Sintilimab has been evaluated in more than 4,000
15 clinical trial patients across multiple tumor
16 types, including first-line, non-small cell lung
17 cancer studies that enrolled more than
18 700 patients.

19 As you might expect, a PD-1 monoclonal
20 antibody, sintilimab has demonstrated significant
21 clinical benefit in multiple tumor types, including
22 lung, GI, and hematologic. We have approval in

1 four indications in China and postmarketing safety
2 data in over 170,000 patients.

3 Based on ORIENT-11, the pivotal registration
4 study, this is the proposed indication and dosing
5 for patients with stage IIIB, IIIC, or stage IV
6 non-squamous non-small cell lung cancer with no
7 eGFR or ALK mutations.

8 This slide shows the timing of approvals in
9 China. ORIENT-11 was originally designed and
10 conducted to support regulatory approval in China,
11 so regulatory interactions leading to its
12 initiation were held with the China health
13 authority. Now, I would like to focus your
14 attention on the regulatory interactions with FDA.

15 Given the compelling interim results from
16 ORIENT-11, existing regulatory pathways that
17 already defined a use of foreign data, and the
18 agency's comment at AACR in 2019 indicating an
19 openness to accept data from China, we decided to
20 pursue FDA submission. We met with FDA on three
21 occasions in 2020, and then submitted our BLA in
22 March of 2021.

1 At these clinical meetings, FDA indicated
2 that they might request postmarketing data in a
3 population representative of U.S. patients.
4 Accordingly, we proposed such a study during our
5 Type C meeting with FDA in October of 2021. We
6 remain committed to generating postmarketing data
7 in a diverse non-small cell lung cancer population
8 representative of U.S. patients.

9 Outlined in FDA's briefing book, we are here
10 to discuss today the applicability of ORIENT-11
11 data to support U.S. approval. The agency has
12 raised a number of key review issues.
13 Consequently, we will briefly summarize the
14 efficacy and safety of sintilimab, and then focus
15 on the data supporting the applicability and
16 address FDA's review issues.

17 Today you're being asked to vote on whether
18 additional clinical trials to demonstrate
19 applicability should be required prior to final
20 regulatory decision. The data we will share with
21 you today will demonstrate the efficacy and safety
22 of sintilimab do support approval.

1 In addition to the package data we used for
2 regulatory approval in China, our U.S. application
3 is supported by PK data from the United States.
4 FDA regulations, as well as ICH guidelines, have
5 established the framework to allow for the use of
6 foreign data to support a U.S. filing. We will
7 present data to show how ORIENT-11 meets this
8 framework and provides substantial evidence of
9 safety and efficacy of sintilimab, and we will
10 demonstrate that the data are applicable to the
11 U.S. population and clinical practice.

12 We understand that the agency's view on
13 drugs developed in China has recently changed. It
14 is also important to remember that Innovent and
15 Lilly have operated in good faith throughout this
16 process, adhering to the FDA's continued advice.
17 We believe sintilimab can be a valuable treatment
18 option, and we want to work with you to find a path
19 forward to make it available. The totality of the
20 data we will present today will demonstrate that
21 sintilimab has a positive benefit profile in the
22 proposed indication. We will show that the

1 diagnosis and treatment of non-small cell lung
2 cancer are similar in China and the U.S.

3 ORIENT-11 has met the primary endpoint of
4 PFS at the interim analysis, and secondary analysis
5 of overall survival showed a robust and meaningful
6 treatment effect that is comparable to other agents
7 in this class. We will also show that sintilimab
8 plus chemo has an acceptable safety profile
9 consistent with other approved PD-1 inhibitors.

10 Finally, we will provide evidence that the
11 data from ORIENT-11 is applicable to the U.S.
12 population based on these three principles here; a
13 comprehensive review of clinical practice
14 standards, intrinsic and extrinsic factors, as well
15 as efficacy of safety across the PD-1 class clearly
16 demonstrate that the results of ORIENT-11 are
17 applicable to the U.S. population. There is no
18 evidence to suggest that efficacy and safety of
19 sintilimab in the U.S. patients would differ from
20 the results observed in ORIENT-11.

21 Here's the agenda for the remainder of our
22 presentation. The Treatment Landscape of Non-Small

1 Cell Lung Cancer will be presented by Dr. Mark
2 Socinski from Advent Health Cancer Institute.
3 Dr. Eduard Gasal for Innovent will summarize the
4 efficacy data and conduct of ORIENT-11. Our safety
5 data will be summarized by Dr. Maria Fernandes from
6 Eli Lilly. And finally, Dr. David Ferry from Eli
7 Lilly will present the evidence that the data from
8 ORIENT-11 are applicable to the U.S. population and
9 discuss in detail the key review issues. These
10 additional experts will be available to answer your
11 questions, and Dr. Ben Anderson will moderate the
12 question and answer on behalf of the sponsor team.

13 Thank you for your attention. Now, I will
14 like to hand the presentation over to Dr. Socinski.

15 **Applicant Presentation - Mark Socinski**

16 DR. SOCINSKI: Thank you, Dr. Shiu.

17 My name is Dr. Mark Socinski, and I'm the
18 executive medical director of the Advent Health
19 Cancer Institute in Orlando, Florida. I'm a paid
20 consultant for Innovent and Eli Lilly, but have no
21 financial interest in the outcome of this meeting.
22 I have been the chair of the steering committee for

1 the four IMpower clinical trials, two of which have
2 led to FDA approvals. I also chair the steering
3 committee for the ADVANTAGE 302 [ph] clinical
4 trial, and formerly a member of the steering
5 committee for CheckMate 026. I've also served as a
6 member of several data monitoring
7 committees [inaudible - audio gap].

8 I will now present an overview of the
9 current treatment landscape in non-small cell lung
10 cancer by the comparison between the United States
11 [inaudible].

12 In the United States, lung cancer is the
13 leading cause of cancer deaths, and non-small-cell
14 histology dominates the landscape. Current
15 clinical practice includes comprehensive genomic
16 testing for a growing number of oncogenic driver
17 mutations or alterations for which there are
18 FDA-approved, first-line targeted therapies.
19 Patients without oncogenic alterations are
20 typically treated with chemoimmunotherapy or
21 single-agent immunotherapy depending on PD-L1
22 status.

1 You can see here the characteristics of
2 patients with stage IV non-small cell lung cancer
3 based on patients enrolled in multiregional
4 clinical trials. Roughly 60 percent have a PD-L1
5 tumor proportion score of 1 percent or higher;
6 60 percent have an ECOG performance status of 1.
7 As shown in the bar graph, approximately 30 percent
8 of U.S. patients have an oncogenic alteration.

9 Now, turning to the population of stage IV
10 non-small cell lung cancer patients in China based
11 on the population enrolled in ORIENT-11, PD-L1
12 status and performance status are similar to what
13 is seen in multiregional clinical trials that
14 supported approval in the U.S. One big difference
15 is the higher proportion of patients with oncogenic
16 alterations, principally eGFR mutations in the
17 Chinese population. Approximately 65 percent of
18 Chinese patients have driver mutations or
19 alterations.

20 This is the typical treatment algorithm for
21 non-squamous non-small cell lung cancer in the
22 United States. It is critical to do comprehensive

1 genomic testing at the time of diagnosis, as
2 patients who are positive for oncogene driver
3 alterations are eligible for targeted therapies.
4 Today, we will focus on the approximately
5 70 percent of patients without oncogenic driver
6 alterations who are treated with immunotherapy.

7 We have a number of PD-1 or PD-L1 agents
8 currently approved in the United States as shown on
9 the right. It is typical that patients would get
10 one of these agents either as monotherapy or more
11 typically in combination with platinum-based
12 chemotherapy.

13 The options for second-line therapy in this
14 population depends on prior therapy. For patients
15 not previously treated with immunotherapy, a PD-1
16 or PD-L1 inhibitor can be used, where with those
17 previously treated with immunotherapy would get
18 cytotoxic either as single agents or the
19 combination of ramucirumab and docetaxel.

20 The treatment algorithm for non-small cell
21 lung cancer patients in China is similar to what
22 occurs in the U.S. As I mentioned, the one

1 striking difference, as shown on the left, is the
2 higher proportion of patients with oncogenic
3 alterations. On the right is the treatment
4 algorithm for patients without oncogenic
5 alterations.

6 Similar to the U.S., there are a number of
7 approved PD-1 or PD-L1 agents in China that
8 currently use first-line setting either as
9 monotherapy in high PD-1 expressors or more
10 commonly in combination with platinum-based
11 chemotherapy.

12 When we put the diagnostic and treatment
13 standards of the two countries side by side, we see
14 they're quite similar. Treatment guidelines in the
15 U.S. are dominated by the NCCN guidelines. Chinese
16 guidelines are largely derived from the NCCN
17 [inaudible] -- same staging and pathologic
18 classification system. Molecular testing and PD-L1
19 biomarker testing are routinely done, and
20 first-line immunotherapy options are mostly
21 overlapping.

22 Finally, the chemotherapy backbone used in

1 the United States and China tends to be very
2 similar. Cisplatin or, more commonly, carboplatin
3 plus pemetrexed is the most common doublet used in
4 both countries.

5 PD-1 and PD-L1 inhibitors have transformed
6 the treatment landscape in non-small cell lung
7 cancer, and they are now the first-line standard of
8 care for patients with stage IV disease without
9 oncogenic alterations. They were first approved in
10 2015 as single agents in the second-line setting.
11 Beginning in 2016, they moved to first-line therapy
12 with the approval of single-agent pembrolizumab for
13 PD-L1 high tumors based on the results of
14 KEYNOTE-024.

15 Then starting in 2018, with the full
16 approval of pembrolizumab based on KEYNOTE-189,
17 PD-1 or PD-L1 inhibitors were combined with
18 standard chemotherapy regardless of PD-L1 status.
19 Since that time, multiple other PD-1 or PD-L1
20 inhibitors, in combination with various chemo
21 regimens, have been approved as first-line therapy
22 for both squamous and non-squamous non-small cell

1 lung cancer [inaudible]. However, the only PD-1
2 inhibitor approved in combination with pemetrexed
3 plus platinum chemotherapy is pembrolizumab.

4 Throughout this time frame, standard of care
5 for non-small cell lung cancer has continuously
6 evolved in both the U.S. [inaudible]. As a
7 consequence, what may be considered an appropriate
8 control arm also evolved.

9 For example, pembrolizumab monotherapy
10 became first-line standard of care in the U.S. for
11 patients with PD-L1 greater than or equal to
12 50 percent [inaudible]. This occurred during the
13 accrual of IMpower 110, which continued to use
14 chemotherapy alone as the control arm, and it led
15 to the approval of atezolizumab in 2020.

16 Meanwhile, the EMPOWER-Lung 1 trial was
17 initiated solely outside the U.S. after the
18 approval of pembrolizumab and led to the approval
19 of cemiplimab. All three trials, the control arm
20 was chemotherapy doublet even though KEYNOTE-024
21 established a new standard of care in the U.S. in
22 2016. Together, these three FDA-approved options

1 provide choices for patients, prescribers, and
2 payers.

3 This forest plot compares the reported
4 progression-free and overall survival improvements
5 [inaudible] with various PD-1 and PD-L1 inhibitors
6 when combined with chemotherapy in the first-line
7 setting. It shows that this class of agents all
8 have broadly similar efficacy in non-small cell
9 lung cancer regardless of the chemotherapy backbone
10 used. And as you will see later in the
11 presentation, they also have broadly similar
12 [inaudible].

13 Results from KEYNOTE-189 established it as a
14 standard of care for non-small cell lung cancer.
15 [Inaudible] states progression-free survival in the
16 pembrolizumab plus chemo arm was 9 months compared
17 to 4.9 months [inaudible] plus chemo arm. The
18 final overall survival analysis shown here
19 demonstrated a 22-month median overall survival in
20 the pembrolizumab arm versus 10.6 months in the
21 control arm with a hazard ratio of 0.56.

22 In conclusion, the disease characteristics

1 of both Chinese and U.S. patients are similar with
2 the exception of patient percentage with oncogenic
3 alterations. Diagnostic and treatment patterns are
4 similar between [inaudible]. In both the U.S. and
5 China, immunotherapy has dramatically improved
6 outcomes for lung cancer patients, and pemetrexed
7 plus platinum is the most widely used chemotherapy
8 backbone. But despite the large number of PD-1 and
9 PD-L1 agents approved in non-small cell lung
10 cancer, only pembrolizumab is approved in the U.S.
11 in combination with pemetrexed plus platinum
12 chemotherapy.

13 Thank you for your attention. I will now
14 turn it over to Dr. Ed Gasal.

15 **Applicant Presentation - Eduard Gasal**

16 DR. GASAL: Thank you, Dr. Socinski.

17 My name is Eduard Gasal, and I'm the
18 president of the U.S. branch of Innovent Biologics.
19 Today I will discuss the conduct of ORIENT-11 and
20 its efficacy outcomes.

21 ORIENT-11 was a randomized, double-blind,
22 placebo-controlled phase 3 study. It enrolled

1 patients with previously untreated non-squamous
2 non-small cell lung cancer without genetic
3 alteration. The study started in August 2018, and
4 over a period of 11 months, 397 patients were
5 randomized 2 to 1 who received pemetrexed and
6 platinum-based chemotherapy in combination with
7 either sintilimab or placebo.

8 Treatment was continued until disease
9 progression, unacceptable toxicity, or a maximum
10 duration of two years. The primary endpoint was
11 PFS as assessed by a blinded, independent radiology
12 review. Secondary endpoints included overall
13 survival, objective response rate, and safety. The
14 randomization was stratified by sex, type of
15 platinum-based therapy, and PD-L1 expression level
16 using the tumor proportion score.

17 Patients in the placebo arm were allowed to
18 cross over to sintilimab monotherapy by design and
19 confirmed disease progression was observed. This
20 provided patients with access to second-line PD-1
21 therapy, as it was not yet widely available in
22 China.

1 Pemetrexed in combination with
2 platinum-based chemotherapy was selected as the
3 control arm because it was considered
4 standard-of-care first-line therapy for
5 non-squamous non-small cell lung cancer in China
6 where PD-1 checkpoint inhibitors were not available
7 for this indication. In fact, in China, PD-1
8 checkpoint inhibitors were not approved or
9 available in first line until March 2019, four
10 months before the last patient was randomized in
11 ORIENT-11. At this point, nearly 80 percent of the
12 patients were enrolled. While pembrolizumab was
13 approved, it was not listed on the National
14 Reimbursement Drug List.

15 Considering the clinical relevance of PFS
16 and the expected high level of crossover by design,
17 PFS was selected as the primary endpoint, as PFS is
18 not confounded by post-progression therapy. The
19 study design and the endpoint were also discussed
20 with the China health authority in early 2018.

21 Assuming a PFS hazard ratio of 0.65,
22 263 events yielded 90 percent power to detect the

1 superiority of sintilimab at a two-sided alpha
2 level of 0.05. As defined in the protocol, an
3 interim analysis was planned after 184 events.
4 Overall survival was a secondary endpoint.
5 Although no alpha was assigned to overall survival,
6 the method of analyzing was prespecified in the
7 statistical analysis plan.

8 ORIENT-11 was conducted in China at
9 48 academic centers with oncology expertise and
10 high patient volume. The study sites were located
11 across a wide range of large, medium, and small
12 cities, and all sites have previous experience with
13 multiregional clinical trials.

14 The FDA conducted 17 inspections at 10 of
15 the 48 study sites with two of these inspections
16 being part of this BLA review. Four inspections
17 resulted in observations that were adequately
18 addressed through appropriate corrective actions by
19 the sites, 12 inspections resulted in no findings,
20 while one inspection result is still pending.

21 Additionally, 23 of the 48 sites
22 participated in at least one clinical trial that

1 ultimately led to the drug being approved by FDA.
2 All investigators were board-certified oncologists
3 trained on ICH GCP, and nearly all have previously
4 participated in a multiregional clinical trial. In
5 fact, nine investigators participated in at least
6 one clinical trial that ultimately led to the drug
7 being approved by FDA.

8 To minimize bias, PFS was assessed by
9 blinded independent radiology review using a
10 globally validated vendor which was Parexel. The
11 committee was comprised of experienced radiologists
12 from major cancer centers. Further PD-L1 status
13 and all PK and drug antibody samples were assessed
14 centrally by Covance.

15 An independent data monitoring committee was
16 established at the start of the study, and they
17 reviewed the interim analysis results. At the time
18 of the interim analysis, the predefined efficacy
19 boundary was met, and the IDMC recommended to the
20 sponsor to continue the study as planned.

21 The data cutoff for the interim analysis was
22 November 2019, which corresponds to a median study

1 follow-up of nine months. The most common reason
2 for treatment discontinuation in both arms was
3 progressive disease. Adverse events led to
4 treatment discontinuation in only 3 percent in the
5 sintilimab arm and 6 percent in the placebo arm.

6 Demographics and baseline disease
7 characteristics were generally well balanced
8 between the treatment arms. The median age was 61
9 and the majority of patients had an ECOG
10 performance status of 1. Ninety percent of the
11 patients had stage IV disease. The majority were
12 PD-L1 positive defined by a tumor proportion score
13 of greater than or equal to 1 percent.

14 ORIENT-11 met the primary endpoint of PFS by
15 a blinded independent radiology review at the time
16 of the interim analysis. At this timepoint,
17 198 PFS events had occurred and ORIENT-11
18 demonstrated clinically meaningful improvement of
19 PFS. The hazard ratio of 0.48 is a highly
20 significant p-value. The hazard ratio translated
21 into an improvement of median PFS from 5 to
22 8.9 months.

1 The treatment effect for PFS in stage IIIB/C
2 was consistent with the effect observed in the ITT
3 population. On the left, we see the Kaplan-Meier
4 curve for stage IIIB and C. A total of 36 patients
5 with stage IIIB/C at baseline were enrolled.
6 Consistent with the ITT population, an early and
7 clear separation of the curve was observed. The
8 hazard ratio for PFS was 0.17.

9 Finally, I would like to present the overall
10 survival data. Overall survival favored sintilimab
11 at the interim and subsequent analysis. At the
12 time of the interim analysis, 90 death events had
13 occurred and 27 percent of patients in the placebo
14 arm had crossed over to sintilimab monotherapy.
15 The Kaplan-Meier curve shows an early separation
16 with a hazard ratio of 0.61.

17 The data cutoff for the final overall
18 survival analysis was September 2021. This
19 provided an additional follow-up of 22 months to a
20 total median study follow-up of 31 months. The
21 final OS analysis confirmed the OS benefit with a
22 hazard ratio of 0.65 despite the increase in

1 crossover rate. At this point, the per protocol
2 crossover rate with sintilimab was 47 percent.
3 These data demonstrate the robust and clinically
4 meaningful treatment effect.

5 A total of four survival analyses were
6 conducted as shown here. The OS hazard ratio was
7 consistent, ranging from 0.6 to 0.65 despite the
8 increase in crossover rate over time. To better
9 interpret the overall survival results, we
10 retrospectively calculated O'Brien-Fleming and
11 Bonferroni boundaries to adopt for multiplicity.

12 At the time of the final analysis, the
13 observed p-value based on the log-rank test was
14 0.00135, which is smaller than both the
15 O'Brien-Fleming and Bonferroni boundary. This
16 indicates that had the overall survival been tested
17 sequentially after meeting the primary endpoint, it
18 would have met conventional statistical
19 significance.

20 To summarize, ORIENT-11 was a high-quality
21 study conducted by competent investigators and
22 experienced sites. Sintilimab in combination with

1 chemotherapy demonstrated a clinically meaningful
2 treatment effect across all endpoints tested. The
3 study met the primary endpoint of PFS with a hazard
4 ratio of 0.48. A strong overall survival result
5 favoring sintilimab was seen consistently despite
6 the high crossover.

7 I will now turn it over to Dr. Fernandes,
8 who will summarize the safety profile for
9 sintilimab.

10 **Applicant Presentation - Maria Fernandes**

11 DR. FERNANDES: Thank you, Dr. Gasal.

12 My name is Maria Fernandes, and I am
13 sintilimab's safety lead at Eli Lilly. Given the
14 brevity of FDA's comments in their briefing
15 document on safety, I will only present a
16 high-level summary of the safety profile of
17 sintilimab. I will focus primarily on the safety
18 assessment in ORIENT-11, but I will also provide
19 data on immune-related adverse events in the
20 all-sintilimab treated cohort for comparison.

21 ORIENT-11 provided safety data on
22 266 patients treated with sintilimab combined with

1 chemotherapy. This is an overview of the safety
2 profile during the double-blind period. It does
3 not include data from crossover. As you can see,
4 the overall incidence of treatment-emergent adverse
5 events, serious adverse events, and adverse events
6 leading to discontinuation of sintilimab or placebo
7 were well balanced across treatment groups.

8 The incidence of treatment-emergent adverse
9 events leading to discontinuation of sintilimab or
10 placebo was low, 5 percent in the sintilimab arm
11 compared with 7 percent in the placebo arm. The
12 majority of deaths in both arms was due to disease
13 progression, and the incidence of death due to
14 adverse events was low in both arms.

15 The most frequently reported TEAEs in
16 ORIENT-11 by preferred or consolidated terms were
17 within expectations for a PD-L1 inhibitor plus
18 chemotherapy. Overall, the incidence of these
19 adverse events was similar in the sintilimab and
20 placebo arm, indicating that the addition of
21 sintilimab to chemotherapy did not seem to increase
22 the incidence of the most common TEAEs associated

1 with chemotherapy.

2 Now I would like to turn your attention to
3 immune-related adverse events observed in ORIENT-11
4 and in the overall sintilimab-treated population.
5 The overall pattern of immune-related AEs
6 associated with sintilimab was consistent with that
7 associated with other anti-PD-1/L1 antibodies. The
8 most frequent IR AEs in ORIENT-11 were
9 endocrinopathies, mainly thyroid hormone
10 disturbances, as well as amylase increased and
11 pneumonitis. This is consistent with the
12 all-sintilimab treated population, suggesting that
13 the incidence and pattern of IR AEs is not driven
14 by tumor type.

15 In summary, the safety profile of
16 sintilimab, in combination with pemetrexed and
17 platinum chemotherapy in patients with non-squamous
18 non-small cell lung cancer, is acceptable and
19 consistent with the known safety profile of other
20 PD-1/L1 inhibitors in combination with chemotherapy
21 for the same indication.

22 Based on more than 1,000 patients treated

1 with sintilimab in clinical trials and more than
2 170,000 patients treated in the postmarketing
3 setting in China, the safety profile of sintilimab
4 is consistent with other PD-1/L1 inhibitors with no
5 new safety signal identified. We will continue to
6 manage the risks associated with sintilimab with
7 standard pharmacovigilance and proper labeling.

8 Thank you for your attention. I will now
9 turn it over to Dr. David Ferry.

10 **Applicant Presentation - David Ferry**

11 DR. FERRY: Thank you, Dr. Fernandes.

12 My name is David Ferry, and I'm the vice
13 president of Oncology Medical Strategy at Eli
14 Lilly. In the preceding presentations, we showed
15 you the data from our pivotal trial conducted in
16 China, demonstrating that sintilimab has a
17 favorable risk-benefit ratio when added to the
18 first-line pemetrexed plus cis [ph] or carboplatin
19 in non-squamous non-small cell lung cancer.

20 I will now address the evidence that the
21 data from ORIENT-11 are generalizable, and it would
22 be reasonably expected to be replicated in the U.S.

1 population in this indication. I will then address
2 the key FDA review issues.

3 Earlier in our presentation, we shared our
4 conclusion sintilimab [inaudible] met the U.S. Code
5 of Federal Regulations for the use of foreign data
6 as the sole basis for marketing approval. These
7 regulations state that studies must be performed by
8 clinical investigators of recognized competence,
9 and FDA must be able to validate the data through
10 on-site inspection or other appropriate means.

11 We've covered those elements in the clinical
12 presentation by Dr. Gasal. In addition, foreign
13 data must be applicable to the U.S. population and
14 to U.S. medical practice.

15 These regulations in conjunction with ICH E5
16 provide a framework for evaluating the
17 applicability of sintilimab data based on three
18 principles. First, there must be similar clinical
19 practice standards in China and the U.S., second,
20 we must show that the drug is insensitive to
21 ethnicity and there are no clinically meaningful
22 differences in the PK or PD of the drug between

1 Chinese and U.S. patients; the methodology for
2 evaluating the impact of ethnic factors on a
3 medicine's effect described in ICH E5; third, must
4 be reasonable to anticipate, based on evidence,
5 that the drug is insensitive to ethnicity and that
6 the efficacy and safety of sintilimab in the U.S.
7 population will be similar to that demonstrated in
8 the Chinese population studied in ORIENT-11.

9 Furthermore, there is sufficient clinical
10 experience with the drug class to provide
11 reassurance that the class behaves similarly in
12 patients in the two regions [inaudible] with
13 respect to efficacy and safety.

14 First, let's talk about clinical practice
15 standards. As you heard from Dr. Socinski, both
16 U.S. and Chinese use the AJCC-8 staging system,
17 which included 44 percent East Asian patients;
18 disease classification is by WHO 2015; genetic
19 testing is comparable; and the PD-L1 biomarker
20 testing uses the same companion diagnostics.

21 Although clinical practice standards are
22 constantly evolving, at the time ORIENT-11 was

1 initiated in 2018, the chemotherapy backbone of
2 platinum plus pemetrexed, followed by maintenance
3 pemetrexed, had been standard of care in China for
4 many years. Second-line PD-L1 monoclonals were
5 available in China, but first-line immunotherapy
6 had not yet been adopted. Today, PD-L1 monoclonals
7 combined with chemotherapy is an approved
8 first-line option and clinical practice guidelines
9 in this area have converged in U.S. and China.

10 Next, we looked at the pharmacology of
11 sintilimab. The pharmacokinetics is linear across
12 the dose range 1-to-10 milligrams per kilogram with
13 a half-life of 14 days. Following a single
14 infusion of sintilimab in patients with advanced
15 solid tumors, PD-1 was saturated on circulating
16 T cells across the dose range.

17 We observed greater than 95 percent PD-L1
18 occupants over 28 days and at the lowest dose,
19 meaning that there is a wide therapeutic dose range
20 for efficacy. This implies the 200-mg 3 weekly
21 dose, which is equivalent to about 3 mgs per
22 kilogram, has at least a 3-fold margin to deliver a

1 full pharmacologic affect in both Chinese and U.S.
2 populations.

3 The pharmacokinetics of sintilimab are being
4 characterized based on data from 514 patients. We
5 examined a wide range of intrinsic factors,
6 including body weight and race shown here, which
7 are the most relevant to demonstrating
8 applicability to U.S. population, and none had a
9 clinically important effect on the PK of
10 sintilimab.

11 ICH E5 highlights ethnic factors that are
12 important when considering the applicability of
13 foreign clinical data. This guidance states that
14 it may be easier to conclude that the
15 pharmacodynamic and clinical behavior of a medicine
16 will be similar in the foreign and new regions if
17 other members of the pharmacologic class have been
18 studied and approved in the new region with dosing
19 regimens similar to those used in the original
20 region.

21 As seen in this table, for those PD-1/L1
22 medicines approved for the same indications in both

1 the U.S. and China, the dose and schedule is the
2 same regardless of weight, race, or ethnicity. In
3 fact, for all PD-1/L1 monoclonals approved for
4 non-small cell lung cancer in the U.S. [inaudible],
5 there are no requirements for dose adjustment
6 according to weight, race, or ethnicity.

7 Now turning to efficacy and safety, where
8 guidelines indicate that class effect is often a
9 component of the assessment [inaudible] of
10 efficacy, we looked for evidence that there are
11 differences in clinical outcomes associated with
12 PD-1/L1 inhibitors based on race or ethnicity.

13 This FDA meta-analysis, based on data from
14 randomized clinical trials, compares clinical
15 outcomes in non-Asian and Asian patients with
16 metastatic non-small cell lung cancer who were
17 treated with immune checkpoint inhibitors in the
18 first-line setting. As you can see, the Asian
19 group, although smaller, demonstrated relatively
20 consistent OS and PFS outcomes compared to
21 non-Asian. The authors concluded that although
22 Asians appear to have better prognosis than

1 non-Asian, a unique better or worse benefit was
2 observed from checkpoint inhibitors compared with
3 chemotherapy.

4 When we offered our ORIENT-11 data onto
5 these published data, the hazard ratios of both OS
6 and PFS are consistent with the FDA meta-analysis.
7 I might also point out that ORIENT-11 has greatly
8 extended the available data on the efficacy and
9 safety of PD-1/L1 inhibitors in each [inaudible]
10 patient.

11 Lastly, we have done a comparison of the
12 safety profile of sintilimab across Chinese and
13 West populations. Recently, a large meta-analysis
14 was published by academics comparing the safety
15 profile of first-line immunotherapy combinations
16 with non-small cell lung cancer. This analysis
17 includes data from 8,278 patients enrolled in
18 16 randomized-controlled trials.

19 The data shown here is the odds ratio for
20 grade 3 or higher adverse events. The safety
21 profile of sintilimab in combination with
22 pemetrexed and platinum chemotherapy, shown in the

1 red box, is comparable to that of other agents in
2 the class.

3 In conclusion, based on the totality of the
4 data, sintilimab in combination with pemetrexed and
5 platinum chemotherapy demonstrated a positive
6 benefit-risk profile in Chinese patients. Data are
7 applicable to U.S. patients. We've shown that
8 clinical practice standards are similar between
9 China and the United States.

10 Second, the PK/PD characteristics are
11 insensitive to ethnicity. Third, there is ample
12 evidence, based on extensive clinical [inaudible]
13 with PD-1/L1 antibodies across different
14 populations, to provide reassurance that efficacy
15 and safety of sintilimab in the U.S. population
16 will be similar to what was observed in ORIENT-11.
17 Taken together, these data demonstrate that the
18 data from ORIENT-11 are applicable to the U.S.
19 population and [inaudible] indication.

20 Before we close, it's important to
21 acknowledge and address FDA's key review issues as
22 outlined in Section 8 of their briefing document

1 [inaudible].

2 First, with regard to the alignment of
3 ORIENT-11 with ICH E17 guidelines [inaudible]
4 multiregion studies [inaudible]. Because ORIENT-11
5 was designed as a single-country study registration
6 in China, ICH E17 was not applied. In this
7 situation, the requirements for accepting foreign
8 data as the sole basis for marketing approach are
9 outlined in U.S. regulation, and we've met these
10 requirements.

11 We [inaudible] understand the agency's
12 [inaudible] drugs developed in China has changed,
13 but it's also important to remember that Innovent
14 and Lilly have operated in good faith throughout
15 this process with FDA [inaudible], prior to
16 submission of our BLA and adhering [inaudible].

17 Regarding the applicability [inaudible of
18 U.S. standard of care, as Dr. Gasal [inaudible -
19 audio gaps]. This control arm was also [inaudible]
20 with China regulatory agency and approved by IRBs.
21 Further, this control arm is identical with the
22 comparator used to establish the current U.S.

1 standard of care [inaudible].

2 In terms of the choice of endpoints, our FDA
3 guidance, OS is the preferred clinical endpoint to
4 establish efficacy. Nevertheless, the guidance
5 also states that PFS may be appropriate as a
6 primary endpoint [inaudible] if the trial
7 [inaudible].

8 In ORIENT-11, PFS was the prespecified
9 primary endpoint [inaudible], large magnitude of
10 treatment effect [inaudible] for [inaudible].
11 Although alpha was not assigned to OS, it was a
12 prespecified secondary endpoint. Given the
13 magnitude of OS observed, we conclude that it's
14 highly unlikely that this result is due to the
15 absence of a true treatment effect. In addition,
16 PFS and OS results in ORIENT-11 are [inaudible].

17 With respect to applicability about data to
18 U.S. patients, while we can never exclude the
19 unknown, we've done a comprehensive analysis of
20 intrinsic and extrinsic factors and provided
21 evidence that none of these factors would affect
22 generalizability of ORIENT-11 results to U.S.

1 patients.

2 We've demonstrated that the efficacy and
3 safety data from ORIENT-11 are compelling and
4 consistent with similar studies of PD-1/L1
5 inhibitors. We've also shown that there are no
6 clinically meaningful PK differences with
7 sintilimab between whites and Asians or based on
8 body weight.

9 When considering ICH E5, it makes note of
10 the importance of contributions that a class of
11 drugs can have on the evaluation of acceptability
12 of foreign data. ICH E5 also outlines properties
13 of a compound that make it less likely sensitive to
14 ethnic differences between regions such as linear
15 PK, wide therapeutic dose range, and minimum
16 metabolism.

17 We have considered these. Based on the
18 available data, we concluded that sintilimab is not
19 sensitive to ethnic differences. This lack of
20 ethnic sensitivity is consistent with other
21 anti-PD-1/L1 antibodies. Based on FDA's late cycle
22 communications in January 2022, we are committed to

1 collecting additional PK data in diverse patients
2 in the postmarketing setting.

3 Regarding generating data in a population
4 representative of the U.S., we have demonstrated
5 throughout this presentation that sintilimab and
6 the class are insensitive to ethnic factors,
7 therefore the data from ORIENT-11 are applicable to
8 the diverse U.S. population. We also are
9 supporting increasing diversity in clinical trials,
10 and we are committed to continuing to work with the
11 FDA to study sintilimab in a population
12 representative of U.S. cancer patients.

13 In our pre-BLA meeting, the FDA noted they
14 may request postmarketing data in a population
15 representative of the diverse U.S. population. In
16 their briefing material and recent public comments,
17 FDA's implied that sintilimab should be compared
18 directly to an approved immune checkpoint inhibitor
19 and a multiregional clinical trial to ensure that
20 the survival advantages [inaudible].

21 Such a trial would face significant
22 feasibility challenges. Using standard statistical

1 assumptions for FDA guidance for noninferiority
2 studies, it would require enrollment of over 2,000
3 patients and take more than seven years to
4 complete. In addition, such a study would be
5 wasteful of the contribution of patients involved
6 in clinical research.

7 Instead, we propose a more focused,
8 efficient postmarketing study that generate
9 additional data in a diverse Western population.
10 We met with FDA in October to discuss such a
11 proposal. The study shown here takes into account
12 FDA's feedback to include a direct comparison of
13 sintilimab plus chemotherapy between Western and
14 Chinese patients.

15 The intent of this postmarketing study is to
16 provide additional efficacy, safety, and PK data in
17 a diverse population representative of U.S.
18 patients. An additional cohort to evaluate a
19 patient-centric, 6-week dosing schedule will also
20 be investigated. We look forward to continuing
21 discussions with FDA to further optimize this study
22 concept.

1 With respect to FDA consultation, ORIENT-11
2 was conducted for registration in China, so we did
3 not meet with the FDA prior to concluding the
4 study. However, the study conformed to globally
5 accepted GCPs and U.S. regulations for foreign
6 clinical studies not conducted under an IND.

7 Based on the encouraging interim results,
8 established regulations, and guidance, as well as
9 the agency's comments to AACR in 2019 regarding
10 openness to China data, we decided to pursue a U.S.
11 application. Subsequent to the interim results and
12 in accordance with federal regulations, we had
13 three productive meetings with the FDA, where we
14 received guidance on the application prior to
15 submitting the BLA.

16 In terms of our informed consent form, at
17 the time of study initiation, informed consent form
18 was reasonable and appropriate. It stated that the
19 investigators should discuss with their patients
20 other treatment options or any new information that
21 could affect their participation in the study. We
22 acknowledge that the description of alternative

1 treatment options in the ICF was not as explicit as
2 it should have been, and the onus to discuss
3 treatment options with each patient was left with
4 the investigator.

5 In retrospect, once pembrolizumab was
6 approved in China as first-line therapy for
7 non-squamous non-small cell lung cancer,
8 approximately four months before accrual was
9 completed, the trial-level consent form should have
10 been updated to be more explicit on the potential
11 new treatment option and to further facilitate the
12 informed consent process [inaudible] according to
13 their policies and procedures.

14 With respect to site inspections and
15 investigator experience, as Dr. Gasal pointed out,
16 10 of the 48 sites associated with ORIENT-11 have
17 been previously inspected by FDA, including a total
18 of 17 inspections. FDA has conducted two GCP site
19 inspections as part of this BLA review. Moreover,
20 all sites have multiregional clinical trial
21 experience, and 48 percent of the sites have
22 participated in at least one clinical trial that

1 led to FDA approval. With respect to the
2 investigators, all were board-certified oncologists
3 trained on ICH GCP, and 95 percent of the primary
4 investigators have participated in multiregional
5 clinical trials.

6 Finally, with regard to FDA's comment about
7 regulatory flexibility, regulatory judgment is
8 applied when evaluating all applications. In this
9 case, we have provided substantial evidence of the
10 efficacy and safety of sintilimab. Further, there
11 is a need for additional treatment options in this
12 setting, including the stage IIIB/IIIC non-small
13 cell lung cancer population. While price is not a
14 topic of today's discussion, there is a need for
15 more affordable options and [inaudible] provide
16 one.

17 In summary, sintilimab has demonstrated a
18 positive benefit-risk profile in patients with
19 non-squamous non-small cell lung cancer, and the
20 BLA should be approved based on the merits of the
21 data submitted. The data are applicable and
22 generalizable to the U.S. population and medical

1 practice.

2 We are committed to continuing to work
3 collaboratively with the agency to provide
4 additional data in the postmarket setting. These
5 data can best be obtained through a focused,
6 efficient study to provide additional efficacy,
7 safety, and PK data in a diverse Western
8 population. We think sintilimab can be a valuable
9 treatment option, and we want to work with you to
10 find a path forward to make it available.

11 Thank you for your time and attention. We
12 look forward to your questions.

13 DR. KUNZ: Thank you very much to the
14 Innovent and Eli Lilly presenters. We will now
15 begin with the FDA presentations.

16 Dr. Vellanki?

17 **FDA Presentation - Paz Vellanki**

18 DR. VELLANKI: Good morning. I am Paz
19 Vellanki, a medical oncologist at the FDA. The
20 application for sintilimab in non-squamous
21 non-small cell lung cancer was submitted by
22 Innovent, who I will here on refer to as the

1 applicant.

2 This slide lists the members of the FDA
3 multidisciplinary review team. My presentation
4 reflects their collective input. Today's
5 discussion will not revolve around the traditional
6 ODAC question of risk-benefit for an oncology drug;
7 rather, today's ODAC will focus on whether the
8 applicant has adequately demonstrated applicability
9 to the U.S. population and U.S. medical practice.

10 The application for sintilimab in
11 non-squamous non-small cell lung cancer is based on
12 the ORIENT-11 trial. Conducted exclusively in
13 China, the trial design, enrollment criteria, and
14 statistical assumptions of ORIENT-11 closely
15 resemble landmark trials which established immune
16 checkpoint inhibitors as part of initial treatment
17 for non-small cell lung cancer. Rather than an
18 isolated case, the application reflects an
19 increasing number of oncology development programs
20 based solely or predominantly on clinical trial
21 data from China with at least 25 applications
22 planned to be submitted or currently under review

1 at the FDA.

2 This increasing number of single-country
3 trials is inconsistent with the International
4 Consensus Guidelines, ICH E17, which promote
5 multiregional clinical trials as the preferred
6 approach to global drug development.

7 For years, multiregional clinical trials
8 have been performed as the basis for drug marketing
9 applications with the U.S. having substantial
10 enrollment. Multiregional clinical trials allow for
11 evaluation of regional consistency to directly
12 compare safety and efficacy results across
13 geographic regions and subpopulations of patients.

14 Single-country trials generally require
15 duplication or sequential bridging of studies to
16 demonstrate applicability in a new region, thus
17 leading to delays and asynchronous international
18 drug approvals. In contrast, enrollment of a
19 global study population enables earlier access and
20 more concurrent approvals worldwide.

21 Multiregional clinical trials promote
22 international harmonization of standard-of-care

1 practices, allowing for more cohesive drug
2 development around the world, as patients have
3 access to similar therapies. ORIENT-11 was not
4 conducted as a multiregional clinical trial;
5 rather, it was conducted in a single region outside
6 of the U.S.

7 The key review issues for this application
8 revolve around applicability of the single-country
9 trial to U.S. patients and medical practice. An
10 outline of the presentation is shown here which
11 will begin with a brief overview of the ORIENT-11
12 study design and results.

13 The applicant has stated that part of their
14 development strategy includes making cancer drugs
15 more affordable through competitive pricing. While
16 FDA acknowledges drug cost as an important societal
17 issue with great impact on patients, FDA cannot
18 consider drug pricing in regulatory decision
19 making, and this should not be part of the
20 committee's consideration or discussion today.

21 You are now familiar with ORIENT-11, which
22 randomized patients in a 2-to-1 ratio to

1 sintilimab, an anti-PD-1 antibody, or placebo in
2 combination with pemetrexed and platinum
3 chemotherapy. I will highlight here the primary
4 endpoint was progression-free survival by an
5 independent radiologic review committee with
6 crossover from the control arm to sintilimab
7 therapy permitted at time of progression. At the
8 final analysis, with a data cutoff date of
9 September 15, 2021, the applicant reports
10 47 percent of patients have crossed over from
11 placebo to receive sintilimab.

12 Most patients enrolled in ORIENT-11 were
13 male, had good performance status, and were either
14 current or former smokers. The median age of
15 patients was 61. Per the applicant, all patients
16 were Chinese and from mainland China, which is
17 considered a single region. These demographics are
18 not reflective of the U.S. population of patients
19 with non-squamous non-small cell lung cancer in
20 which patients are older and include more women and
21 smokers.

22 ORIENT-11 met its primary endpoint,

1 demonstrating a 3.9-month improvement in PFS with a
2 hazard ratio of 0.48, favoring the addition of
3 sintilimab. Overall survival, overall response
4 rate, and duration of response were descriptive
5 secondary endpoints and were not formally tested.
6 High-level safety results are summarized here and
7 are further detailed by the applicant.

8 While FDA acknowledges the reported safety
9 and efficacy of sintilimab in ORIENT-11, acceptance
10 of foreign data is predicated on applicability to a
11 U.S. population and U.S. medical practice. The
12 applicant did not consult with FDA until study
13 completion and selected an endpoint and control arm
14 not applicable to current U.S. regulatory
15 standards. As we will further discuss, given the
16 timing of this trial and standard-of-care
17 therapies, ORIENT-11 would not have been feasible
18 to conduct in the U.S.

19 A critical issue is the study population
20 comprised entirely of Asian patients from a single
21 country. While China is a multiethnic country, the
22 ORIENT-11 study population is not reflective of the

1 racial and ethnic diversity of patients with lung
2 cancer in the U.S. Acceptance of a study and
3 similar studies conflicts with an industry-wide
4 renewed commitment to equitable representation in
5 clinical trials.

6 Patients enrolled in ORIENT-11 may not have
7 been fully informed of the substandard chemotherapy
8 control arm despite multiple contemporary
9 immunotherapy-based approval. While inspections of
10 limited clinical sites are conducted, the applicant
11 has had limited prior experience in multiregional
12 clinical trials, leading to FDA registration. In
13 other words, they do not have a long-standing
14 history with FDA or other international regulatory
15 agencies which would garner confidence and data
16 integrity.

17 Given multiple approved anti-PD-L1
18 antibodies have demonstrated a statistically
19 significant advantage in OS for lung cancer, an
20 additional anti-PD-L1 antibody with a PFS endpoint
21 and several major issues regarding applicability
22 does not warrant a flexible regulatory approach.

1 The Code of Federal Regulations provides
2 clear criteria with which to consider U.S.
3 marketing applications based solely on foreign
4 data. ICH guidances also provide considerations
5 for the evaluation of ethnic factors when assessing
6 foreign data and more recently described
7 multiregional clinical trials as the preferred
8 approach in the setting of globalization of
9 oncology drug development.

10 FDA is governed by Title 21 of the Code of
11 Federal Regulations, which is a codification of the
12 general and permanent rules published in the
13 Federal Register by the executive department and
14 agencies of the U.S. federal government. Guidance
15 documents are issued by the FDA, including
16 guidances endorsed by the International Council of
17 Harmonisation, which represent the FDA's current
18 thinking of specific subjects.

19 Per Section 314 of the CFR, a marketing
20 application based solely on foreign clinical data
21 may be approved if foreign data are applicable to
22 the U.S. population and U.S. medical practice;

1 studies are performed by investigators of
2 recognized competence; and there's FDA validation
3 of trial data through on-site inspection or other
4 appropriate means. Failure to meet any of these
5 criteria will result in an application not being
6 approvable based on the foreign data alone. The
7 CFR also states that FDA will apply this policy in
8 a flexible manner according to the nature of the
9 drug and data being considered.

10 A flexible approach to the requirements for
11 evaluation of foreign data may be warranted in
12 select circumstances, none of which applied to
13 ORIENT-11. If the data fulfills an unmet medical
14 need for patients in the U.S., a flexible approach
15 for the acceptance of foreign data may be
16 warranted.

17 Acceptance of foreign data may also be
18 important for rare diseases of the U.S. such as
19 nasopharyngeal carcinoma, in which would be very
20 difficult to carry out a trial in the U.S., but
21 more feasible in countries of which the disease is
22 more common. An application for a novel drug

1 without existing therapies approved in the same
2 class may also merit flexibility.

3 The International Council for Harmonisation,
4 or ICH, has established international guidance for
5 evaluation of foreign data and conduct of global
6 clinical trials. The ICH was established in 1990
7 to harmonize requirements of clinical trials and
8 medicinal products. The ICH brings together global
9 regulatory authorities and the pharmaceutical
10 industry with a mission of ensuring safe,
11 effective, and high-quality medicines worldwide.
12 Currently, ICH is comprised of 19 members,
13 including China's regulatory authority, the
14 National Medical Product Administration, and
15 35 observers.

16 ICH guidances are used and applied by the
17 FDA and are often incorporated into the U.S. Code
18 of Federal Regulations. ICH E5 was envisioned in
19 the late 1990s as a mechanism to fulfill unmet
20 needs for patients historically not representative
21 in clinical trials, such as patients from certain
22 Asian countries.

1 Given potential regional differences and
2 ethnic factors that may affect the safety and
3 efficacy of drugs, ICH E5 provided a framework for
4 extrapolation of foreign data from one region to
5 another with guidance on appropriate bridging
6 studies. In order to be considered for
7 extrapolation, the trials must first be adequate,
8 well controlled, and applicable to the regulatory
9 standards of the new region, including the
10 selection of the primary endpoint and control arm.
11 Based on the likelihood that the drug is sensitive
12 to ethnic factors, the need for additional bridging
13 studies is determined.

14 The primary objective of ICH E5 was to
15 minimize the need for duplicative clinical trials
16 by outlining these steps to determine whether
17 clinical trial data obtained from one region of the
18 world such as the U.S. were sufficient to support a
19 marketing application in another region of the
20 world.

21 Both ICH E5 and E17 address the concept of
22 differing intrinsic and extrinsic factors across

1 geographic regions which may impact the safety and
2 efficacy of drugs. Intrinsic factors include
3 genetic and physiological characteristics such as
4 racial distribution, inherited risk factors for
5 diseases, and genetic polymorphisms that affect
6 drug metabolism. Extrinsic factors are related to
7 the environment, including exposure to pollution or
8 carcinogens, cultural practices, and the practice
9 of medicine, including the diagnosis and management
10 of diseases. While some of these factors may be
11 evaluated by controlled pharmacokinetic studies,
12 others are truly unknown differences and cannot be
13 reliably studied outside of a multiregional
14 clinical trial.

15 ICH E5 describes use of bridging studies to
16 extrapolate foreign data from one region to
17 another. In prior decades, this scenario often
18 applied to Asian countries like Japan, requiring
19 bridging studies to their population from a
20 multiregional clinical trial. While the spirit of
21 ICH E5 was to share innovation, this strategy has
22 two major issues.

1 First, bridging studies may not fully
2 address concerns regarding generalizability since
3 they are smaller, tend to be non-randomized, and
4 rely on response rate or pharmacodynamic
5 comparisons rather than the endpoint used in the
6 original trial, such as overall survival. Second,
7 reliance on bridging trials usually conducted after
8 completion of the original trial result in delays
9 of important drugs reaching patients. As a result,
10 many Asian countries have increased their
11 participation in multiregional clinical trials to
12 avoid reliance on duplicative trials and sequential
13 bridging studies.

14 You heard from Dr. Singh regarding the
15 evolution of ICH thinking from the late 1990s to
16 2017. The international regulatory community no
17 longer views a sequential bridging strategy an
18 ideal approach. Rather, they have emphasized
19 multiregional clinical trials for more efficient
20 drug development and concurrent global approvals.

21 You also heard from Dr. Singh that over the
22 last decade, China has had limited involvement in

1 multiregional clinical trials as compared to other
2 Asian countries, as depicted in blue and orange,
3 respectively. The goal is to bring patients from
4 China into the fold as participants in
5 multiregional clinical trials.

6 The top of this diagram depicts an
7 independent strategy of conducting duplicative
8 local clinical trials in different regions of the
9 world, which often leads to delays and asynchronous
10 drug approvals. Alternatively, for ICH E17, the
11 current ideal is multiregional clinical trials. As
12 shown on the bottom, global trials can be employed
13 at all phases of drug development to enable earlier
14 access to new drugs worldwide and negate the need
15 for bridging studies. ICH E17 promotes
16 international harmonization of drug development and
17 facilitates similar standards of care around the
18 world.

19 The guiding principles of ICH E17 illustrate
20 why strategic use of multiregional clinical trials
21 is so important. Highlighting the fifth principle,
22 which we find most important to this application,

1 multiregional clinical trials readily permit
2 structured evaluations of regional consistency of
3 results across subpopulations of patients. This is
4 an important distinction from clinical trials from
5 a single country such as ORIENT-11, which does not
6 allow direct comparison of results across
7 geographic regions and other subgroups. As a
8 single-country trial, ORIENT-11 does not follow any
9 principles for global drug development outlined in
10 ICH E17.

11 Another important consideration regarding
12 single-country clinical trials is the lack of
13 ethnic diversity by design. Trials done
14 exclusively in single countries will never have the
15 appropriate range of diversity that is possible in
16 a multiregional clinical trial. While the
17 pharmaceutical industry has championed a renewed
18 commitment to inclusion and diversity in clinical
19 trials, acceptance of foreign data from a single
20 country is antithetical to the concept of racial
21 and ethnic diversity. Alternatively, enrollment of
22 a diverse study population in an international

1 trial may help improve representation of
2 underrepresented groups in drug development.

3 Improved diversity and representation in
4 clinical trials will require continuing commitment
5 and effort from FDA, the pharmaceutical industry,
6 professional societies, patient advocacy groups,
7 and healthcare providers. Project Equity is one
8 such FDA oncology initiative focused on increasing
9 diversity in clinical trials, generating data and
10 more representative patient groups throughout the
11 drug development process and developing policies to
12 advance equity.

13 ORIENT-11 is not applicable to a U.S.
14 population. The applicant was aware of many of the
15 issues that were discussed and chose not to seek
16 FDA guidance in advance of initiating the trial.
17 KEYNOTE-189 was a landmark study that completely
18 transformed the first-line treatment of metastatic
19 lung cancer.

20 This FDA approval of pembrolizumab in
21 combination with platinum-based chemotherapy was
22 based on a statistically significant improvement in

1 overall survival with a hazard ratio of 0.49 over
2 chemotherapy and a p-value of less than 0.0001.
3 Importantly, this regimen was approved in the U.S.
4 at the time ORIENT-11 was initiated. In a four-
5 year follow-up of KEYNOTE-189, median overall
6 survival was almost one year longer for patients
7 treated with pembrolizumab at 22 months compared to
8 10.6 months on the placebo arm.

9 The FDA initially granted accelerated
10 approval for pembrolizumab plus chemotherapy for
11 non-squamous non-small cell lung cancer based on a
12 PFS endpoint in KEYNOTE-189 in May of 2017.
13 Overall survival data for KEYNOTE-189 were
14 available by the spring of 2018, and these results
15 were highly publicized before receiving FDA regular
16 approval on August 20, 2018. Unbeknownst to FDA,
17 ORIENT-11 was initiated after this landmark change
18 to the U.S. standard of care.

19 ORIENT-11 duplicated the trial design for
20 KEYNOTE-189, which the applicant highlighted in
21 their first interaction with the FDA on April 21,
22 2020. This first interaction between the applicant

1 and FDA was after ORIENT-11 was well underway and
2 after the primary endpoint for ORIENT-11 had
3 already read out. FDA stated that as ORIENT-11 was
4 conducted solely in China, a BLA submission must
5 demonstrate how the study population adequately
6 represents the U.S. patients in terms of disease
7 characteristics, sex, race, ethnicity, age, and
8 standards of care per 21 CFR 314.50. In another
9 meeting, FDA indicated the impact of intrinsic and
10 extrinsic ethnic factors on the exposure, efficacy,
11 and safety of sintilimab must also be addressed.

12 While an anti-PD-L1 plus chemotherapy
13 combination was not approved in China at the time
14 of study initiation, pembrolizumab with
15 chemotherapy was granted approval in China during
16 the ORIENT-11 study period, approximately seven
17 months after the first patient was enrolled.

18 Per the Code of Federal Regulations, foreign
19 data must be applicable to U.S. medical practice.
20 At the time ORIENT-11 was initiated, the standard
21 of care for frontline metastatic lung cancer had
22 substantially changed, rendering chemotherapy an

1 inappropriate comparator arm.

2 ORIENT-11 could not have been conducted in
3 the U.S., as it was no longer applicable to U.S.
4 medical practice. Investigators would not have
5 been able to enroll patients to a chemotherapy
6 control arm given that the pembrolizumab
7 chemotherapy regimen demonstrated clinically and
8 statistically significant benefits in overall
9 survival. Enrollments of U.S. patients in
10 ORIENT-11 would have denied patients the current
11 standard of care and risk loss of gains in overall
12 survival.

13 The applicant did not consult FDA at any
14 point regarding study design or trial conduct. Had
15 FDA been consulted, a formal head-to-head
16 comparison of sintilimab to an FDA-approved
17 anti-PD-L1 antibody with an overall survival
18 endpoint would have likely been recommended.

19 To date, all first-line immunotherapy
20 approvals for metastatic lung cancer have been
21 based on statistically significant and formally
22 tested improvements in overall survival. Overall

1 survival is considered the most reliable cancer
2 endpoint and is preferred when it can be reasonably
3 assessed.

4 The American public has benefited from
5 multiple approved regimens with significant gains
6 in survival. Trials were designed with OS
7 endpoints in consultation with the FDA as early as
8 2015. Despite the precedent for an OS endpoint, it
9 was not statistically tested in ORIENT-11. This
10 application relies on a less clinically meaningful
11 endpoint, namely PFS.

12 The applicant expressed concerns of
13 confounding the observed treatment effect on OS due
14 to crossover, however, crossover was permitted in
15 other studies of immunotherapy-based regimens for
16 lung cancer, which ultimately demonstrated OS
17 benefit, and thus weakens the applicant's position.
18 The applicant also emphasized cross-trial
19 comparisons and compared themselves to several
20 other FDA-approved anti-PD-L1 antibodies with
21 statistically significant OS benefit. However, we
22 cannot rely on cross-trial comparisons for

1 regulatory decision making and each application
2 must rely on its own merits.

3 The applicant states there are three
4 principles which demonstrate ORIENT-11 study
5 results are applicable to U.S. patients. First,
6 the applicant states there are similar clinical
7 practice standards between China and the U.S.
8 However, the standard of care in China at the time
9 of trial initiation in 2018 was not applicable to
10 U.S. patients in which first-line treatment of lung
11 cancer had already shifted to include
12 immunotherapy.

13 Second, the applicant states sintilimab has
14 similar pharmacokinetics and pharmacodynamics
15 between Chinese and U.S. patients. However,
16 insufficient PK data are provided, particularly an
17 underrepresented minority patients, to conclude
18 similarity.

19 Third, the applicant states there is similar
20 efficacy and safety of sintilimab between Chinese
21 and U.S. patients. However, sintilimab has not
22 been studied in any U.S. patients with lung cancer

1 to arrive at this conclusion. Furthermore,
2 retrospective exploratory analyses of other
3 anti-PD-L1 antibodies for the treatment of lung
4 cancer suggest potential differences between Asian
5 and non-Asian patients. The pharmacokinetics,
6 safety, and efficacy of sintilimab for U.S.
7 patients would be best evaluated in a multiregional
8 clinical trial with a trial population applicable
9 to U.S. patients.

10 There are key differences in the study
11 population for ORIENT-11 compared to U.S. patients,
12 which impact interpretability of the study results.
13 The median age of patients was 61 in ORIENT-11,
14 which is younger than the median age at diagnosis
15 for U.S. patients.

16 Seventy-six percent of patients in ORIENT-11
17 were male, which does not reflect that closer to 50
18 percent of patients in the U.S. are female. Sixty-
19 five percent of patients were current or former
20 smokers, which is less than the percentage for U.S.
21 patients. And while all patients in the study were
22 Chinese, patients in the U.S. are approximately

1 79 percent white, 15 percent black, and 6 percent
2 Asian.

3 To further consider the ORIENT-11 study
4 population and applicability to U.S. patients, for
5 comparison, here are the demographics for the
6 KEYNOTE-189 trial which led to the approval of
7 pembrolizumab plus chemotherapy. KEYNOTE-189 was a
8 multiregional clinical trial which enrolled
9 patients from 16 countries, including from Europe,
10 the U.S., Canada, Japan, Israel, and Australia.

11 The percentages of male patients and current
12 or former smokers in KEYNOTE-189 compared to
13 ORIENT-11 are more like the characteristics of
14 U.S. patients. Patients in KEYNOTE-189 were also
15 older than patients in ORIENT-11, more closely
16 approaching the median age at diagnosis for U.S.
17 patients. Notably, a substantial majority of
18 patients in KEYNOTE-189 were white with only
19 2.3 percent black patients and 2.9 percent Asian
20 patients. This also is not optimal and does not
21 fully represent U.S. patients with lung cancer.

22 Despite FDA's history and public health

1 interest, and surmounting disparate rates of trial
2 participation, our efforts have been insufficient
3 and more work is necessary. An important part of
4 this work is to directly call attention to and
5 address inequities in recent ongoing and planned
6 clinical trials. Compared to single-country trials
7 which lack ethnic diversity by design, enrollment
8 of a global trial population can improve
9 representation of underrepresented groups.

10 In addition to known factors, which are
11 prognostic or predictive of treatment response,
12 there may also be regional differences that have an
13 unknown impact on the efficacy and safety of
14 sintilimab. The applicant states the diagnosis of
15 management of lung cancer are similar in the U.S.
16 and China, however, it is not our intention to
17 compare regional medical practices; rather, the
18 question is whether medical care of the trial
19 population is applicable to U.S. patients.

20 Given the chemotherapy control arm in
21 ORIENT-11, this was not consistent with clinical
22 practice standards in the U.S. in which first-line

1 treatment already included immunotherapy at the
2 time of study initiation. Regional differences in
3 concomitant medications, including herbal
4 medications, may also impact applicability of study
5 results, and the applicant reported that most
6 patients in ORIENT-11 received at least one herbal
7 medication during the study period.

8 Differences in body weight and composition
9 of the trial population compared to U.S. patients
10 may also impact efficacy and safety, and there may
11 be additional unexpected regional differences with
12 an unknown impact. Due to both known and unknown
13 ethnic factors, regional consistency of clinical
14 outcomes for sintilimab would be best evaluated in
15 an international trial.

16 For this application, the applicant provided
17 population PK analyses to compare the PK
18 characteristics of 475 Chinese patients and
19 39 American patients with various cancers in the
20 sintilimab development program. Of the U.S.
21 patients, none of whom had non-small cell lung
22 cancer, 30 were white, 5 were black, 3 were Asian,

1 and one was Native American.

2 For modeling and simulation analyses, the
3 data provided by the applicant suggest no
4 clinically significant difference from PK between
5 whites and Chinese patients or a significant effect
6 of body weight on PK. However, the number of
7 patients are too small for PK comparisons with
8 underrepresented minorities in the U.S., including
9 black patients. It is standard for the FDA to
10 request sparse PK collection in a U.S. patient
11 cohort for the proposed indication, and additional
12 PK data are needed to support efficacy and safety
13 for U.S. patients.

14 In general, large epidemiological studies
15 suggest Asian ethnicity is an independent favorable
16 prognostic factor for overall survival for patients
17 with non-small cell lung cancer. Regarding
18 anti-PD-L1 antibodies for lung cancer, the
19 applicant states sintilimab has similar efficacy
20 and safety between Chinese and U.S. patients based
21 on cross-trial comparisons of sintilimab in Chinese
22 patients, with other anti-PD-L1 antibodies in more

1 Western populations, including U.S. patients.

2 They also cite an FDA abstract in which the
3 benefit from anti-PD-L1 antibodies relative to
4 chemotherapy for lung cancer did not appear to
5 differ between Asian and non-Asian patients.

6 However, the applicant does not mention that the
7 analysis also showed that Asian patients had longer
8 overall survival compared to non-Asian patients,
9 suggesting ethnic differences may affect prognosis.
10 This is consistent with findings in other
11 exploratory analyses.

12 The applicant also does not mention that
13 some exploratory analyses suggest potential
14 differences in safety for anti-PD-L1 antibodies,
15 including increased rates of immune-mediated
16 pneumonitis in Asian patients compared to non-Asian
17 patients. A composite of genetic and clinical
18 demographic factors, along with regional
19 variability in clinical practice, may underlie
20 differential outcomes. A multiregional clinical
21 trial would generate the strongest evidence and
22 allow direct comparison of sintilimab in Asian and

1 non-Asian patients around the world.

2 A requirement for U.S. acceptance of foreign
3 clinical trial data per 21 CFR Section 312 and 314,
4 per good clinical practice and per ICH guidances,
5 is that the clinical trial design and conduct are
6 of high quality. This includes that patients
7 should be well and adequately consented for study
8 participation.

9 The applicant states that ORIENT-11 was a
10 well-designed trial conducted in accordance with
11 good clinical practice, however, there are concerns
12 patients were not adequately consented. All three
13 versions of the ORIENT-11 consent form relied on
14 the study doctor to discuss alternatives to
15 enrolling to the trial. The consent forms did not
16 acknowledge the approval of pembrolizumab with
17 chemotherapy as the new standard of care, albeit
18 not yet approved in China at the time of study
19 initiation.

20 When pembrolizumab plus chemotherapy was
21 approved in China in March 2019, the informed
22 consent document was still not revised to

1 explicitly describe this treatment option with
2 demonstrated survival benefits.

3 Site inspections are required for
4 applications of new molecular entities such as
5 sintilimab. They are performed to ensure the
6 safety and welfare of patients and verify the
7 accuracy and reliability of clinical trial data.
8 However, only a sampling of clinical trial sites
9 are investigated, which does not fully capture the
10 heterogeneity of data quality and study conduct
11 across sites.

12 In 2016, China's State Food and Drug
13 Administration issued a report that in an
14 investigation of over 1600 drug applications in
15 China, 80 percent of the applications should be
16 withdrawn due to concerns of fraudulent or
17 substandard data. While steps have been taken to
18 address concerns raised in 2016, ORIENT-11 was
19 initiated shortly after in 2018, and it is unclear
20 if any sites included in the 2016 report were
21 involved in ORIENT-11.

22 Prior participation in multiregional

1 clinical trials and interactions with FDA and other
2 international regulatory agencies provide
3 confidence in trial conduct and data integrity.
4 Investigators in ORIENT-11 have had an uncertain
5 level of prior participation in global trials and
6 limited interactions with the FDA. Per the
7 applicant, 10 of 48 sites have had prior FDA
8 inspections for multiregional clinical trials in
9 oncology or hematology. The applicant was unable
10 to indicate how many patients were enrolled at
11 these sites or whether the trials led to U.S.
12 approvals.

13 FDA's Office of Scientific Investigations
14 have inspected two clinical sites for ORIENT-11.
15 Underreporting of both adverse events and
16 concomitant medications was found. Corrective and
17 preventive actions were taken, including training
18 the staff regarding good documentation practices
19 and emphasizing the importance of accuracy and
20 completeness of the required data reporting.

21 For both investigators, this was their first
22 FDA inspection. These findings underscore the need

1 for multiregional clinical trials with
2 investigators who have gained experience in
3 regulatory submissions to the FDA to ensure high
4 data quality and accurate reporting.

5 The applicant compared sintilimab to other
6 first-line therapies, stating that their
7 demonstrated PFS advantage would translate to an OS
8 advantage given similar hazard ratios across
9 trials. However, each individual drug must be
10 evaluated on its own merit and cross-trial
11 comparisons are not appropriate.

12 While numerous FDA-approved anti-PD-L1
13 antibodies have demonstrated statistically
14 significant OS benefit, this application only
15 offers uncertainty given lack of formal testing for
16 OS and questions regarding applicability to U.S.
17 patients.

18 To address FDA concerns regarding
19 applicability and generalizability to a U.S.
20 population, the applicant proposed a randomized
21 non-comparative study, including 150 patients from
22 the U.S., Europe, and China, evaluating 2 doses of

1 sintilimab. The primary endpoint is overall
2 response rate for the sintilimab arm dosed at
3 200 milligrams every 3 weeks.

4 The FDA does not consider this proposed
5 study adequate to address issues of
6 generalizability or applicability; rather, this
7 appears to be a dose-finding study. Additional
8 limitations are the small study size and the use of
9 a less clinically meaningful endpoint.

10 Importantly, ORR has not been established as a
11 surrogate endpoint for OS in this disease setting.
12 A better strategy to address applicability would be
13 a formal comparison of sintilimab to an approved
14 anti-PD-L1 antibody with an OS endpoint, with a
15 study population that is representative of U.S.
16 patients.

17 The 1998 ICH E5 guidance was not intended to
18 demonstrate applicability of foreign data for
19 me-too drugs like sintilimab that do not fulfill an
20 unmet regional need. ORIENT-11 is a single-country
21 trial with an endpoint and comparator arm not
22 aligned with FDA regulatory standards, and

1 consideration of a bridging strategy envisioned in
2 ICH E5 would not be appropriate here.

3 If ORIENT-11 was conducted as a
4 multiregional clinical trial per ICH E17, there
5 would have been early communication with
6 international regulatory authorities, and FDA would
7 have likely recommended direct comparison with an
8 FDA-approved anti-PD-L1 antibody with a trial
9 design utilizing an OS endpoint.

10 Importantly, a multiregional clinical trial
11 would have permitted evaluation of safety and
12 efficacy results across geographic regions and
13 would have thereby addressed concerns about
14 applicability of data to U.S. patients.

15 In summary, the applicant did not consult
16 with FDA until study completion and selected an
17 endpoint and control arm which are not applicable
18 to U.S. medical practices or regulatory standards.
19 The ex-U.S. study population from a single country
20 is not representative of the diversity of U.S.
21 patients with non-squamous non-small cell lung
22 cancer.

1 While site inspections are an essential
2 component of FDA review, they are limited in scope
3 to verify study conduct and data integrity across
4 all trial sites, and regulatory flexibility for
5 this application in which there are concerns about
6 applicability to U.S. patients is not warranted
7 given the current therapeutic landscape.

8 The FDA must maintain the survival advantage
9 seen in several approved therapies for U.S.
10 patients with metastatic lung cancer. We would
11 risk losing this by relying on cross-trial
12 comparisons and approving sintilimab based on an
13 PFS endpoint. Approval of this application would
14 not signify progress in drug development, but
15 rather takes a step backwards on issues of
16 applicability and diversity, offering uncertain
17 clinical benefit relative to available therapies.

18 Multiregional clinical trials are the
19 preferred approach with increasing globalization of
20 oncology drug development. They can be further
21 strengthened by providing support and welcoming
22 countries such as China, as well as countries in

1 Africa and Latin America which are currently
2 underrepresented in oncology trials.

3 Greater diversity may provide additional
4 information to assist the U.S. in generating data
5 and addressing the underrepresentation of racial
6 and ethnic minorities in drug development.

7 Increased global participation in multiregional
8 clinical trials provides a framework to establish
9 regulatory experience for countries around the
10 world. This patient-centered approach expedites
11 global access to novel therapeutics and oncology.

12 Given the key review issues centered around
13 applicability to U.S. patients and medical
14 practices, we would like the advisory committee to
15 discuss the following. First, discuss the
16 generalizability of ORIENT-11 to a U.S. population
17 and U.S. medical practice, and second, discuss
18 potential clinical trials, if any, which may
19 address issues of applicability of ORIENT-11 to a
20 U.S. population.

21 After the discussion, we would like the
22 advisory committee to vote on the following

1 question. Should additional clinical trials
2 demonstrating applicability to U.S. patients and
3 U.S. medical care be required prior to a final
4 regulatory decision?

5 Thank you. This concludes my presentation.

6 **Clarifying Questions to Presenters**

7 DR. KUNZ: Thank you very much.

8 We will now take clarifying questions for
9 all presenters. Please use your raised-hand icon
10 to indicate that you have a question and remember
11 to put your hands down after you have asked your
12 question. Please remember to state your name for
13 the record before you speak and to direct your
14 question to a specific presenter, if you can.

15 If you wish for a specific slide to be
16 displayed, please let us know that slide number, if
17 possible. And finally, it would be helpful to
18 acknowledge the end of your question with either a
19 thank you or "That is all for my questions."

20 Of note, we are about 10 minutes over time.
21 We will be stopping promptly at 12:25 for lunch.
22 We can resume some of these clarifying questions

1 after the open public hearing.

2 I am looking in our raised-hand, and I'm
3 going to start with Dr. Garcia.

4 DR. GARCIA: Thank you, Dr. Kunz.

5 I have a couple comments and a clarifying
6 question directed to the FDA team. My comments are
7 I'm somewhat perplexed to hear the clinical
8 investigator in the United States, that the consent
9 for that trial was not updated to reflect the
10 standard changes in the management of that disease
11 for those patients; so quite perplexing because in
12 the United States we wouldn't be able to do that
13 and continue clinical trial enrollment without that
14 updated consent.

15 Second, it would be very hard today in North
16 America to discuss with a patient the results of
17 ORIENT and how that would apply to that patient's
18 treatment with lack of survival data, when we have
19 survival data in the United States based upon the
20 KEYNOTE-189.

21 But since the FDA is not asking the
22 committee to assess safety and efficacy of the

1 trial, and therefore the applicant, clearly to me,
2 my interpretation throughout the morning is that
3 there is really no need for regulatory flexibility
4 based upon the existing data and certainly the
5 standard of care in the United States.

6 My two questions for the FDA -- probably
7 one -- specifically is, when you look at the
8 CFR 314, I want to try to get a sense as to I
9 understand that there was an inspection of two
10 sides, but when you talk about data validation and
11 recognize investigator competence, number one, did
12 the FDA recognize the competence of the
13 investigators on that trial, number one? And
14 number two, with the inspection that you guys did,
15 is the data considered validated by the FDA?

16 Those are my two questions.

17 DR. SINGH: This is Harpreet Singh. Thank
18 you, Dr. Garcia, for your question. Your first
19 question is about CFR 314 and data validation and
20 whether the inspectors are considered to be, I
21 think you said, of good standing, or whether they
22 have recognized competence.

1 I think that is somewhat subjective in terms
2 of what recognized competence is. You heard both
3 the FDA and the applicant provide general
4 background on the level of experience the
5 investigators have had. They all seem to be GCP
6 trained. Despite that, 2 of 48 sites, which were
7 inspected -- I will add that they were inspected
8 remotely given travel restrictions in China -- did
9 find underreporting of, as you heard, adverse
10 events in concomitant medications.

11 So while the volume of the underreporting
12 was not deemed to be significant enough to
13 necessarily alter the study findings per se -- that
14 was per our Office of Scientific
15 Investigations -- whether or not we would consider
16 this data validated I think is questionable.

17 I'm sorry. I'm receiving a clarification
18 from my team that, in fact, the investigator sites
19 were inspected in person; the sponsor was inspected
20 remotely.

21 I hope that answers your question, but I
22 think from an FDA perspective, what we're seeing is

1 that both investigators who were inspected have
2 never undergone an FDA inspection, and we did find
3 underreporting. So I think it calls into question
4 the overall data integrity given that this was only
5 2 of 48 sites, so it's unknown what we would find.
6 There's certainly heterogeneity across sites in
7 terms of data integrity and validity.

8 I hope that answers your question, and that
9 ends my comment. Thank you.

10 DR. GARCIA: Thank you. Yes.

11 DR. KUNZ: Thank you, Dr. Singh.

12 DR. GARCIA: That's the end of my questions,
13 Dr. Kunz. Thank you.

14 DR. KUNZ: Thank you, Dr. Garcia. I'll
15 remind you to just lower your hand if possible.

16 I would like to go next to Dr. Nieva.

17 DR. NIEVA: This question is also for
18 Dr. Singh, and maybe also for Dr. Vellanki.

19 Was there evidence of inappropriate
20 randomization, inappropriate unblinding, synthetic
21 data, or any other misconduct, and does the FDA
22 feel that their inspections were in any way

1 inadequate or hampered for any reason? Thank you.

2 DR. SINGH: Dr. Vellanki, would you like to
3 take that question?

4 DR. VELLANKI: Sorry. Thank you.

5 Yes. Thank you, Dr. Nieva, for your
6 question. So far, based on the two clinical trial
7 sites that have been investigated and the remote
8 assessment of the sponsor, we haven't found any
9 evidence of any issues with the data or issues with
10 data integrity.

11 As Dr. Singh already pointed out, there was
12 some underreporting of concomitant medications and
13 adverse events, however, we don't see any evidence
14 of fraud if that's the question you're trying to
15 get at.

16 DR. NIEVA: Yes --

17 DR. SINGH: May I just add to that?
18 Because, Dr. Nieva, you're asking about
19 randomization and synthetic data, and it appears
20 that you are kind of getting at the 2016 Woodhead
21 report of really massive fraud in clinical trials
22 in China, which is public information.

1 I think that one point that the FDA really
2 needs to stress is that inspections and data
3 validation is limited in its scope. We cannot go
4 to every single site and backtrack every piece of
5 data that's presented to the FDA. That is where
6 prior history and reliance on investigators having
7 experience with confirmed data has met its muster
8 over the course of time, and I don't think we have
9 that here with ORIENT-11.

10 That ends my comment.

11 DR. PAZDUR: This is Dr. Pazdur. Could I
12 add in something?

13 This is one of the things why we're
14 emphasizing the multiregional trials. When you do
15 have a multiregional trial, you can take a look at
16 sites in different countries and take a look at
17 differences, so to speak, and adverse event
18 reporting and efficacy, and compare them, and to
19 see if there's any outlier here.

20 That is one of the major advantages of why
21 we're really emphasizing future development and
22 worldwide development on these multiregional

1 trials. They do give you an internal look at what
2 is going on at various sites and look for
3 consistency between sites, both in efficacy
4 parameters as well as safety parameters.

5 So we feel that that's important. I just
6 want to jump in with that.

7 DR. NIEVA: Thank you.

8 DR. KUNZ: Thank you very much.

9 I'd like to go next to Dr. Wozniak, please.

10 (No response.)

11 DR. KUNZ: Dr. Wozniak, please unmute
12 yourself.

13 DR. WOZNIAK: Okay. Can you hear me now?

14 DR. KUNZ: Yes, we can. Thank you.

15 DR. WOZNIAK: Okay. Thank you, Dr. Kunz.

16 I have a couple questions for the sponsor
17 and one for the FDA.

18 For the sponsor, I can understand
19 considering PFS as a primary endpoint, but why not
20 overall survival as a co-primary endpoint that was
21 done in some of the other trials, specifically
22 KEYNOTE-189?

1 DR. ANDERSON: My name is Ben Anderson. I'm
2 the global product team lead at Eli Lilly,
3 [inaudible], and Dr. Ferry will [inaudible].

4 Your question regarding a viable endpoint,
5 that was an endpoint that was described [inaudible]
6 as an appropriate one. We do not discount the
7 importance of survival, overall survival, as an
8 important secondary endpoint.

9 Slide up, please. Although not alpha
10 controlled, overall survival was prespecified as a
11 secondary endpoint. The endpoint is unambiguous.
12 There was a high degree of patient follow-up, so
13 the magnitude of the outcome makes it highly
14 unlikely [inaudible].

15 DR. WOZNIAK: Okay, another question.
16 Looking at your control arm, the patients seemed to
17 do better than you would anticipate. It's hard not
18 to do some cross-trial comparison, and that is with
19 chemotherapy alone.

20 So I guess my question is, could that
21 potentially represent differences in the patient
22 population? For instance, if you broke it down by

1 sites of metastases, did these patients have less
2 liver metastases?

3 DR. ANDERSON: Dr. Ferry?

4 DR. FERRY: It's been recognized for some
5 time, patients from Asia do have marginally better
6 outcomes than patients in the West. And I would
7 like to ask Dr. Socinski to weigh in on this point.

8 DR. SOCINSKI: Thank you, Dr. Ferry, and
9 thank you, Dr. Wozniak, for the question.

10 We've known for quite some time -- and I
11 think it was pointed out in the meta-analysis
12 discussion -- that the observation has [inaudible]
13 for several decades that Asian population, in
14 general, with stage IV disease do have slightly
15 better outcomes if you look at the point estimate
16 [inaudible] survival. We've known that for quite
17 some time.

18 Your comment about the control arm doing
19 better on ORIENT-11 is interesting. I actually
20 think the control arm on KEYNOTE-189 grossly
21 underperformed. A median survival of
22 10.5 [inaudible] months is unusually low. And just

1 to put that in perspective at a trial that that was
2 done around the same time IMpower, either 130 or
3 132 -- I can't recall -- used the same control arm,
4 platinum pemetrexed, had a median survival of 13
5 [inaudible] months, a concurrent trial done at the
6 same [inaudible] -- kind of underscoring the issues
7 of what was going on.

8 So I don't know if that addresses your
9 question, Dr. Wozniak, or not, but thank you.

10 DR. WOZNIAK: Just a follow-up. Did you
11 look at the patient characteristics in terms of
12 sites of metastases, like I said, liver metastases,
13 and more of a breakdown by PD-L1 status, like how
14 many had greater than 50 percent PD-L1 positivity?

15 DR. ANDERSON: Excuse me. I'm sorry. We
16 had difficulty hearing the question. I apologize
17 for the request to repeat.

18 DR. WOZNIAK: Okay. As a follow-up, I was
19 just wondering if you did break down the patient
20 characteristics by sites of metastases, such as
21 liver metastases, and also a further breakdown of
22 PD-L1, for instance, how many patients were greater

1 than 50 percent?

2 DR. ANDERSON: We do have data on PD-L1
3 status, and I'll ask Dr. Ferry to comment on that.
4 Regarding sites of metastases, we would need to
5 follow up with that, but we'll ask Dr. Ferry to
6 address the PD-L1 expression status, please.

7 DR. FERRY: Thank you. The PD-L1 expression
8 status was assessed by the companion diagnostic as
9 used in the USA.

10 DR. ANDERSON: Slide up.

11 DR. FERRY: Slide up, please.

12 When we look at the data on our slide, you
13 can see the PD-L1 data. As it was stratified for
14 the randomization by less than greater than
15 1 percent, you can see it was balanced across both
16 arms of the trial.

17 DR. WOZNIAK: As a follow-up, did you break
18 it down any further, like 1 to 49, greater than
19 50 percent?

20 DR. FERRY: We did. Which data would you
21 like to see?

22 DR. WOZNIAK: Greater than 50 percent, if

1 possible?

2 DR. FERRY: Yes, okay.

3 May I have the slide? Slide up.

4 This is the data for the primary endpoint,
5 progression-free survival, and you can see that all
6 subgroups less than 1 percent, greater than 1 to 49
7 and greater than 50 percent, benefited. And the
8 distribution of patients, indicated in the brown
9 brackets underneath the bold type, it was a 2-to-1
10 randomization in the trial, of course.

11 DR. WOZNIAK: Okay. Thank you.

12 I have just one question for the FDA. The
13 sponsor did meet with you once the trial was
14 ongoing and the primary endpoint I think read out.
15 I'm just curious what advice was given at that
16 first meeting, just to clarify.

17 DR. SINGH: Thanks for the question. This
18 is Dr. Harpreet Singh. I'm glad that you brought
19 this up because I found the sponsor's depiction of
20 this to be a bit misleading to the committee. So
21 let me take this moment to clarify.

22 The FDA had no knowledge that this trial was

1 ongoing until the primary result, efficacy results,
2 became available. The trial had completed accrual.
3 They came to us with their progression-free
4 survival results. We told them at that time that
5 there were concerns regarding applicability and
6 generalizability to a U.S. population, and we did
7 discuss the possibility of asking for additional
8 data.

9 We did not elicit exactly what type of data
10 that would look like. This is a topic that has
11 evolved over time within the agency and has
12 involved multiple high-level discussions. But we
13 certainly did express our concerns with the data,
14 with the fact that the study population did not
15 adequately represent the U.S. population, and we
16 invoked the Code of Federal Regulations as you've
17 heard today.

18 So I do want to take this moment to clarify
19 because I do believe that the applicant presented
20 this in a way that was somewhat misleading.

21 I'd like to ask Dr. Julia Beaver to follow
22 up on this topic as well.

1 Dr. Beaver?

2 DR. BEAVER: Hi. This is Julia Beaver, FDA.
3 Yes, along these points, it's really well known
4 across industry that in order to receive formal
5 regulatory advice or potential agreement on a drug
6 development plan, discussion with FDA is critical
7 in a formal setting. And this is the way most
8 programs are developed, as it allows for that
9 mutual understanding of appropriateness of a trial
10 design and formal discussion regarding FDA's
11 opinions, compared to, for example, interpreting
12 informal discussions at a public meeting.

13 Actually, I'd like to ask the applicant a
14 follow-up question because we're still, I think,
15 confused, and we'd like the applicant to comment on
16 why you did not come to FDA for discussion of this
17 trial, either prior to initiation or perhaps
18 earlier on in development, and instead came only
19 after the trial results were obtained.

20 DR. ANDERSON: Thank you for the question.
21 Related to the timing of our interaction and
22 sponsor's interaction with FDA, as we've stated in

1 our presentation, this was an application initially
2 intended for approval in China. After seeing the
3 data and recognizing guidance provided a pathway
4 for use of that data in filings in the U.S., the
5 sponsor sought meeting with the FDA per Code of
6 Federal Regulations, guiding sponsors to have
7 meetings to discuss potential applications prior to
8 submission. So that was the sequence of events.
9 If we mischaracterized that in our presentation, we
10 apologize, as well as with respect to the feedback
11 that was received at that meeting as well.

12 If we might take the opportunity to share
13 feedback that was received at the meeting, at least
14 from our perspective, just to ensure that we're not
15 mischaracterizing that -- slide up -- the feedback
16 that we've been acting on is highlighted here in
17 the slide from the meeting minutes that address the
18 comments that we have been actively discussing with
19 the FDA on the potential for postmarketing data in
20 a population representative of the U.S. population.
21 Thank you.

22 DR. SINGH: This is Harpreet Singh. I find

1 this incredibly misleading. I show you data, word
2 for word, comments from our meetings package from
3 April 2020 in which we use much stronger language
4 invoking the Code of Federal Regulations. So we're
5 happy to break -- the public record and show all of
6 our correspondence, and we can do that.

7 DR. KUNZ: Thank you all. This is Dr. Kunz.
8 We have reached 12:25. I would like to propose
9 that we pause the clarifying questions. We can get
10 back to these. We have taken note of who currently
11 have their hands raised, and we'll get back to that
12 after the open public hearing.

13 We will now break for lunch. We will
14 reconvene in 35 minutes at 1 p.m. Eastern time.
15 Panel members, please remember there should be no
16 chatting or discussion of the meeting topics with
17 other panel members during the lunch break.
18 Additionally, you should plan to rejoin at around
19 12:55 p.m. Eastern to ensure that you are connected
20 before we reconvene at 1 p.m. Thank you very much.

21 (Whereupon, at 12:26 p.m., a lunch recess
22 was taken.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

Open Public Hearing

DR. KUNZ: Welcome back, everyone. I'd like to remind everybody to please mute their lines. This is Dr. Pamela Kunz again. We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses

1 in connection with your participation in the
2 meeting.

3 Likewise, FDA encourages you, at the
4 beginning of your statement, to advise the
5 committee if you do not have any such financial
6 relationships. If you choose not to address this
7 issue of financial relationships at the beginning
8 of your statement, it will not preclude you from
9 speaking.

10 The FDA and this committee place great
11 importance in the open public hearing process. The
12 insights and comments provided can help the agency
13 and this committee in their consideration of the
14 issues before them.

15 That said, in many instances and for many
16 topics, there will be a variety of opinions. One
17 of our goals for today is for this open public
18 hearing to be conducted in a fair and open way,
19 where every participant is listened to carefully
20 and treated with dignity, courtesy, and respect.
21 Therefore, please speak only when recognized by the
22 chairperson. Thank you for your cooperation.

1 Speaker number 1, your audio is connected
2 now. Will speaker number 1 begin and introduce
3 yourself by stating your name and any organization
4 you are representing for the record. Thank you.

5 DR. ZUCKERMAN: Thank you. Can you hear me?

6 DR. KUNZ: Yes, we can. Thank you.

7 DR. ZUCKERMAN: Okay.

8 I'm Dr. Diana Zuckerman, president of the
9 National Center for Health Research. Our center is
10 a non-profit think tank that scrutinizes the safety
11 and effectiveness of medical products, and we don't
12 accept funding from companies that make those
13 products.

14 My expertise is based on postdoctoral
15 training in epidemiology and public health and as a
16 faculty member and researcher at Vassar, Yale, and
17 Harvard. I've also worked at HHS and the White
18 House, and I'm on the board of the non-profit,
19 Alliance for a Stronger FDA, which educates
20 Congress about the need to support the work of the
21 FDA. On a personal note, I am a cancer survivor,
22 so I understand the pressure to find new

1 treatments. My goal today is to be as objective as
2 I can in evaluating the evidence regarding
3 sintilimab.

4 There are many problems with the data
5 supporting this application, but let's start with
6 the first mistake. Number one, the sponsor did not
7 consult with the FDA regarding the trial design or
8 conduct. That's almost always a big mistake, and
9 it definitely is in this case. The result is a
10 very inadequate trial design, including a
11 non-representative group of patients.

12 Number two, most important to me, the study
13 relied on progression-free survival rather than
14 overall survival. We agree with FDA scientists
15 that other drugs in the same class have shown
16 highly significant improvement in overall survival.
17 What matters most to cancer patients is how long
18 they'll live and the quality of their remaining
19 lives, not whether or not they die of the cancer
20 they're being treated for.

21 So what could possibly justify approving a
22 cancer drug that's not as good as those already

1 available for the same indication?

2 Number three, FDA is sometimes flexible
3 about its usual requirements, especially when
4 there's an unmet need. We agree with the FDA
5 scientists that this drug does not address an unmet
6 need, and several treatments proven to improve
7 overall survival are already available. This drug
8 review therefore, quote, "does not warrant
9 regulatory flexibility," unquote.

10 Number four, as you know, the data are all
11 based on patients in China. For the FDA to
12 consider foreign data as the sole basis for
13 marketing approval, the data are supposed to be
14 applicable to the U.S. population and to U.S.
15 medical practice.

16 We agree with the FDA that the data
17 presented today are neither. The population
18 studied is not at all representative of the US'
19 diverse population, and equally problematic, the
20 studies' comparative control arm was based on
21 chemotherapy alone, and that's not consistent with
22 the U.S. standard of care. Therefore, a different

1 control group would be needed to determine the
2 benefits and risks of sintilimab.

3 FDA notes that the studies have not been
4 performed by clinical investigators of recognized
5 competence and that FDA has not had enough contact
6 with the investigators to be confident of their
7 competence, and that's obviously terribly
8 important.

9 Number five, the sponsor has proposed an
10 additional study, but their proposed study does not
11 address the serious design issues that have been
12 criticized at today's meeting. We agree with the
13 FDA reviewers that this additional study does not,
14 quote, "address the concerns regarding endpoint
15 selection," unquote.

16 In conclusion, you've been asked to vote on
17 whether additional clinical trials with data
18 applicable to U.S. patients and U.S. standard of
19 care are necessary before a final regulatory
20 decision is made. I'm very concerned about the
21 inadequate informed consent for patients in this
22 study, as well as other issues that have been

1 raised today. So I hope you'll agree that, yes,
2 additional trials are needed, and they need to
3 address all the major shortcomings of the data
4 submitted so far before the FDA decides whether to
5 approve it.

6 Overall survival is the essential endpoint
7 at a level that's meaningful to patients. The
8 patients studied must be representative of U.S.
9 patients in terms of race, age, and other key
10 variables, and the comparison group needs to have
11 the kind of medical care that's the standard care
12 in the United States.

13 My final note, FDA notes that they have had
14 more than 25 applications whose studies are at
15 least predominantly based on clinical trial data
16 from China. Each study should be evaluated on its
17 own merits, but the FDA's decision regarding
18 sintilimab should not set a precedent for FDA
19 approval decisions of medical products that are not
20 appropriately studied to determine the risks and
21 benefits of patients in the United States. Thank
22 you so much for the opportunity to speak today.

1 **Clarifying Questions to Presenters (continued)**

2 DR. KUNZ: Thank you, Dr. Zuckerman.

3 We have no further speakers for the open
4 public hearing portion. We will now take remaining
5 clarifying questions.

6 Please use the raised-hand icon to indicate
7 that you have a question and remember to put your
8 hand down after you have asked your question.

9 Please remember to state your name for the
10 record -- even after I state your name -- before
11 you speak and direct your question to a specific
12 presenter, if you can. If you wish for a specific
13 slide to be displayed, please let us know the slide
14 number.

15 As a gentle reminder, it would it would be
16 helpful to acknowledge the end of your question
17 with a thank you and end of your follow-up question
18 with, "That is all for my questions," so that we
19 can move on.

20 We previously had -- and I will list in
21 order -- Dr. Conaway, Dr. Madan, Dr. Arscott, and
22 Dr. Dagogo-Jack. We will go in that order.

1 Dr. Conaway, please?

2 DR. CONAWAY: Yes. Mark Conaway, and a
3 question for the applicant.

4 You enrolled in ORIENT-11 397 participants
5 across 48 centers. Can you describe how the
6 enrollment was distributed across them? Was there
7 a majority, say, in a few sites or distributed
8 broadly across the 48 centers? Thank you.

9 DR. ANDERSON: Yes. We can provide that
10 data. Before we get to that, I do want to quickly
11 return to the discussion prior to the break and to
12 be clear.

13 It's certainly not our intent to
14 mischaracterize our interaction with the agency,
15 and I apologize if comments or presentations did
16 not align with the FDA perspective. Our goal is to
17 work in good faith and find a path forward. I just
18 want to make that comment before we provide the
19 details in response to your question.

20 Dr. Ferry?

21 DR. FERRY: David Ferry, Eli Lilly and
22 Company. You asked a question about the

1 distribution of recruitment across patients in the
2 trial. Slide up. This slide shows a bar graph of
3 accrual by site number.

4 Is this the information you request?

5 DR. CONAWAY: Yes.

6 DR. KUNZ: Dr. Conaway, do you have any
7 further questions on these data?

8 DR. CONAWAY: I do not.

9 DR. KUNZ: Okay. Thank you.

10 Dr. Madan, your question is next.

11 DR. MADAN: Yes. Ravi Madan, and just a
12 quick question.

13 This has come up a lot with the PFS
14 endpoint, and we understand the survival data that
15 you've shown. But can you provide any, maybe,
16 understanding of the rationale to choose
17 progression-free survival in a trial that was
18 presumably designed with the intent to show
19 definitive efficacy in a disease state where
20 overall survival was the benchmark,
21 well-established benchmark, for clinical benefit
22 and success? Thank you.

1 DR. ANDERSON: I believe your question was
2 the rationale for the selection of the PFS endpoint
3 to the study. As we mentioned in our presentation,
4 that endpoint was established based on an agreement
5 with local regulatory standards or expectations in
6 China, which is the country where the study was
7 intended to provide the initial approval.

8 Despite the primary endpoint, overall
9 survival was prespecified as a secondary endpoint,
10 and I mentioned earlier, that endpoint, unambiguous
11 in a high degree of patient follow-up, gives us
12 confidence, along with the magnitude of the
13 outcome, that it's unlikely to be a result of
14 chance.

15 DR. SINGH: This is Dr. Harpreet Singh. I
16 would like FDA statisticians to comment on that
17 because we have the lay public listening to this,
18 and I think not everybody understands what it means
19 to have an alpha-controlled endpoint. That's a
20 scientific term, and I want to make sure that we
21 are all very clear that overall survival was purely
22 descriptive, and we cannot do these backwards

1 calculations regarding whether or not overall
2 survival would have been statistically significant
3 if certain analyses were done.

4 I think we have a slide up. Can we put up
5 slide 59 from the FDA? I think we do need to
6 address this for the committee.

7 Dr. Vellanki, do you want to take this?

8 DR. MISHRA-KALYANI: Dr. Singh, this is
9 Pallavi Mishra-Kalyani from FDA statistics. Would
10 you like for me to reply?

11 DR. SINGH: Yes, please. Thank you.

12 DR. MISHRA-KALYANI: Sure. I think
13 Dr. Vellanki was going to indicate a slide.
14 Slide 59 is the slide that I need from the FDA
15 presentation.

16 Thank you very much for holding up this
17 slide. Yes, as Dr. Singh mentioned, the endpoint
18 of overall survival was prespecified as a secondary
19 endpoint of the study, but there was not a formal
20 statistical analysis plan prespecified for this
21 endpoint.

22 The issue with that is that we don't have

1 any concept for how many events or deaths are
2 needed for a robust analysis of this endpoint, so
3 any evidence that we are collecting post hoc, or
4 any observed results post hoc, can't be interpreted
5 with the same amount of rigor as we would in a
6 setting where we were allowed -- or where we have
7 prespecified an analysis plan.

8 For example, in the briefing document, the
9 sponsor indicated that they could have considered
10 conducting different interim analyses with control
11 of type 1 error for these analyses. However, they
12 did not have a prespecified number of deaths for
13 the final analysis, nor did they have a number of
14 analyses to be conducted for OS.

15 So all of these exploratory endpoints don't
16 allow for a typical control of type 1 error, and
17 any post hoc calculations or boundaries are invalid
18 because we didn't have the information that we
19 needed a priori to determine whether or not those
20 boundaries would be accurate.

21 So as highlighted here on this slide,
22 without a detailed and prespecified analysis plan

1 for statistical testing, the post hoc results that
2 we've observed are really only considered
3 hypothesis generating because we don't have the
4 scientific rigor that we need to rely upon
5 considering whether the results are true findings
6 or whether they are observed due to chance. And I
7 can clarify any points here, if needed. Thank you.

8 DR. KUNZ: Thank you very much.

9 Dr. Madan, do you have any further follow-up
10 on that question?

11 DR. MADAN: No, I do not. Thank you.

12 DR. ANDERSON: If the chair would permit, we
13 would like to make some comment regarding our
14 statistical assessment of the endpoint, if
15 appropriate.

16 DR. KUNZ: Can you please identify yourself,
17 and I will allow a brief response.

18 DR. ANDERSON: Dr. Yong Lin?

19 DR. LIN: This is Yong Lin, Eli Lilly and
20 Company, biostatistics. I want to address two
21 questions related to the overall survival analysis.

22 First, we acknowledge that the final overall

1 survival analysis timing has not been defined prior
2 to the interim analysis. However, after the
3 interim analysis, it goes through the protocol
4 amendment to define the final overall analysis
5 timing to be approximately two years after last
6 patients are being randomized; so that will give us
7 a pretty similar majority, comparing to other
8 checkpoint inhibitors in a similar class.

9 Regarding the robustness of the overall
10 survival data, we acknowledge that there is no
11 alpha prespecified, and also we cannot argue the
12 p-value significance as well. However, I want to
13 show you in the sensitivity analysis, the overall
14 survival, as we demonstrated for ORIENT-11, is
15 strong and robust.

16 Slides up. Here on these slides, we
17 presented a retrospective constructive graph of the
18 overall survival p-value over the duration of
19 follow-up since the interim analysis of PFS. This
20 can be a useful indicator for how strong the
21 overall survival results are and how they
22 [inaudible] as the follow-up data [inaudible].

1 The black and the purple lines represent a
2 standard conservative boundary commonly used for
3 significance testing. You can see after the
4 160 overall survival events, the p-value stabilized
5 at a low level, and it's consistently below the
6 boundary representing the typical benchmark for a
7 statistical and a clinical meaningful result.

8 This isn't a case of a lucky trial outcome
9 where the p-value just happens to be looking very
10 good at a specific timepoint of the analysis. The
11 analysis of the p-value over time suggests that
12 there couldn't be any other reasonable
13 interpretation than to say there is a clinical
14 impactful overall survival effect of sintilimab.
15 Thank you.

16 DR. KUNZ: Thank very much.

17 I'd like to remind all of our panel members,
18 applicant, participants, and the FDA to please
19 raise hand in order to best determine order of
20 speakers or questions.

21 I'd like to move next to Dr. Arscott,
22 please.

1 DR. ARSCOTT: Yes. Thank you. This is
2 Dr. Karen Arscott. I'm directing my question
3 towards the FDA, please.

4 As a physician who also is a patient with
5 stage III lung cancer, I was offered the
6 opportunity of curative treatment 15 years ago, and
7 I'm sitting here talking to you as a result of my
8 curative treatment.

9 That stated, I'm concerned with ORIENT-11
10 stating that 3 percent of the patients screened
11 were excluded from the study to the possibility of
12 curative treatment, and I'm wondering what the
13 percentage of curative potential is in the United
14 States for stage IIIB and IIIC lung cancer. If
15 they included people who could have had curative
16 treatment, I believe it may have skewed the
17 results.

18 So that is my question. Thank you so much.

19 DR. SINGH: This is Harpreet Singh. Give me
20 one moment while we identify an FDA oncologist to
21 answer your question.

22 DR. VELLANKI: Hi. This is Paz Vellanki

1 from the FDA. I'm the clinical reviewer for this
2 application. Thank you for your question.

3 Just taking a look at the patient
4 demographics for this trial, I believe there are
5 about 9 percent of patients who had stage IIIB or
6 IIIIC non-small cell lung cancer. So per standard
7 of care in the United States, if patients aren't
8 resectable for their lung cancer, we still are
9 aiming for curative intent therapy. The standard
10 of care in the U.S. at this point is to undergo
11 concurrent chemo radiation, followed by durvalumab.
12 So that was a potential concern for us as well,
13 that there were some patients that might have been
14 denied potentially curative treatment.

15 I would have to talk with the sponsor about
16 what was the standard of care in China at that
17 time. I'm not sure about the availability of that
18 therapy there, so I don't know about the proportion
19 of patients that might not have been eligible for
20 chemo radiation. That information I do not have,
21 so that's something that we could potentially ask
22 the sponsor to address that question as well.

1 Does that answer your question?

2 DR. ARSCOTT: Yes. Thank you very much.

3 DR. KUNZ: Thank you.

4 I'd like to move to Dr. Dagogo-Jack, please.

5 DR. DAGOGO-JACK: Thank you. Ibiayi

6 Dagogo-Jack here. This is a question for the

7 sponsor.

8 It has been stated a few times that this
9 study was initially designed with the rationale to
10 be conducted and to pursue regulatory approval
11 solely in China. I just wanted to get a sense
12 about the rationale behind that and the rationale
13 for now seeking expansion of that indication or the
14 approval. Thank you.

15 DR. ANDERSON: You are correct. The intent,
16 original intent, for ORIENT-11 was to seek approval
17 in China. It was part of a broad program for
18 ORIENT-11. Given the outcome of that study, the
19 magnitude of benefit that was observed in the
20 trial, the regulatory paths that we understood
21 exist to use foreign data for applications in the
22 United States, we made the decision to consult with

1 FDA in a pre-IND and pre-BLA meeting, and
2 subsequent to those interactions made the decision
3 to file. Thank you.

4 DR. KUNZ: Dr. Dagogo-Jack, does that answer
5 your question, or do you have any further?

6 DR. DAGOGO-JACK: It does answer my
7 question. Thank you.

8 DR. KUNZ: Thank you.

9 I'd like to move to Dr. Deeken, please.

10 DR. DEEKEN: Thank you. I have a related
11 question, actually, to this point because there's
12 been a lot of discussions about the timelines here,
13 and I just want to make sure I understand the
14 timelines. This is a question to the sponsor.

15 The early slides identified that the
16 collaboration between the sponsor and Eli Lilly
17 started in 2015. ICH E17 was approved in 2017.
18 The KEYNOTE-189 study was released in April of
19 2018, and the first patient enrolled on ORIENT-11
20 was August of '18, and the first FDA meeting was
21 April 2020.

22 I guess just to follow up on that previous

1 question, from the collaboration, it seems like the
2 intent was still to only seek approval by the China
3 NMDA [ph], and only when the results came out, or
4 was known internally for PFS, was that attention
5 and discussions with FDA initiated.

6 Is that an accurate timeline and summary of
7 the decision with Lilly to engage the FDA after all
8 those different [inaudible]? That's the end of my
9 question. Thank you.

10 DR. ANDERSON: Yes. The decision to
11 investigate opportunities in the United States did
12 follow the outcome of the interim analysis for
13 ORIENT-11, which included the primary endpoint and
14 available survival data at that time. That's
15 correct.

16 DR. KUNZ: Thank you.

17 Dr. Deeken, any further questions?

18 DR. DEEKEN: No. Thank you.

19 DR. KUNZ: Great. Thank you.

20 DR. DEEKEN: Okay.

21 DR. KUNZ: Dr. Cristofanilli, you are next.

22 DR. CRISTOFANILLI: Yes. I have a question

1 for the sponsor, a consideration or question.

2 First of all, we recognize that the drug is
3 efficacious. Clearly, the studies show there is an
4 improvement in progression-free survival, but you
5 also need to recognize that this was not a study
6 that was conducted according to regulation that
7 will allow the approval in the U.S.; but for that
8 matter, for any other country.

9 In fact, even China would not accept a study
10 conducted only in the U.S. without having testing
11 Chinese patients. In fact, I think Dr. Cheng, who
12 is a member of the committee, has done similar
13 things with Merck when he was actually extending
14 KEYNOTE-42 to the Chinese population in order to
15 achieve that approval.

16 So the question is two questions. First of
17 all, are you looking for requesting approval in
18 Europe also based on the fact that you have this
19 strong data that may be supporting approval in other
20 countries outside the U.S.? And second, are you
21 thinking, or have you already planned a different
22 study design compared to the dose finding that you

1 proposed to the FDA?

2 DR. ANDERSON: I'll answer your question
3 regarding our regulatory intent outside of the
4 United States.

5 At this stage, our only application for this
6 study is with the FDA. Regarding your second
7 question, related to alternative study designs, I
8 think one of the key elements of feedback that we
9 received from FDA in our October 2020 meeting was
10 input related to the study design that we propose
11 today.

12 In addition to that, FDA highlighted that
13 the outcome of this meeting may also provide
14 additional direction as to what an appropriate
15 study design might involve. So we look forward to
16 continuing our conversation with FDA, and we
17 believe that the conversation from today's meeting
18 will shape the final proposal here. Thank you.

19 DR. KUNZ: Dr. Cristofanilli, does that
20 answer your question, and do you have any further
21 ones?

22 DR. CRISTOFANILLI: Yes; no other questions.

1 DR. KUNZ: Excellent.

2 There appear to be no further questions or
3 no further raised hands. I'll pause and ask if
4 there are any other questions.

5 Dr. Singh has a question. Please go ahead.

6 DR. SINGH: Yes. This is Harpreet Singh. I
7 have a question to the applicant.

8 As physicians, which we all are, our first
9 obligation really is to patient care, and patients
10 on clinical trials, we all understand, should be
11 getting the best available therapy. But as brought
12 forth today, patients enrolled to the control arm
13 in ORIENT-11 were being denied a known therapy,
14 which conferred survival benefit, and you yourself,
15 the applicant, admitted that the consent form never
16 explicitly addressed this issue, omitted the
17 approval of pembrolizumab not only in China but
18 worldwide corporate stance on this.

19 I feel that this could potentially erode
20 trust in clinical trials. Were you comfortable
21 with the chemotherapy arm that deprived patients of
22 a therapy that prolonged overall survival, and how

1 many times has Eli Lilly conducted trials that have
2 deprived patients of therapies with known survival
3 advantage? Thank you. That ends my question.

4 DR. ANDERSON: I'd like Dr. Matt Rotelli to
5 please respond.

6 DR. ROTELLI: Matt Rotelli, Eli Lilly and
7 Company, bioethics. There are a couple questions
8 in there, and the first was around the company
9 policy.

10 Certainly, it's important for patients to
11 understand there are other treatment options as
12 part of the informed consent process for clinical
13 trials. The ORIENT-11 informed consent document
14 set the expectation for patients that their study
15 doctors would discuss other approaches to treat
16 their disease, as well as any new information that
17 became available.

18 So while the informed consent process was
19 ethical throughout the trial, it would have been
20 ethically preferable for the sponsor to update the
21 trial-level informed consent upon the approval of
22 pembrolizumab as recommended in the ICH guidance.

1 This would have ensured that the IRB, the local
2 IRBs, explicitly made the determination whether it
3 made sense to update the site-specific informed
4 consent accordingly.

5 Now related to the local reviews and the
6 availability, which is a factor in their decisions,
7 you still have to remember that informed consent
8 goes beyond the document and it is a process
9 through which patients need to understand the
10 benefits and risks of the research, as well as the
11 alternatives. Part of this is the documentation,
12 and part of it is also the ongoing interactions
13 between study doctors and patients.

14 The study included all the components for
15 the ethical process. The ICH GCP guidelines
16 include specific informed consent documentation
17 steps under investigator responsibilities with IRB
18 oversight. This content must be appropriate for
19 local needs and requires judgment on both the part
20 of the investigator and the IRB. Investigators or
21 IRBs may not update informed consent documents,
22 even when a new product is approved, if they feel

1 there is limited availability or low likelihood
2 that most patients will have access. Rather, this
3 information can be better disseminated in a
4 conversation with the patients. Thank you.

5 DR. ANDERSON: I'd just like to continue.
6 Of course, Lilly has policies to address this, and
7 Dr. Rotelli can provide that detail in granular
8 form, but I'd like Dr. Lana Shiu from Innovent to
9 characterize the issue in the ORIENT-11 case,
10 please.

11 DR. KUNZ: And please be sure -- this is
12 Dr. Kunz -- that you introduce yourself by name.
13 Thank you.

14 DR. SHIU: Sure. Dr. Lana Shiu, Global
15 Regulatory Affairs for Innovent Biologics. We do
16 want to acknowledge that the trial-level ICF could
17 have been amended when pembro was approved in China
18 so that the local IRBs can make their own
19 assessment whether or not to update the site ICF.

20 Our previous approach, as stated in our ICF,
21 was that the investigators should have the
22 conversation with their patients to communicate

1 available alternative treatments, and later we
2 discovered that this process could be much
3 improved. And now that our process has already
4 been updated, our mandatory documentation of the
5 conversation between the investigator and the
6 patients, and IRB form, can update available
7 treatments as they become available in those
8 countries.

9 We do trust our investigators to be very
10 well experienced in clinical trials in that we do
11 know that patients who withdrew from the ORIENT-11
12 trial have actually undergone other IO treatments,
13 and it's been documented in their hospital records.
14 Thank you.

15 DR. SINGH: This is Harpreet Singh. Thank
16 you for that. I think your response really
17 underscores the FDA positioning that this trial,
18 ORIENT-11, was not conducted in compliance with GCP
19 and with good clinical practice in which a central
20 tenet is adequate informed consent. So thank you
21 so much for your responses. That concludes my
22 question and my response to your remarks. Thank

1 you.

2 DR. PAZDUR: This is Rick Pazdur. I'd like
3 to follow up on that question. I would like this
4 discussed during the discussion period because I
5 feel very uncomfortable about this issue of having
6 a known therapy that has an improvement in median
7 survival of over a year, and patients are not
8 getting it. I'd like some discussion on that part
9 among the committee members.

10 I know that a drug may not have been
11 available commercially there, but I think a
12 discussion of when one has a major sea change in
13 the standard of care, that it isn't just left at
14 hand to have at-random discussions with people. So
15 if we could have some discussion on this whole
16 issue because it will come up with other trials
17 that are emanating from potentially China or other
18 regions.

19 I'd also like to follow up with Lilly with
20 another question, and this is somewhat related.

21 Over the past two years since the pandemic
22 ended, every major cancer society -- ASH, AACR, and

1 ASCO -- has had conferences on ethnic and racial
2 diversity with the intent of increasing racial and
3 ethnic enrollment in clinical trials, and I believe
4 Eli Lilly participated in this. This trial that
5 you presented here is an example of what we call
6 lack of diversity by design. You cannot have any
7 diversity here because it is emanating from one
8 geographic area.

9 Could Lilly comment and reconcile to me why
10 you're making comments on a podium of endorsing
11 racial and ethnic diversity, and then on the other
12 hand submitting this trial to the FDA?

13 DR. ANDERSON: The topic of representative
14 U.S. population that supported this application has
15 been a conversation that has been consistent with
16 FDA over the course of the pre-BLA and our proposal
17 that was shared in our discussions in October. It
18 is a topic in which FDA and Lilly share the clear
19 objective of making improvements to address
20 diversity in clinical trials.

21 DR. PAZDUR: Well, sir, let me --

22 DR. ANDERSON: I'd like to --

1 (Crosstalk.)

2 DR. PAZDUR: -- go ahead.

3 DR. KUNZ: This is Dr. Kunz. Please be sure
4 you're identifying yourself.

5 DR. ANDERSON: Oh, I'm sorry. This is Ben
6 Anderson from Eli Lilly.

7 DR. KUNZ: Thank you.

8 DR. ANDERSON: I'm sorry.

9 I just want to maybe take a step back as I
10 address in more detail Lilly's commitment to
11 diversity in clinical trials to sort of remind us
12 of the point that ORIENT-11 was originally designed
13 to support an approval in China.

14 It was appropriate to enroll a Chinese
15 population for that purpose, and we discussed our
16 assessment based on the outcome of that study, the
17 regulatory paths that we thought available through
18 the Code of Federal Regulations and ICH E5
19 guidance, as well as our assessment for the lack of
20 ethnic sensitivity, and that we brought this
21 application to the FDA, as we've done with our
22 partner Innovent.

1 That said, Lilly has developed a
2 comprehensive set of behaviors to support diversity
3 and equity across not only development programs in
4 oncology but across the entire development
5 portfolio of Lilly. These include best practices
6 for clinical trial design; conduct; investigator
7 site selection; stakeholder engagement, all
8 intended to help drive diversity in our trials.
9 And we're committed to ensuring that we exercise
10 each of those levers in the studies that we've been
11 discussing with FDA related to this application.

12 We're happy to discuss these further and
13 welcome your ideas, as well as the ideas from
14 stakeholders. But while we hold these as core
15 values, we do not believe they should preclude
16 consideration of a previously generated data set
17 that meets the criteria for approval that we
18 believe ORIENT-11 does.

19 DR. PAZDUR: Well, the reason why I'm
20 bringing this up, obviously, is that this is not
21 the only application that is going to be coming
22 from China, and I just want people to have an

1 understanding that if we move in this direction of
2 accepting these applications, of accepting data
3 from one geographic area, this is a step backward
4 in all of our conversations about ethnic and racial
5 diversity. And I'm very unhappy to have this
6 conversation on this month, which is, obviously,
7 Black History Month.

8 I think we really have to do a better job of
9 this. We have several programs at the FDA on this,
10 including Project Equity, trying to address this
11 issue. But this whole issue of a single country
12 that is unrepresentative of the United States and
13 submitting data from this is a step backwards in
14 all of our approaches of addressing this issue, and
15 I think the American public has to know it.

16 We just had a meeting yesterday with
17 external groups to celebrate Black History Month,
18 and the primary thing that many people said -- and
19 I'm sure all of you on this call have heard it that
20 our clinicians -- is we want people that look like
21 us on this trial. And I'd like to emphasize that
22 the representation of ethnic and racial minority

1 groups is not just a biological reason that we want
2 people on these trials, it is to build confidence
3 in the clinical trial system and also a confidence
4 after these drugs are approved, that they should be
5 used in these groups. Actions speak louder than
6 words.

7 I'd like to next ask my second question to
8 Lilly, and this has to do with the issue of
9 80 percent of the clinical trial data being
10 fraudulent found in 2016 by the Chinese regulatory
11 authorities.

12 Did any of your investigators or any sites
13 withdraw voluntarily or were asked by the Chinese
14 FDA to withdraw data; any site investigators,
15 either involuntarily or requested by the Chinese
16 FDA to withdraw data?

17 DR. ANDERSON: We are going to have to
18 follow up and try to confirm that.

19 DR. PAZDUR: Eighty percent of clinical
20 trial data was [inaudible]. We would
21 appreciate --

22 (Crosstalk.)

1 DR. ANDERSON: I'm sorry. Please continue.

2 DR. PAZDUR: We would appreciate that data.

3 Here again, one of the issues that we have is an
4 issue of data integrity, and that needs to be
5 examined. And here again, we look at the past
6 history of clinical trials.

7 As was stated by Dr. Singh, we cannot
8 inspect every site. Inspections are limited. We
9 have to build quality into clinical trials by,
10 number one, having investigators that have
11 experience in clinical trials, that have submitted
12 to the FDA, and also part of that is any sites that
13 have had past regulatory indiscretions. We need to
14 know about that, and it's somewhat unnerving that
15 you don't have that data for us.

16 DR. ANDERSON: Thank you, Dr. Pazdur. We
17 will endeavor to collect the specific numbers. I
18 do want to ensure, though, that it's clear to the
19 panel the quality attributes of ORIENT-11, and some
20 of that data is going to be shared by Dr. Ferry and
21 followed up with Dr. Shiu.

22 DR. FERRY: David Ferry, Eli Lilly and

1 Company. The quality attributes of ORIENT-11 were
2 consistent with the expectations of a phase 3
3 study. Slide up. Here we document the sites and
4 the investigators in ORIENT-11, and Dr. Lana Shiu
5 will now follow up and describe the detail.

6 Dr. Shiu?

7 DR. SHIU: Yes. Dr. Lana Shiu, Global
8 Regulatory Affairs, Innovent Biologics. From this
9 slide that you have shown here, we demonstrate that
10 at least half the sites and quite a bit of our
11 investigators in ORIENT-11 have participated in
12 trials that have led to FDA approval. As you know,
13 in order to obtain FDA approval, many of these
14 sites have actually undergone FDA inspections in
15 the previous years.

16 I also want to make a correction in that the
17 data that you're citing from the British Journal,
18 it was in actually 2016, which was actually more
19 than 6-7 years old. And since then, China has
20 enacted significant reform of those GCP inspection
21 regulations and law.

22 Can we please pull up the slide O-6?

1 Please bear with me.

2 (Pause.)

3 DR. SHIU: Slide up. China, prior to its
4 joining ICH in 2017, underwent significant reform
5 of its GCP inspection regulations and law, and
6 later on enacted it, as you see on the slide in
7 2017, making it a crime, and it's punishable in
8 2017. In 2018, in June, the British Medical
9 Journal actually said in their article, "Due to the
10 strict regulation supervision and high cost of
11 breaking the law, deliberate fraud in China is
12 almost impossible."

13 So we would like to acknowledge that
14 although there has been previous media attention to
15 this in the last seven years, we do want to say
16 that since joining ICH E7, China has adhered to the
17 regulations and laws and has played on an equal
18 footing with all the other international regulatory
19 agencies. Thank you.

20 DR. KUNZ: Thank you.

21 DR. PAZDUR: Nevertheless, I would like to
22 have that data that was alluded to, to be submitted

1 to the FDA.

2 DR. KUNZ: Thank you, Dr. Pazdur.

3 DR. ANDERSON: Thank you. We've
4 acknowledged that, and we'll pursue follow up.

5 DR. KUNZ: Thank you.

6 Before moving to Dr. Cristofanilli, I would
7 like to remind prior question askers to please
8 lower their hand if they have completed their
9 questions.

10 Dr. Cristofanilli, please?

11 DR. CRISTOFANILLI: Yes. I want to go back
12 to what Dr. Pazdur brought up with regard to the
13 appropriate information provided to the patient
14 with availability of agents that may prolong
15 survival. This is a responsibility of the
16 clinicians/investigators, the IRB, but there wasn't
17 any point of IDMC questioning the possibility that,
18 in fact, the treatment that was being delivered to
19 the control arm was actually not ethical. This is
20 a question for the sponsor.

21 DR. ANDERSON: I apologize. It's a
22 difficult connection here. Could you repeat your

1 question, please?

2 DR. CRISTOFANILLI: Yes.

3 With regard to the availability of an
4 approved drug like pembrolizumab for patients with
5 non-small cell lung cancer that would improve the
6 survival of these patients, if there were any
7 questions raised by the IDMC with regard to the
8 ethical continuation of this treatment for the
9 control arm in the study, in the ORIENT-11 study?

10 DR. ANDERSON: I think if I heard correctly,
11 the question is, was there guidance from the IDMC
12 regarding the appropriateness of the control arm at
13 the approval of pembrolizumab? Did I understand
14 that correctly?

15 DR. ANDERSON: Yes. I'd like Dr. Lana Shiu
16 to comment on the guidance from IDMC on that point,
17 if it was so provided.

18 DR. SHIU: Dr. Lana Shiu, Regulatory
19 Affairs, Innovent Biologics. IDMC recommended
20 continual of the study. Thank you.

21 (Pause.)

22 DR. KUNZ: To the sponsor, have you

1 identified who is speaking, please?

2 DR. ANDERSON: They've completed their
3 response to the question.

4 DR. KUNZ: Okay. We will move on. I'd like
5 to move next to Dr. Nieva, please.

6 DR. NIEVA: Yes. To follow up on this
7 issue, I think it's important that we understand
8 how egregious the GCP issue is.

9 Can you comment on, or have any data on, the
10 market penetration of checkpoint inhibitors in lung
11 cancer in China during the final year of the study?

12 DR. ANDERSON: I'll just preface with some
13 detail prior to asking Dr. Lana Shiu to comment.
14 At the point of pembrolizumab approval in China,
15 the ORIENT-11 study was enrolled to about
16 80 percent. The last four months of enrollment,
17 pembrolizumab was approved.

18 I'll ask. Dr. Lana Shiu to comment on
19 accessibility and availability during that period
20 of time.

21 DR. SHIU: Dr. Lana Shiu, Global Regulatory
22 Affairs at Innovent Biologics. You are correct,

1 Dr. Anderson, that 20 percent, which is 84
2 patients, enrolled in the last three to four months
3 of this trial when pembrolizumab was approved in
4 China. And I also want to point out that
5 pembrolizumab was approved in China with only
6 Western data before there was actually any China
7 data.

8 Pembrolizumab in China was not easily
9 accessible because there was only about 30-plus
10 hospitals around China that was actually able to
11 write for pembrolizumab, so it was very, very
12 limited.

13 Also, the local sites also needed to make an
14 assessment of the cost to the patient and whether
15 or not they can have availability, and that is also
16 based on the fact that pembrolizumab cost over half
17 a million RMB per year. So there was limited
18 availability and accessibility to this drug at that
19 time. Thank you.

20 DR. KUNZ: Thank you.

21 Dr. Nieva, does that answer your question?

22 DR. NIEVA: Yes. Thank you.

1 **Questions to the Committee and Discussion**

2 DR. KUNZ: Great. Thank you.

3 At this point, there appear to be no further
4 questions or no other hands raised, so we will move
5 to the next section.

6 The committee will now turn its attention to
7 address the task at hand, the careful consideration
8 of the data before the committee, as well as the
9 public comments. We will now proceed with the
10 questions to the committee and panel discussion.

11 I would like to remind public observers that
12 while this meeting is open for public observation,
13 public attendees may not participate except at the
14 specific request of the panel. After I read each
15 question, we will pause for any discussions or
16 comments concerning its wording, then we will open
17 the question to discussion.

18 Question 1. Discuss the generalizability of
19 ORIENT-11 to a U.S. population and U.S. medical
20 practice. I'd like to first open to see if there
21 are questions or comments concerning the wording of
22 the question.

1 Dr. Pazdur, I see that your hand is raised.
2 You have a question about the wording or --

3 DR. PAZDUR: I just wanted to give some
4 general comments before we begin this discussion of
5 our thinking in the agency over the past couple of
6 years. There was an allusion to some comments that
7 I made at AACR in 2019, and I wanted to address
8 that issue since it has been published in the
9 press, and to note how our thinking has evolved and
10 how the world has really evolved since that time.

11 Since that time, there's been at least seven
12 approvals for non-small cell lung cancer. All of
13 them are based on overall survival. In addition to
14 that, the data, survival data, on pembrolizumab has
15 been updated, which now shows over a year
16 improvement in overall survival.

17 We strongly believe in the FDA that we
18 should not lose this year of overall survival, and
19 that's why we have brought this forward to make
20 sure that people understand that the world has
21 changed here. Comments that were made at an AACR
22 meeting should not be viewed as regulatory policy.

1 Conversations should be held, especially when it
2 regards the regulatory submission of an application
3 within the FDA. But nevertheless, we believe that
4 the landscape has significantly changed since those
5 comments, especially with the demonstration of the
6 overall survival and the maturation of that over
7 time. So the landscape has changed here, folks.

8 Number two, over the past two to three
9 years, especially since the pandemic, this country
10 has experienced significant social change, and
11 there has been a tremendous outcry for diversity in
12 clinical trials and representation. We as a public
13 agency, the FDA, has to adhere to what patients
14 want in the United States. And clearly, as I
15 stated before, we've heard clearly from all patient
16 groups that they want faces like theirs presented
17 in their clinical trials. So we have a huge
18 commitment to diversity.

19 Single-country submissions is a step
20 backward in achieving the racial diversity that we
21 need in the United States, and I just want people
22 to understand that this is going to be a major goal

1 of not only oncology submissions but also the
2 missions throughout the FDA.

3 The third point I want to address with
4 regard to change in our perception of what we want
5 from international trials is this issue of
6 multiregional trials. We want to bring China into
7 the multiregional arena here. We feel that we
8 would all benefit by having China participate fully
9 in multiregional trials with the U.S., with Europe,
10 with South America, Central America, and hopefully
11 Africa.

12 The world will be a better place with having
13 all countries participate in these multiregional
14 trials. Here again, the single-country trials are
15 a step backward in that regard. We don't want to
16 pit one country against the world. We want to have
17 everyone participate together.

18 So as far as our thinking that has evolved,
19 these are three major points that I want to bring
20 out here that have evolved and have changed. The
21 landscape has changed; the world has changed since
22 those comments were made. So here again, the

1 landscape's changed in the treatment of lung
2 cancer. We have mature survival data. We have
3 10 approvals in this disease setting. We have to
4 bring some order to the treatment of lung cancer
5 and have trials that really compare themselves to
6 current standards of care in the United States.

7 Secondly, we have to address this issue of
8 ethnic and racial diversity. This came out in the
9 last two years at every single cancer meeting, and
10 we cannot be deaf to this. Number three, we have
11 to work on having a global regulatory environment.
12 We are all going to be stronger with a global
13 regulatory environment. This will help bring in
14 China to the region. It will build confidence in
15 their clinical trial structure and their results
16 that emanate.

17 The benefits of a multiregional trial was
18 brought out by the FDA, and I'm not going to go
19 over them, but these are the three central issues
20 that I want to bring up here to reflect our
21 evolving thinking on acceptance of foreign data.
22 So I'll return it back to you.

1 DR. KUNZ: Thank you, Dr. Pazdur.

2 At this point, I would like to open the
3 panel specifically to question 1 to discuss the
4 generalizability of ORIENT-11 for the U.S.
5 population and U.S. medical practice.

6 I see that. Dr. Garcia has his hand raised.
7 Please ask your question.

8 DR. GARCIA: Thank you, Dr. Kunz. Jorge
9 Garcia.

10 I don't know if I can just expand or perhaps
11 ask Dr. Pazdur, while we recognize the importance
12 of global practices, I have a feeling, as you
13 indicated and the FDA group has indicated, we will
14 continue seeing single-country trials being
15 presented in the FDA or at the FDA.

16 I wonder if there is any way that policy, or
17 regulatory policy, can be changed until such global
18 community gets formed, if you will, because I think
19 it's very hard to go through these processes,
20 recognizing the need, or lack thereof, of
21 regulatory flexibility. And I think that may be a
22 way to avoid future trial designs that are not

1 consistent with what we're trying to accomplish,
2 certainly in the researcher's space for cancer
3 patients.

4 I wonder if there's a step in the FDA's
5 thinking to change policy, whether it's the CFR 314
6 or the IHC [sic] policies that you guys have.

7 DR. PAZDUR: Well, I think the IHC policy
8 E17 really addresses this, and for people that have
9 not read it, it's really an excellent document. It
10 really addresses the importance of this.

11 I think when sponsors come with a
12 single-country submission, we have to ask ourselves
13 why are they doing this. And if it is to avert
14 doing the appropriate trial that would be done in
15 the United States, and if they're looking at a
16 regulatory loophole because the drug has not been
17 approved, the comparator drug -- the new standard
18 of care had not been approved and they're just
19 doing it in a foreign trial to avoid doing what
20 they would need to do in the United States -- that
21 is extremely problematic, and we really have to
22 address this.

1 DR. SINGH: Dr. Pazdur, this is Dr. Singh.
2 Dr. Garcia, may I just add to that? I think
3 if you read quite carefully the Code of Federal
4 Regulations, actually, in my humble opinion, I
5 don't think it needs to be changed. I think it
6 absolutely covers and allows for applications. It
7 says the nature of the drug and the nature of the
8 data being considered may call for regulatory
9 flexibility.

10 Dr. Vellanki laid out indications, rare
11 indications like nasopharyngeal cancers or even
12 some pediatric tumors, where really it may be very
13 challenging to conduct a multiregional
14 international trial, and we would ask sponsors to
15 come to us a priori and talk to us about it. But I
16 think the Code of Federal Regulations broadly
17 covers both this application in a way that has
18 allowed us to take quite a negative opinion, as you
19 see, but also could take a more favorable opinion
20 where there is flexibility that may be warranted.
21 So we're not moving to change the law. I think it
22 covers all scenarios quite nicely. Thank you.

1 DR. KUNZ: Thank you very much, Dr. Singh.

2 I'd like to ask the panel to please redirect
3 to the question at hand around the generalizability
4 to a U.S. population and U.S. medical practice.

5 Dr. Nieva, did you have a question?

6 DR. NIEVA: Yes. I have a question for
7 Dr. Pazdur and Dr. Singh.

8 DR. KUNZ: Dr. Nieva, is it on this
9 question? We need to really focus on the
10 discussion question right now.

11 DR. NIEVA: Yes. Well, I'll hold it then.
12 Thank you.

13 DR. KUNZ: Okay.

14 We have two questions -- I'll just remind
15 everybody -- prior to the voting question. The
16 goal is to try to have a discussion amongst the
17 panel of these questions prior to the voting
18 question, and we'd like to have a discussion
19 amongst the panel. So let's try to focus on
20 discussing the generalizability.

21 Dr. Madan, I see that you have your hand
22 raised.

1 DR. MADAN: Yes. Ravi Madan. I think from
2 my perspective, it's really hard to generalize this
3 data given that this is a trial that, at least for
4 regulatory purposes leading to approval, wouldn't
5 have been done this way in the United States. I
6 think there are a lot of other issues here to do
7 with country origin, et cetera, but just from a
8 clinical trial standpoint, for me that's a
9 fundamental obstacle in generalizing this to an
10 approval situation.

11 DR. KUNZ: Thank you for comment.

12 I see Dr. Wozniak, please.

13 (No response.)

14 DR. KUNZ: Dr. Wozniak, we cannot hear you
15 yet. Please unmute.

16 (No response.)

17 DR. KUNZ: We can come back to you.

18 I will go to Dr. Lieu next, please.

19 DR. LIEU: Yes. I agree with the comments
20 that have already been made. I think when you look
21 at the mechanism of action and the data that's been
22 shown today, it's going to be hard to believe that

1 the data would be extraordinarily different in the
2 United States population.

3 So I think it potentially has the
4 applicability, but the thing is that it hasn't been
5 proven. So with a single study, single country,
6 with this statement, the generalizability, we don't
7 know the answer to that because it hasn't been
8 proven, although we can make some assumptions. So
9 I think it's problematic from that standpoint.

10 DR. KUNZ: Thank you, Dr. Lieu.

11 I'd like to go to Dr. Deeken, please.

12 DR. DEEKEN: I just want to echo and agree
13 with what's been said. I think that's the key
14 challenge here, is it's not generalizable to the
15 U.S. population, from fewer smokers and a younger
16 population; a big difference in terms of gender
17 representation here was dominantly met, and we
18 didn't see that in 189 and we don't see that in the
19 general population; and obviously to the ethnic and
20 racial disparity that we see here.

21 So I just want to echo and agree with what
22 Dr. Pazdur and others have said. We can

1 hypothesize that it would be applicable, but we
2 don't know that, and that's too big of a leap to
3 make, I think, in an indication application like
4 this. That's the end.

5 DR. KUNZ: Thank you, Dr. Deeken.

6 Dr. Dagogo-Jack, your comments, please?

7 DR. DAGOGO-JACK: Yes. All my comments
8 reflect what was said before in that I think that
9 while it is not inconceivable -- unconceivable or
10 inconceivable, my apologies -- that data would, in
11 the end if applied to the United States or Western
12 population, generate the same outcomes as we've
13 seen with other studies in this space, I think we
14 don't have the data at hand, and I think that the
15 data that were presented to us don't directly draw
16 the conclusion that this is generalizable.

17 DR. KUNZ: Thank you.

18 Dr. Wozniak, we'll come back to you if you
19 can unmute your microphone, please.

20 DR. WOZNIAK: I think I'm unmuted. Can you
21 hear me?

22 DR. KUNZ: Yes, we can.

1 DR. WOZNIAK: Sorry. I have to apologize; I
2 disconnected myself.

3 Anyway, I'd like to echo what everyone else
4 says, and I'd like to emphasize the importance of a
5 multiregional approach to clinical trials because
6 it would generalize the efficacy, the side effects,
7 and also allows patients access to new treatments
8 and allows new investigators to be involved. So I
9 believe that a multiregional approach is the way to
10 go.

11 DR. KUNZ: Thank you very much.

12 Dr. Nieva?

13 DR. NIEVA: Yes. I'm going to dissent a
14 little bit from the discussion. I think our
15 clinical trials have many areas in general
16 [inaudible].

17 DR. KUNZ: Dr. Nieva, we're having a hard
18 time hearing you.

19 (No response.)

20 DR. KUNZ: You may be disconnected, so we'll
21 come back to Dr. Nieva.

22 Dr. Rosko, you are next, please.

1 DR. ROSKO: Thank you. Ashley Rosko, Ohio
2 State here. I just wanted to emphasize the second
3 part about this regarding the generalizability with
4 the U.S. for ORIENT-11 on the U.S. medical
5 practice.

6 I think it's important that supporting a
7 study which undermines the faith, and the rigor,
8 and the clinical trial process in terms of the
9 informed consent would be a major step backwards.
10 Having an informed consent process was described in
11 the China health authority IRB, and it's not in
12 alignment with the U.S. medical practice.

13 I just wanted to emphasize that portion of
14 that and how this would be a major setback for the
15 faith and the rigor of the clinical trial process
16 within the U.S., and again to reiterate that the
17 factors, independent of ethnicity, as has been
18 outlined, such as never smokers, far less women,
19 and a far younger age, is also not generalizable to
20 the U.S. population in a disease that's primarily
21 diagnosed in older adults.

22 DR. KUNZ: Thank you, Dr. Rosko.

1 Dr. Conaway, your comments, please?

2 DR. CONAWAY: Yes. Mark Conaway. I wanted
3 to echo what Dr. Rosko just said. I think the
4 generalizability fails on both of the clauses in
5 the end; that even if this were a study that were
6 done in a study population that matched the U.S.
7 population, which it didn't, the choice of the
8 comparator group makes it not generalizable to U.S.
9 medical practice. That's the end of my comment.

10 DR. KUNZ: Thank you, Dr. Conaway.

11 For Drs. Rosko, Dagogo-Jack, and Conaway, if
12 you have completed your questions, please lower
13 your hand. If you have a further question, you may
14 remain with your hand raised.

15 Dr. Nieva, we'll try to go back to you.

16 DR. NIEVA: Can you hear me now?

17 DR. KUNZ: Yes. Thank you.

18 DR. NIEVA: Okay, great.

19 I'm going to dissent a little bit from the
20 mood of the discussion. I think most of our
21 clinical trials have a significant defect in their
22 generalizability to a U.S. population. We enroll

1 patients in our clinical trials of a higher
2 performance status, a younger age, and a more urban
3 academic oriented setting of different ethnicities,
4 on average, to our clinical trials from a U.S.
5 medical practice.

6 So I think the question here is not whether
7 or not there is perfect generalizability, but is
8 the generalizability too far away from what happens
9 in the U.S. population that it cannot be considered
10 good science? This is not an unknown drug class.
11 We know a lot about this drug class, and I think we
12 know enough that the fact that it was done in an
13 Asian population does not detract from its
14 applicability since we know that the response
15 rates, the pharmacokinetics, and other features of
16 the drug are going to be very similar.

17 With regard to the use of the older
18 comparator arm, I'm not concerned about that
19 because all the approved drugs use the same
20 comparator arm. And I'm concerned that if we don't
21 allow these types of trials for me-too drugs, we're
22 going to be limited in our ability to have more

1 drugs for our patients, and that's going to lead to
2 higher costs, in general, for them. Thank you.
3 That concludes my comment.

4 DR. KUNZ: Thank you, Dr. Nieva, very much.

5 I would like to summarize our discussion for
6 this question before we move to discussion
7 question 2.

8 I would say that the majority of our panel
9 members felt that there was not generalizability of
10 ORIENT-11 to a U.S. population and U.S. medical
11 practice. Though there were some comments that
12 there may be a class effect, it had not yet been
13 proven in a U.S. or Western population.

14 There were also comments that a
15 multiregional clinical trial approach is important
16 and should be embraced, and that in not doing so,
17 it undermines the rigor of the current clinical
18 trial process. I will note Dr. Nieva's comment in
19 dissenting with that.

20 Let's move to question 2, please. Thank
21 you.

22 Question 2 for discussion, discuss potential

1 clinical trials, if any, which may address issues
2 of applicability of ORIENT-11 to a U.S. population.
3 So again, we are discussing potential clinical
4 trials which may address the issues of
5 applicability. I'd like to open this up for panel
6 discussion, please, and this is our last discussion
7 question prior to the voting question.

8 Dr. Nieva, I see your hand still raised. Do
9 you have a comment on this question as well?

10 DR. NIEVA: I do. I think that, obviously,
11 the same design --

12 DR. KUNZ: Actually, Dr. Nieva, if I can
13 interrupt; I forgot to just mention one thing
14 before we go into discussing, so I'll push pause
15 just for a moment.

16 I'd like to ask the panel if there's any
17 question on the wording of the question? Are there
18 any clarifying questions around the wording before
19 we move to Dr. Nieva's comments?

20 (No response.)

21 DR. KUNZ: Okay. It appears it's not.

22 So, Dr. Nieva, please continue.

1 DR. NIEVA: Obviously, the ORIENT-11
2 clinical trial design cannot be done in the United
3 States, but I think there's a great deal of
4 latitude that would be available to understand
5 applicability to the U.S. clinical trial
6 population.

7 There simply could be a randomization
8 against a comparator, where the drug did not need
9 to show necessarily superiority. Additionally,
10 there could be studies done that focus on the
11 specific missing ethnic groups and underrepresented
12 minority populations, that didn't have the
13 opportunity to see the drug before, in order to try
14 to get the kind of fundamental pharmacologic and
15 pharmacodynamic data that would justify ongoing use
16 in the U.S.

17 DR. KUNZ: Thank you, Dr. Nieva.

18 Dr. Lieu, your comment, please?

19 DR. LIEU: When you think about potential
20 clinical trials, this idea of a noninferiority
21 overall survival benefit study, to me, seems rather
22 not feasible, and I'm not necessarily sure that

1 that's a good use of limited resources and,
2 honestly, limited patient participation.

3 Having said that, on the flip side, if you
4 design a one- or two-arm study looking at overall
5 response rate, that level of evidence likely is too
6 low to justify. But to Dr. Nieva's point, I think
7 the data that we have already provides a level of
8 evidence that suggests that this is going to be
9 similar to a lot of the trials that we've already
10 seen in non-small cell lung cancer.

11 But then, how much latitude do you have of
12 maybe not accepting overall response rate, not
13 going all the way to overall survival, which may
14 take close to a decade to do, and what would an
15 endpoint like progression-free survival in a more
16 diverse or United States representative population
17 look like?

18 I think that that should be considered, to
19 find some type of middle ground where you aren't
20 doing some gigantic phase 3 study, but you have
21 enough evidence to justify potential approval.
22 That concludes my comment.

1 DR. KUNZ: Thank you, Dr. Lieu.

2 Dr. Cristofanilli, please?

3 DR. CRISTOFANILLI: Yes. I think the
4 question is can we design a study in a reasonable
5 amount of time to show equivalence in terms of
6 efficacy and safety? And of course, if the primary
7 endpoint is overall survival, you have to make sure
8 that you have an adequate number -- as was just
9 mentioned by Dr. Lieu -- that you [inaudible].

10 So should you be using some statistical
11 design approach that allows looking at maybe two
12 endpoints at the same time, eventually overall
13 survival and progression-free survival, and maybe a
14 2-to-1 randomization, and other approaches that
15 allow those [inaudible] the primary question. And
16 the comparison arm should be the standard of care.
17 That could be any of the checkpoint inhibitors
18 approved in combination with chemotherapy,
19 particularly with the regimen that was approved in
20 ORIENT-11.

21 DR. KUNZ: Thank you, Dr. Cristofanilli.

22 Are you completed?

1 DR. CRISTOFANILLI: Yes.

2 DR. KUNZ: Okay.

3 DR. SINGH: This is Dr. Harpreet Singh. May
4 I interject for one moment?

5 I hear the committee kind of pondering
6 around feasibility of a noninferiority design and
7 the time which it may take, but I am not sure what
8 the rationale is for any urgency to approve this
9 drug. So I'm not certain that the time it may take
10 to conduct what may be considered the right thing
11 to do, or the appropriate thing to do, should be
12 factored in here. That's just something I'd like
13 to ask the committee to consider. Thank you.

14 DR. KUNZ: Thank you, Dr. Singh.

15 We will continue with panel member
16 contributions.

17 Dr. Deeken, you are next, please.

18 DR. DEEKEN: I guess I would pick up on that
19 point. I don't know how we do anything less than a
20 noninferiority overall survival randomized trial to
21 show efficacy and comparability, but I would agree
22 with Dr. Singh that we don't need another trial

1 with another PD-1 inhibitor and with a standard
2 chemo backbone.

3 I guess the encouragement I would have was
4 to try to push the ball forward and see what
5 additional trials and combinations this drug could
6 be with other immunotherapies to try to advance the
7 ball, rather than looking for a me-too trial short
8 of a definitive trial proving efficacy, which at
9 this time would have to be a standard-of-care arm
10 that's chemoimmunotherapy. That's the end of my
11 comment.

12 DR. KUNZ: Thank you, Dr. Deeken.

13 Dr. Wozniak, your comment, please?

14 DR. WOZNIAK: When I was reviewing the data,
15 I actually thought about what kind of trial you
16 could do, and what came to mind is a noninferiority
17 trial. But I realized the number of patients, and
18 it would take a long time, and a lot of patients
19 would need to be involved.

20 I think that the trial proposed by the
21 sponsor probably won't answer the question, so is
22 there a middle ground? I'm not a statistician, and

1 I just wonder whether a trial could be designed
2 with a diverse population and maybe compare certain
3 aspects to standard of care that could be done just
4 to find a middle ground, and I don't really have an
5 answer to that.

6 DR. KUNZ: Thank you, Dr. Wozniak.

7 Dr. Dagogo-Jack, your comments, please?

8 DR. DAGOGO-JACK: Yes. I just wanted to
9 echo what's been said, and particularly what was
10 said by Dr. Singh. I don't think that we should
11 compromise appropriateness for convenience for a
12 study like this, and I think what we've heard
13 across the board and what we've seen with other
14 studies in this space that have gained FDA approval
15 is that OS was the primary endpoint, and I think an
16 ideal study has to have formal powering for an OS
17 endpoint.

18 At the same time, I think that it would be
19 remiss not to acknowledge that we are now kind of
20 existing in a crowded space, so even that estimate
21 of a seven-year enrollment or accrual period, I
22 think that it probably is an underestimate with

1 other competitors in this space.

2 DR. PAZDUR: Could I just jump in here?

3 This is Rick Pazdur.

4 The size of the noninferiority trial depends
5 on percent retention of effect, and we could have
6 discussions about that to limit the size, lowering
7 potentially the percent retention of effect. I
8 don't want to get into the design of any trial, but
9 this idea, basically, of a noninferiority trial and
10 the size can be looked at by determining what you
11 are willing to accept as far as a loss of retention
12 of effect.

13 The trial that was presented by the sponsor
14 had the highest possible retention of effect that
15 was generally what we would recommend, but given
16 the circumstance, with an additional trial here, we
17 could take a look at potentially other issues here.

18 DR. KUNZ: Thank you, Dr. Pazdur.

19 We'll move on to some of the other panel
20 members.

21 Dr. Madan, please.

22 DR. MADAN: Ravi Madan. I want to echo what

1 Dr. Deeken said. I think as opposed to looking for
2 a way to match what has already been done, how can
3 future studies look to improve on the standard? I
4 think that is one path forward here. Then to echo
5 Dr. Singh's point, I agree that we shouldn't
6 sacrifice quality for expediency, especially
7 because it's not just about getting a ball over a
8 goal line; it's having enough data where there's
9 confidence in the practitioners to use it.

10 So your trial has to convey that confidence,
11 and if an alternate endpoint or underpowered study
12 doesn't do that, then it may not convey that
13 confidence, and you don't want other mitigating
14 situations such as cost or something driving people
15 to use something without the sufficient data.

16 Thank you.

17 DR. KUNZ: Thank you very much.

18 Dr. Garcia, please?

19 DR. GARCIA: Thank you, Dr. Kunz. Jorge
20 Garcia. I think what is intriguing to me, as I
21 hear the presentations and the comments from my
22 committee colleagues, is the fact that, to me at

1 least, I don't think I have heard that we're
2 questioning the efficacy and safety of this ORIENT
3 trial, at least the combination of the PD-1 and
4 chemotherapy, but rather I think the fundamental
5 discourse that we're having right now is the makeup
6 of the patient population that was enrolled in the
7 clinical trial. It makes me wonder if we had been
8 presented today with a multiregional ORIENT-11
9 trial, if our discussion would actually be
10 different.

11 So to me, as I think of a trial design, it
12 sounds to me that the trial design really is -- the
13 hallmark of that is really a multiregional,
14 multiracially -- if you allow me to use that
15 expression -- multiethnic clinical trial where we
16 all feel comfortable than what we see right now in
17 this presentation, and could be applicable and
18 could be consistent across many different ethnic
19 groups.

20 I don't think that any of us on the
21 committee -- and certainly I'm not a lung cancer
22 expert -- dispute the safety and efficacy of this

1 combination, granted that ORIENT-11 does not have
2 survival data as of yet. So to me, the question is
3 not so much, or doesn't appear to be, of the
4 efficacy, but rather the makeup of the composition
5 of the clinical trial in question.

6 I don't know, from the statistics
7 perspective or maybe from the FDA perspective, if
8 outside our noninferiority trial, what kind of
9 trial design in a multiregional setting would
10 suffice for looking at safety and efficacy that is
11 consistent with what was presented today.

12 DR. PAZDUR: We really have to discuss that.
13 And here again, I think we can't get into, with
14 limited time here, really designing a trial. What
15 we're really looking for are large concepts that we
16 could take back and discuss internally. Okay? But
17 thank you for your comment.

18 DR. GARCIA: Thank you, Dr. Pazdur.

19 DR. KUNZ: Thank you.

20 We will go to Dr. Arscott next, please.

21 DR. ARSCOTT: Yes. Thank you. This is
22 Karen Arscott. I'm a physician and the patient

1 representative, and I feel obligated to respond to
2 the discussion about the clinical trials.

3 I think that if I was given the option, I
4 would struggle with joining a noninferiority trial.
5 I would probably prefer to go with the trial that
6 was completed -- or medication that was completed
7 in the demographic in which I fall, where I have
8 some knowns; or I think it was mentioned by some of
9 my other colleagues about taking this and moving it
10 as a jumping-off point to try to improve upon the
11 results of this therapy.

12 I just thought I should make a point that I
13 don't know about a noninferiority trial at this
14 point. It would take a long time, and I would
15 struggle with signing on for something like that at
16 this point. Thank you.

17 DR. KUNZ: Thank you, Dr. Arscott.

18 Dr. Madan, you still have your hand raised.
19 Do you have another comment?

20 DR. MADAN: No. That's a mistake. I
21 apologize.

22 DR. KUNZ: Okay. No worries.

1 Dr. Sung, you had your hand raised
2 previously. Do you have a comment?

3 DR. SUNG: I was just going to respond to
4 Dr. Garcia, but Dr. Pazdur seemed to suggest that
5 that would be outside the scope, so I think I'm ok.
6 I lowered my hand.

7 DR. KUNZ: Okay. Great. Thank you.

8 If there are no further questions from panel
9 members, I'd like to just briefly summarize the
10 conversation.

11 I think along the lines of Dr. Pazdur's
12 comment, we are not out of scope to exactly design
13 a new clinical trial, but I'll just summarize I
14 think there was consensus on a desire to have a
15 multiregional diverse population. There's
16 recognition that this is already a crowded space.
17 I think where there was lack of consensus is
18 specifically around the type of study design,
19 whether it be a noninferiority or a standard
20 design, looking for efficacy of one arm over
21 another and a desire to move the field forward with
22 a novel combination.

1 Those were things that were all discussed,
2 in addition to should there be a meeting in the
3 middle, some middle ground, recognizing that there
4 is likely a class effect with this agent, and is
5 there an opportunity to look at progression-free
6 survival in a U.S. population; so no consensus on
7 the specific trial design, but a robust
8 conversation.

9 Dr. Pazdur, did you have any further
10 comments? And then we will move to question 3.

11 DR. PAZDUR: No, I don't. Thank you.

12 DR. KUNZ: Okay. Great. Thank you.

13 So we will now move on to the next question,
14 which is a voting question. Commander Bonner will
15 provide the instructions for the voting.

16 CDR BONNER: Thank you. Commander Bonner.

17 Question 3 is a voting question. Voting
18 members will use the Adobe Connect platform to
19 submit their votes for this meeting. After the
20 chairperson has read the voting question into the
21 record and all questions and discussion regarding
22 the wording of the vote question are complete, the

1 chairperson will announce that voting will begin.

2 If you are voting member, you will be moved
3 to a breakout room. A new display will appear
4 where you can submit your vote. There will be no
5 discussion in the breakout room. You should select
6 the radio button that is the round circle button in
7 the window that corresponds to your vote, yes, no,
8 or abstain. You should not leave the "no vote"
9 choice selected.

10 Please note that you do not need to submit
11 or send your vote. Again, you need only to select
12 the radio button that corresponds to your vote.
13 You will have the opportunity to change your vote
14 until the vote is announced as closed. Once all
15 voting members have selected their vote, I will
16 announce that the vote is closed.

17 Next, the vote question will be displayed on
18 the screen. I will read the vote results from the
19 screen into the record. The chairperson will go
20 down the roster and each voting member will state
21 their name and their vote into the record. You can
22 also state the reason why you voted as you did, if

1 you choose to.

2 Are there any questions about the voting
3 process before we begin?

4 (No response.)

5 CDR BONNER: Okay. I will now turn the
6 meeting back over to our chair.

7 DR. KUNZ: Thank you, Commander Bonner.

8 Question 3 is the voting question. Should
9 additional clinical trials demonstrating
10 applicability to U.S. patients and U.S. medical
11 care be required prior to a final regulatory
12 decision?

13 I'm going to ask if there are any questions
14 or comments concerning the wording of the question?

15 (No response.)

16 DR. KUNZ: If there are no further questions
17 or comments, we will now begin the voting.

18 CDR BONNER: Commander Bonner. We will now
19 move voting members to the voting breakout room to
20 vote. There will be no discussion in the voting
21 breakout room.

22 (Voting.)

1 CDR BONNER: The voting has closed and is
2 now complete. Once the vote results display, I
3 will read the vote result into the record.

4 (Pause.)

5 CDR BONNER: The vote results are
6 displayed. I will read the vote totals into the
7 record: 14 yeses, 1 no. The chairperson will go
8 down the list and each voting member will state
9 their name and their vote into the record. You can
10 also state the reason why you voted as you did, if
11 you want to, however, you should also address any
12 subparts of the voting question, if any

13 I return this meeting back to the chair.

14 Thank you.

15 DR. KUNZ: Thank you, Commander Bonner.

16 We will now go down the list and have
17 everyone who voted state their name and vote into
18 the record. You may also provide justification for
19 your vote, if you wish to. We'll start with
20 Dr. Garcia.

21 DR. GARCIA: Thank you, Dr. Kunz.

22 Jorge Garcia. I voted yes, additional

1 trials are required prior to U.S. regulatory
2 approval. I don't think the applicant and their
3 data can be applicable to our U.S. patient
4 population. I have to admit that I'm disappointed
5 to hear the lack of engagement between the
6 applicant and the sponsor early on during the trial
7 design. I would like to believe that if those
8 meetings were held, we probably wouldn't be
9 actually having this conversation today. Thank
10 you.

11 DR. KUNZ: Thank you, Dr. Garcia.

12 Mr. Mitchell? And I'll remind everyone,
13 please state your name first.

14 MR. MITCHELL: I'm David Mitchell. I voted
15 yes. There's no need for regulatory flexibility
16 because this application does not address an unmet
17 need. We have treatments that are safe and
18 effective and show an improvement in overall
19 survival, rather than this drug which was tested
20 against a primary endpoint of progression-free
21 survival and not against current standard of care,
22 but instead against chemo and a placebo.

1 At a time when the FDA and the industry are
2 trying to increase diversity in clinical trials to
3 ensure they are representative of the patient
4 population to be treated, it makes no sense to move
5 in the opposite direction with this application.
6 Thank you.

7 DR. KUNZ: Thank you, Mr. Mitchell.

8 Dr. Cristofanilli?

9 DR. CRISTOFANILLI: I voted yes for reasons
10 that were discussed, primarily because this was a
11 single-country ran study and doesn't apply to the
12 variety of diversity that we are in the U.S., and
13 in other countries, for that matter.

14 We should actually support and recommend
15 that this be followed since the beginning of the
16 design of the initial studies. Then of course, for
17 the primary endpoint, there was not overall
18 survival and progression-free survival.

19 DR. KUNZ: Thank you.

20 Dr. Rosko?

21 DR. ROSKO: Hi. Ashley Rosko. I voted yes.
22 My vote reflects my concern on the clinical trial

1 integrity, particularly as it applies to the
2 informed consent process, and also is supported by
3 the prior comments regarding diversity and clinical
4 trial inclusion.

5 DR. KUNZ: Thank you.

6 Dr. Deeken?

7 DR. DEEKEN: I voted yes as well. I do not
8 think it's applicable to a U.S. population. It
9 needs a more diverse, as well as gender balance, in
10 terms of the patients we have here in the U.S. It
11 doesn't meet an unmet need. It didn't have overall
12 survival. I'm concerned about the inclusion of
13 III3 B and C patients. I'm concerned about the
14 reporting of adverse events, and very concerned
15 about the patients who were enrolled to the
16 standard-of-care arm after pembrolizumab was
17 approved in China.

18 So I voted yes, that additional studies with
19 a diverse population are required before a final
20 regulatory decision is made. Thank you.

21 DR. KUNZ: Thank you.

22 Dr. Arscott?

1 DR. ARSCOTT: Karen Arscott. I voted yes
2 for the reasons stated previously, and in addition
3 because of the inclusion of IIIB and IIIC patients
4 who could have had curative treatment, and yet were
5 included within this trial. Thank you.

6 DR. KUNZ: Thank you.

7 Dr. Dagogo-Jack?

8 DR. DAGOGO-JACK: Ibiayi Dagogo-Jack. I
9 voted yes because, in my opinion, the value of a
10 well-designed, multiregional clinical trial and the
11 importance, as Dr. Pazdur stated, of the charge to
12 have more diverse clinical trials I think was
13 central to my vote. I believe the data that were
14 presented don't support the applicability of
15 ORIENT-11 findings to the diverse more
16 heterogeneous U.S. population, and the primary
17 endpoint of PFS, in my opinion, is a step
18 backwards.

19 DR. KUNZ: Thank you.

20 Dr. Conaway?

21 DR. CONAWAY: Mark Conaway. I voted yes.
22 There should be additional trials required that

1 provide a direct comparison of safety and efficacy
2 of the proposed regimen to the current standard of
3 care that's relevant in the U.S. population.

4 DR. KUNZ: Thank you.

5 Dr. Lieu?

6 DR. LIEU: This is Chris Lieu, and I voted
7 yes. I will echo what's already been said.
8 There's no need for regulatory flexibility in this
9 situation. The applicability I think is still
10 questionable. I have no concerns regarding
11 competence, but there was certainly a concern
12 regarding FDA validation. I do think an additional
13 study is warranted.

14 I would again stress I think that this is a
15 known entity, and there's already a body of
16 evidence that is available. And I have concerns
17 about forcing a noninferiority seven-plus year
18 study as a confirmatory study, but would hope that
19 the FDA and the applicant would be able to work
20 towards a potentially more feasible and efficient
21 solution.

22 DR. KUNZ: Thank you.

1 Dr. Wozniak?

2 DR. WOZNIAK: Yes. Antoinette Wozniak. I
3 voted yes. I think my issues were that this wasn't
4 a multiregional trial and it lacked diversity. I
5 echo everything everyone else said. I think that
6 maybe discussions with the FDA regarding an
7 additional trial that would promote the diversity
8 will be useful, and I think that's it.

9 DR. KUNZ: Thank you.

10 Dr. Nieva?

11 DR. NIEVA: George Nieva. I voted no. This
12 drug works, adding value over chemotherapy alone in
13 the first-line therapy of advanced lung cancer
14 patients. We have no evidence that the data
15 presented is unreliable, synthetic, or otherwise
16 fraudulent. We have adequate FDA inspections that
17 were not hampered. If more inspections were
18 needed, it is expected the FDA would have performed
19 them. The PFS endpoint is appropriate with a
20 crossover design. OS findings appear clear with no
21 identified issues in randomization or blinding that
22 would have raised questions about this.

1 I don't believe we have an excess number of
2 drugs for lung cancer. If we did, we would have
3 seen downward pricing pressure by now, and there
4 has not been; nor is our job to decide how many
5 drugs is too many. Rather, it's our job to
6 determine if drugs are safe and effective.

7 Regarding the need for resolving health
8 equity issues in the U.S., health equity I think
9 will improve when there are fewer cost barriers to
10 care, and having more drugs competing for those
11 same patients will have, I think, greater impact on
12 equity than the need for diversity in clinical
13 trial enrollment, which I believe is important.

14 Multiregional clinical trials are ideal, but
15 I do not believe they should be a fixed requirement
16 for approval. Performing these trials requires a
17 global infrastructure, and it creates unnecessary
18 barriers to entry for new drugs, small firms, and
19 eliminates middle-income countries from developing
20 their own pharma drugs developed in nations that
21 don't have access to new drugs, and this study is
22 an example of that effect.

1 I think me-too drugs are good things. They
2 bring down drug prices and increase access to care
3 for all patients. It seems the chief sin that the
4 applicant has committed is not doing things the way
5 the FDA would like it to have been done. They
6 failed to show a proper process, not that they
7 failed scientifically. I think the FDA should be
8 in the business of evaluating their science and not
9 the process, unless the process used compromise the
10 science.

11 So in not following the FDA process, the
12 applicant has made the job of the FDA harder. And
13 as the FDA has structured its approach to data
14 integrity, as Dr. Pazdur pointed out, on the
15 ability to make comparisons across national borders
16 and look for irregularities, I think there needs to
17 be some additional thinking on how well, other than
18 MRCTs, we can overcome this risk. I don't think
19 that's a sufficient concern that should impact
20 approval in this case. That's the end of my
21 statement.

22 DR. KUNZ: Thank you, Dr. Nieva.

1 Dr. Advani?

2 DR. ADVANI: This is Ranjana Advani. I
3 voted yes. Basically, I would echo the talks of my
4 colleagues who have voted yes, too, for the same
5 reasons. Thank you.

6 DR. KUNZ: Thank you.

7 Dr. Madan?

8 DR. MADAN: This is Ravi Madan. I voted
9 yes. This study was not intended to lead to
10 approval in the United States. The primary
11 endpoint therefore was not appropriate in
12 progression-free survival, so for me, that's a
13 fundamental issue. And while there is OS data,
14 overall survival data, it lacks really the
15 necessary robust statistical design.

16 I would also like to say that while data
17 integrity is of utmost importance in clinical
18 research, moral integrity is of greater importance.
19 And we really need to do a better job to make
20 sure -- especially in all clinical research, but
21 especially in large studies like this -- that
22 patients have the appropriate informed consent that

1 is updated as needed over time. Thank you.

2 DR. KUNZ: Thank you.

3 Dr. Sung?

4 DR. SUNG: Anthony Sung. I voted yes.

5 While I agree with Dr. Nieva that this drug
6 probably works, that is not the question we were
7 asked to vote on, and I do believe there are
8 problems with the process used, as others have
9 mentioned, with the informed consent.

10 Although there's been discussion of FDA
11 regulations and procedures, I think these are the
12 regulations in place. We are not here to change
13 those regulations, but advise on whether or not we
14 think this process has been consistent with those
15 established guidelines, and I do not think that the
16 processes in this study were consistent with those
17 guidelines.

18 DR. KUNZ: Thank you, Dr. Sung.

19 This, again, is Dr. Pamela Kunz. I also
20 voted yes for many of the reasons previously
21 stated. I will spend just a moment to briefly
22 summarize the panel's discussion here.

1 Though this vote was not unanimous, at a
2 vote of 14 yes to 1 no, I believe this does
3 represent some consensus around the question,
4 should additional clinical trials, demonstrating
5 applicability to U.S. patients and U.S. medical
6 care be required?

7 Key points around this included a need for
8 multiregional trials to promote diversity of
9 clinical trial participants and the fact that
10 progression-free survival was not an optimal
11 primary endpoint. Additionally, the sponsor did
12 not get input early from the FDA and that the
13 original intent of the trial was for regulatory
14 approval in China. And lastly, there were some
15 concerns raised around informed consent not being
16 updated when standard of care changed.

17 I'd like to thank everybody for a robust
18 discussion. Before we adjourn I'd like to ask if
19 there are any last comments from the FDA?

20 DR. PAZDUR: No.

21 DR. SINGH: This is Harpreet Singh. I just
22 appreciate the committee's time, and consideration,

1 and thoughtful comments. Thank you.

2 DR. KUNZ: Thank you, everybody.

3 I'd like to thank all participants today for
4 a robust, respectful, thorough discussion, and I
5 would like to now adjourn the meeting. Thank you
6 very much.

7 **Adjournment**

8 (Whereupon, at 2:46 p.m., the meeting was
9 adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22