

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
73rd Cellular, Tissue, and Gene Therapies Advisory
Committee (CTGTAC) Meeting**

2-DAY OPEN PUBLIC MEETING

**Web-Conference
Silver Spring, Maryland 20993**

June 30, 2022

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

COMMITTEE MEMBERS	
Lisa Butterfield, Ph.D.	Parker Institute for Cancer Immunotherapy
Tabassum Ahsan, Ph.D.	City of Hope
Marshall Bloom, M.D.	Rocky Mountain Laboratories, National Institute of Allergy and Infectious Diseases, National Institute of Health
Bernard Fox, Jr., Ph.D.	Providence Portland Medical Center
Jeannette Yen Lee, Ph.D.	University of Arkansas for Medical Sciences
Sean Morrison, Ph.D.	University of Texas Southwestern Medical Center
Joseph Wu, M.D., Ph.D.	Stanford University
TEMPORARY VOTING MEMBERS	
Hugh Auchincloss, M.D.	National Institute of Allergy and Infectious Diseases National Institutes of Health
Sridhar Basavaraju, M.D.	Centers for Disease Control and Prevention Atlanta
Paul Conway	Patient Representative
Matthew Cooper, M.D.	Georgetown University School of Medicine
Eric Crombez, M.D.	Ultragenyx Gene Therapy
Jay Fishman, M.D.	Massachusetts General Hospital
Paul Kimmel, M.D., M.AC.P., F.A.S.N.	National Institute of Diabetes and Digestive and Kidney Diseases, National Institutes of Health
Samantha Maragh, Ph.D.	National Institute of Standards & Technology
Kathleen O'Sullivan-Fortin, Esq.	Consumer Representative ALD CONNECT, INC.
Paul Palevsky, M.D.	Pittsburgh School of Medicine

Caroline Zeiss, BVSc, Dip ACVP & ACLAM, PhD	Yale University School of Medicine
GUEST SPEAKERS	
Kristi Helke, DVM, Ph.D., DACVP	Medical University of South Carolina
Richard Pierson, III, M.D.	Mass General Hospital
Eckard Wolf, DVM	Ludwig-Maximilians-Universität München, Germany
FDA PARTICIPANTS/SPEAKERS	
Peter Marks, M.D., Ph.D.	Food and Drug Administration
Steven Bauer, Ph.D.	Food and Drug Administration
Wilson, Bryan, M.D.	Food and Drug Administration
Judith Arcidiacono, M.S.	Food and Drug Administration
Tejashri Purohit-Sheth, M.D.	Food and Drug Administration
Elizabeth Hart, M.D.	Food and Drug Administration
Adnan Jaigirdar, M.D., FACS	Food and Drug Administration
Patricia Beaston, M.D., Ph.D.	Food and Drug Administration
Steven Oh, Ph.D.	Food and Drug Administration
Deborah Hursh, Ph.D.	Food and Drug Administration
FDA ADMINISTRATIVE STAFF	
Prabhakara Atreya, Ph.D.	Food and Drug Administration

Christina Vert, M.S.	Food and Drug Administration
Joanne Lipkind, M.S.	Food and Drug Administration
Sussan Paydar, Ph.D.	Food and Drug Administration
Michael Kawczynski	Food and Drug Administration
PUBLIC COMMENTERS	
Dr. Eliezer Katz	eGenesis
Dr. Sanjoy Dutta	JDRF International

TABLE OF CONTENTS

OPENING REMARKS: CALL TO ORDER AND WELCOME.....	6
ADMINISTRATIVE REMARKS, ROLL CALL, INTRODUCTION OF COMMITTEE, CONFLICT OF INTEREST STATEMENT	7
INVITED SPEAKER PRESENTATION: ORGAN REJECTION	21
Q&A SESSION	43
INVITED SPEAKER PRESENTATION: GENETICALLY MODIFIED PIGS FOR XENOTRANSPLANTATION	54
Q&A SESSION	77
COMMITTEE DISCUSSION QUESTION #4.....	83
OPEN PUBLIC HEARING.....	127
FDA PRESENTATION: FUNCTIONAL STUDIES OF PIG ORGANS.....	139
Q&A.....	148
INVITED SPEAKER: PIG TOXICOLOGY STUDIES.....	150
Q&A.....	180
COMMITTEE DISCUSSION OF QUESTION #5 & 6.....	185
CLOSING REMARKS/ADJOURNMENT.....	246

1 **OPENING REMARKS: CALL TO ORDER AND WELCOME**

2

3 **MR. MICHAEL KAWCZYNSKI:** Good morning, and
4 welcome to FDA's 73rd meeting of the Cellular, Tissue,
5 and Gene Therapies Advisory Committee meeting. I am
6 Mike Kawczynski, along with my DFO Christina Vert, and
7 today's chair which is -- Dr. Lisa Butterfield will be
8 managing today's meetings. Please note that, again,
9 this is Day 2 of this series. We are a live public
10 meeting, so please note if we do run into any technical
11 issues, just like many of you have, we may take a
12 momentary pause just to address that, so that you the
13 viewers do not miss any of the content.

14 So, with that being said, I am going to hand
15 it off to our chair, Dr. Lisa Butterfield. Dr.
16 Butterfield, are you ready?

17 **DR. LISA BUTTERFIELD:** Yes, I am, Michael.
18 Thank you. All right, good morning, everyone. I'm
19 Lisa Butterfield, welcome to Day 2 of our discussion
20 about xenotransplantation. I'd like to welcome all the
21 members, the temporary members, all the participants,

1 and the public who are viewing us remotely today.

2 A bit of housekeeping, I'm going to remind
3 everyone that I will be watching for those raised
4 hands, and that's how we'll know to call on you. We're
5 looking forward to another very robust day of
6 discussion on this important topic.

7 So, to get things started, I'd like to turn
8 things over to our designated federal officer,
9 Christina Vert.

10

11 **ADMINISTRATIVE REMARKS, ROLL CALL, INTRODUCTION OF**
12 **COMMITTEE, CONFLICT OF INTEREST STATEMENT**

13

14 **MS. CHRISTINA VERT:** Thank you, Dr.
15 Butterfield. Good morning, everyone. This is
16 Christina Vert, and it is my great honor to serve as
17 the designated federal officer, DFO, for today's 73rd
18 Cellular, Tissue, and Gene Therapies Advisory Committee
19 meeting. On behalf of the FDA, the Center for
20 Biologics Evaluation and Research, and the Committee, I
21 am happy to welcome everyone for today's virtual

1 meeting.

2 Today, the Committee will meet in open session
3 to continue to discuss regulatory expectations for
4 xenotransplantation products. The Committee will
5 continue Session 2 to discuss and make recommendations
6 on animal organ and cells for transplantation into
7 human subjects and their associated risk.

8 Today's meeting and the topic were announced
9 in the Federal Registry Notice that was published on
10 May 31st, 2022.

11 I would now like to introduce and acknowledge
12 the excellent contributions of the staff in the
13 Division of the Scientific Advisors and Consultants,
14 including our director, Dr. Prabha Atreya, who is my
15 backup and co-DFO for this meeting. Other staff are
16 Ms. Joanne Lipkind, Ms. Tonica Burke, Ms. LaShawn
17 Marks, Dr. Sussan Paydar, and Ms. Karen Thomas. They
18 provide excellent support for this meeting. I'd also
19 like to thank Mike Kawczynski in facilitating the
20 meeting today.

21 Also, our sincere gratitude goes to many CBER

1 and FDA staff working hard behind the scenes trying to
2 ensure that today's virtual meeting will also be a
3 successful one. Please direct any press media
4 questions for today's meeting to FDA's office of media
5 affairs at fdaoma@fda.hhs.gov. The transcriptionist
6 for today's meeting is Ms. Ora Giles.

7 We will begin today's meeting by taking a
8 formal roll call for the Committee members and
9 temporary members. When it is your turn, please make
10 sure your video camera is on and you're unmuted and
11 state your first and last name, your organization,
12 expertise or role. When finished, you can turn your
13 camera off so we can proceed to the next person.
14 Please see the member roster slides in which we'll
15 begin with the chair, Dr. Butterfield.

16 **DR. LISA BUTTERFIELD:** Thank you, Christina.
17 I'm Lisa Butterfield. I'm the vice president of
18 research and development at the Parker Institute for
19 Cancer Immunotherapy and an adjunct professor of
20 microbiology and immunology at University of
21 California, San Francisco. My expertise is in cancer

1 vaccination, immune biomarkers, and cell therapies.

2 **MS. CHRISTINA VERT:** Thank you. Dr. Ahsan.

3 **DR. TABASSUM AHSAN:** I'm Tabby Ahsan. I'm
4 vice president of cell and gene therapy at City of
5 Hope. I'm a bioengineer by training. I have a long
6 history in tissue engineering, stem cell regenerative
7 medicine, and the last few years in immunotherapy.

8 **MS. CHRISTINA VERT:** Thank you. Dr. Bloom.

9 **DR. MARSHALL BLOOM:** Hi. My name's Marshall
10 Bloom. I'm the associate director for scientific
11 management at the Rocky Mountain Laboratories of the
12 National Institute of Allergy and Infectious Diseases
13 located in western Montana -- in Hamilton, Montana --
14 the place where Yellowstone is being filmed. And I'm
15 an expert in virology and viral diseases. Thanks.

16 **MS. CHRISTINA VERT:** Thank you. Dr. Fox.

17 **DR. BERNARD FOX:** Yeah. Good morning. My
18 name is Bernard Fox. I'm the Harter Family Chair for
19 cancer research at the Early Child's Research
20 Institute. I'm a member and chief of the Laboratory of
21 Molecular and Tumor Immunology, and it's at Providence

1 Medical Center in Portland, Oregon. My area of
2 expertise is in cancer immunotherapy, tumor models,
3 cancer vaccines, adoptive immunotherapy.

4 **MS. CHRISTINA VERT:** Thank you. Dr. Lee.

5 **DR. JEANNETTE LEE:** Good morning. I'm
6 Jeannette Lee. I am a professor of biostatistics and a
7 member of the Windsor P. Rockefeller Cancer Institute
8 at the University of Arkansas for Medical Sciences.
9 Thank you.

10 **MS. CHRISTINA VERT:** Thank you. Dr. Morrison.

11 **DR. SEAN MORRISON:** Good morning, everybody.
12 I'm Sean Morrison. I direct Children's Research
13 Institute at the University of Texas, Southwestern
14 Medical Center in Dallas, and my expertise is in stem
15 cells and cancer.

16 **MS. CHRISTINA VERT:** Thank you. Dr. Wu.

17 **DR. JOSEPH WU:** Good morning. I'm Joe Wu.
18 I'm a cardiologist. I am a professor and director of
19 Stanford Cardiovascular Institute. My expertise is in
20 cardiac and tissue engineering, stem cells, and gene
21 therapy.

1 **MS. CHRISTINA VERT:** Thank you. Dr.
2 Auchincloss.

3 **DR. HUGH AUCHINCLOSS:** Good morning. My
4 name's Hugh Auchincloss. I'm the deputy director at
5 the National Institute of Allergy and Infectious
6 Diseases. And my expertise is in the immune response
7 to xenotransplants.

8 **MS. CHRISTINA VERT:** Thank you. Dr.
9 Basavaraju.

10 **DR. SRIDHAR BASAVARAJU:** I'm Sridhar
11 Basavaraju, director of the CDC Office of Blood,
12 Organs, and Other Tissue Safety.

13 **MS. CHRISTINA VERT:** Thank you. Mr. Conway.

14 **MR. PAUL CONWAY:** My name is Paul Conway. I
15 serve as the chair of policy and global affairs for the
16 American Association of Kidney Patients. I've been a
17 kidney patient for 42 years. I spent three years on
18 the organ donor waiting list while I did dialysis and
19 I've had a kidney transplant for 25 years. I work in
20 federal policy and regulation. Thank you.

21 **MS. CHRISTINA VERT:** Thank you. Dr. Cooper.

1 **DR. MATTHEW COOPER:** Good morning, everyone.
2 I'm Matthew Cooper. I'm a clinical transplant surgeon,
3 Director of kidney and pancreas transplantation at the
4 Medstar Georgetown Transplant Institute. I'm also the
5 current president for the Organ Procurement and
6 Transplantation Network, and the United Network for
7 Organ Sharing.

8 **MS. CHRISTINA VERT:** Thank you. Dr. Crombez.

9 **DR. ERIC CROMBEZ:** Good morning. I'm Eric
10 Crombez. I'm chief medical officer of Gene Therapy and
11 Inborn Errors of Metabolism at Ultragenyx, and I'll be
12 serving as the industry representative at today's
13 meeting.

14 **MS. CHRISTINA VERT:** Thank you. Dr. Fishman.

15 **DR. JAY FISHMAN:** Good morning. I'm Jay
16 Fishman. I'm professor of medicine at Harvard Medical
17 School, director of the Transplant Infectious Disease
18 Program at Massachusetts General Hospital, associate
19 director of the MGH Transplant Center, and my expertise
20 is in infections of the immunocompromised hosts and
21 infections associated with xenotransplantation.

1 **MS. CHRISTINA VERT:** Thank you. Dr. Kimmel.

2 **DR. PAUL KIMMEL:** Hi, I'm Paul Kimmel. I'm a
3 senior advisor at the National Institute of Diabetes,
4 Digestive, and Kidney Diseases. I'm a clinical
5 professor emeritus at George Washington University. My
6 expertise is clinical nephrology.

7 **MS. CHRISTINA VERT:** Thank you. Dr. Maragh.

8 **DR. SAMANTHA MARAGH:** Good morning. I'm
9 Samantha Maragh. I'm a human geneticist and molecular
10 biologist at the U.S. National Institute of Standards
11 and Technology, and there I lead the Biomarker and
12 Genomic Sciences Group as well as the genome-editing
13 program. And my expertise is in bioassays,
14 particularly nucleic acid measurements and genome
15 editing.

16 **MS. CHRISTINA VERT:** Thank you. Cathleen
17 O'Sullivan-Fortin.

18 **DR. KATHLEEN O' SULLIVAN-FORTIN:** Hi, good
19 morning. I'm Kathleen O'Sullivan-Fortin. I'm the
20 consumer representative for this meeting. I'm the co-
21 founder and general counsel of ALD CONNECT, and my

1 expertise is in rare disease advocacy and as a rare
2 disease patient.

3 **MS. CHRISTINA VERT:** Thank you. Dr. Palevsky.
4 Dr. Palevsky? Paul Palevsky? There we go.

5 **DR. PAUL PALEVSKY:** Hi, I'm Paul Palevsky.
6 I'm professor of medicine at the University of
7 Pittsburg. I'm chief of the Kidney Medicine Section at
8 the VA Pittsburg Healthcare System, and deputy national
9 program director for the VA's Kidney Medicine Program.
10 I'm a clinical nephrologist, and I'm currently
11 president of the National Kidney Foundation.

12 **MS. CHRISTINA VERT:** Thank you. Dr. Zeiss.

13 **DR. CAROLINE ZEISS:** Hi, I'm Caroline Zeiss.
14 I'm a professor of comparative medicine at Yale
15 University. I'm a laboratory animal veterinarian and
16 an anatomic pathologist. And my research expertise is
17 in translational animal models. Thank you.

18 **MS. CHRISTINA VERT:** Thank you. Thank you,
19 for your introductions. I would also like to
20 acknowledge CBER leadership, including Dr. Marks and
21 Dr. Bryan, who may be present now or joining the

1 meeting later today at other times.

2 I will now proceed with the reading of the
3 conflict of interest statement for the public record.
4 Thank you.

5 The Food and Drug Administration, FDA, is
6 convening virtually today June 30th, 2022, for the 73rd
7 meeting of the Cellular, Tissue, and Gene Therapies
8 Advisory Committee under the authority of the Federal
9 Advisory Committee Act, FACA, of 1972. Dr. Lisa
10 Butterfield is serving as the chair for today's
11 meeting. The CTGAT Committee will meet in open session
12 today to discuss the current regulatory expectations
13 for xenotransplantation products.

14 The Committee will continue Session 2 to
15 discuss and make recommendations on animal organ and
16 cells for transplantation into human subjects and their
17 associated risks. The topic is determined to be a
18 particular matter of general applicability.

19 With exception of the industry representative
20 member, all standing and temporary non-voting members
21 of CTGAC are appointed as special government employees

1 (SGEs) or regular government employees (RGEs) from
2 other agencies and are subject to federal conflict of
3 interest laws and regulations.

4 The following information on the status of
5 this Committee's compliance with federal ethics and
6 conflicts of interest laws include, but are not limited
7 to, 18 U.S.C. Section 208 is being provided to
8 participants in today's meeting and to the public.

9 Related to the discussions at this meeting,
10 all members, RGE, and SGE consultants of this Committee
11 have been screened for potential financial conflicts of
12 interest of their own as well as those imputed to them,
13 including those of their spouse or minor children and,
14 for the purposes of 18 U.S. Code Section 208, their
15 employers. These interests may include investments,
16 consulting, expert witness testimony, contracts and
17 grants, cooperative research and development agreements
18 (CRADAs), teaching, speaking, writing, patents, and
19 royalties, and primary employment.

20 These may include interests that are current
21 or under negotiation. FDA has determined that all

1 members of this Advisory Committee, both regular and
2 temporary members, are in compliance with federal
3 ethics and conflicts of interest laws.

4 Under 18 U.S. Code Section 208, Congress has
5 authorized FDA to grant waivers to special government
6 employees who have financial conflicts of interest when
7 it is determined that the Agency's need for a special
8 government employee's service outweighs the potential
9 for a conflict of interest created by the financial
10 interest involved or when the interest of a regular
11 government employee is not so substantial as to be
12 deemed likely to affect the integrity of the services
13 which the government may expect from the employee.

14 Based on today's agenda and all financial
15 interests reported by Committee members and
16 consultants, no conflict of interest waivers were
17 issued under 18 U.S. Code Section 208 in connection
18 with this meeting.

19 We have the following consultants serving as
20 temporary voting members: Dr. Hugh Auchincloss, Dr.
21 Sridhar Basavaraju, Dr. Matthew Cooper, Dr. Jay

1 Fishman, Dr. Paul Kimmel, Dr. Samantha Maragh, Dr. Paul
2 Palevsky, and Dr. Caroline Zeiss.

3 We have one patient representative, namely Mr.
4 Paul Conway, serving as a temporary voting member. Ms.
5 Kathleen O'Sullivan-Fortin is serving as the temporary
6 consumer representative for this Committee meeting.
7 Consumer representatives are appointed special
8 government employees and are screened and cleared prior
9 to their participation in the meeting. They are voting
10 members of the Committee.

11 Dr. Eric Crombez of Ultragenyx Gene Therapy
12 will serve as the alternate and temporary industry
13 representative for today's meeting. Industry
14 representatives are not appointed as special government
15 employees and serve only as non-voting members of the
16 Committee. Industry representatives act on behalf of
17 all related industry and bring general industry
18 perspectives to the Committee. Industry
19 representatives on this Committee are not screened, do
20 not participate in any closed sessions, if held, and do
21 not have voting privileges.

1 The guest speakers for this meeting are the
2 following today: Dr. Kristi Helke, Director of the
3 Medical University of South Carolina, veterinary
4 diagnostic laboratory; Dr. Richard Pierson III,
5 Scientific Director, Center for Transplant Sciences,
6 Massachusetts General Hospital; and Dr. Eckhard Wolf,
7 Professor at the Gene Center and Department of
8 Biochemistry at the University of Munich in Germany.

9 Disclosure of conflict of interest for
10 speakers and guest speakers follows applicable federal
11 laws, regulations, and FDA guidance. FDA encourages
12 all meeting participants, including Open Public Hearing
13 speakers, to advise the Committee of any financial
14 relationships that they may have with any affected
15 firms, its products, and if known, its direct
16 competitors.

17 We would like to remind standing and temporary
18 members that if the discussions involve any other
19 products or firms not already on the agenda for which
20 an FDA participant has a personal or imputed financial
21 interest, the participant needs to inform the DFO and

1 exclude themselves from the discussion and their
2 exclusion will be noted for the record.

3 This concludes my reading of the conflict of
4 interest statement for the public record. At this
5 time, I'd like to hand over the meeting to Dr. Lisa
6 Butterfield. Thank you.

7 **DR. LISA BUTTERFIELD:** Terrific. Thanks very,
8 much, Christina. All right, well, now we move to
9 Question 4 over our two-day meeting and to start things
10 off we have a presentation from Dr. Pierson from Mass
11 General on organ rejection. Dr. Pierson, please.

12

13 **INVITED SPEAKER PRESENTATION: ORGAN REJECTION**

14

15 **DR. RICHARD PIERSON:** Good morning and thank
16 you for the privilege of the floor. My conflicts of
17 interest are listed at the bottom of the slide. My
18 task today, as assigned, is to review immunosuppression
19 as it's been studied in preclinical transplant models
20 and to discuss also the potential for tolerance
21 induction as a valid approach to accomplishing safe and

1 successful xenotransplantation.

2 Based on the wonderful presentations
3 yesterday, it will be no secret to people on this
4 presentation that the reason that we're pursuing
5 xenotransplantation is that it offers the prospect of
6 solving the critical shortage of suitable organs --
7 human organs -- for available for transplantation. Our
8 goal is to provide healthy, genetically engineered pigs
9 that will allow us to safely provide life-supporting
10 treatments for patients with end-stage organ disease.

11 The advantage of this approach is that we can
12 define the quality of that graft ahead of the
13 transplant. The organ can be obtained in the absence
14 of the deleterious consequences associated with brain
15 death in human donors and avoiding human diseases --
16 human infectious diseases, in particular.

17 By sourcing animals from SPF, specific
18 pathogen-free facilities, we can minimize the risk of
19 infectious complications. This was discussed at length
20 yesterday and will obviously be an important discussion
21 for the Committee.

1 Pigs were chosen among potential donor species
2 because of their short gestational period, rapid growth
3 to adult human size, and multiparous characteristics.
4 Because of these features, once we've identified pigs
5 with suitable genetics as organ donors for humans, the
6 supply is potentially unlimited, and their organs can
7 then be available when needed.

8 The ability to know when the transplant is
9 going to occur facilitates conditioning of the donor
10 and the recipient so as to optimize results,
11 potentially allowing us to reduce the immunosuppressive
12 burden. Eventually, we hope to induce tolerance as a
13 strategy for optimizing results with minimal long-term
14 consequences for our recipients.

15 What are the risks? These were well-reviewed
16 yesterday by Dr. Fishman, Dr. Denner, and the other
17 speakers. That was a great conversation, and I won't
18 dwell therefore on the contents of this slide. The
19 other potential risk that I would put forward is the
20 need for us to pay attention to equitable access to
21 this potential life-saving therapy, both in the phase

1 where we're still conducting research to optimize
2 outcomes, and also then, once it's successful, to be
3 sure that both the supply can meet the demand and that
4 financial resources and other societal constraints do
5 not limit access to this potentially life-saving
6 therapy.

7 I'd like to review some of the recent progress
8 in xenotransplantation. My talk will, by necessity,
9 overlaps somewhat with Dr. Wolf's designated subject,
10 which was to review the various genetic engineering
11 modifications that have been introduced that have been
12 associated with such significant progress in the
13 preclinical model, and I apologize for that overlap.

14 But by necessity to talk about
15 immunosuppression and what we've learned in that
16 context, there is an intersection with the effects of
17 genetic modifications. And so as I say I apologize for
18 that overlap, but I hope that I will be able to clearly
19 articulate what we have learned about the particular
20 characteristics of xenotransplantation with respect to
21 the important role of co-stimulation pathway blockade

1 in particular.

2 Finally, on a heart-specific point, there's
3 evidence that ischemia minimization is a critical
4 feature, at least for the heart. This may be
5 generalizable to other organs as well, but that remains
6 to be determined, and I won't dwell forward on the
7 infectious disease aspects, but those, of course, are
8 quite important.

9 Our efforts over the past 25 years in working
10 on the pig-to-human xenotransplant opportunity have
11 been very much focused on understanding the mechanisms
12 that lead to the entry of an organ xenograft when it's
13 exposed to human blood. That starts with the preformed
14 antibodies present in almost all humans that recognize
15 particularly carbohydrate antigens on the surface of
16 pig's endothelial cells. That triggers complement
17 binding as well as cell-mediated injury mechanisms both
18 of which are important to triggering initial injury of
19 the graft within minutes or hours.

20 In addition, both as a consequence of
21 antibody-mediated endothelial activation and

1 complement-triggered injury to the endothelium,
2 clotting cascades are activated which lead to the
3 clinical phenotype of graft infarction and thrombus
4 within the blood vessels as well as endothelial
5 activation and leakage of plasma and then whole blood
6 into the tissue of the graft.

7 Finally, one of the earliest pathways
8 identified in xenotransplant injury relates to the
9 absence of self-recognition receptors with a critical
10 role for NK cells and monocyte-macrophage populations
11 in being activated in the absence of self-recognition
12 signals related to HLA-E and CD47 as examples listed
13 here.

14 So, over the course of the past 20 years, we
15 have focused first on the preformed antibody and
16 complement by either absorbing the antibody by
17 administering complement inhibitors. What we learned
18 in that context is that, even when we did those things,
19 we were still seeing graft injury delayed in phenotype
20 but still with graft injury occurring quite quickly.

21 And so, with the advances in genetic

1 engineering, fast-forward 15/20 years, we've learned to
2 knock out, first, one and then multiple of the
3 carbohydrate-related genes, the Gal-13
4 Galactosyltransferase, the CMAH knockout which relates
5 to the new 5GC antigen and the beta 4Gal gene which
6 relates to the SDA antigen with the Gal being the most
7 important.

8 With one or more of these genes knocked out,
9 we reduce the importance, in fact, eliminate the
10 importance of the preformed anticarbohydrate antibodies
11 and, as I will illustrate in the next slide, that is
12 associated with substantial improvement in graft
13 behavior and survival in our preclinical transplant
14 models. There are, in many humans, still antibodies
15 observed that cross-react with even triple knockout pig
16 endothelial cells.

17 For that reason, we have, in addition to the
18 carbohydrate knockout genes, introduced human
19 complement-regulatory genes which particularly when
20 expressed at high levels are quite efficient to down-
21 regulate the complement activation cascade and confer

1 by themselves substantial projection. And in the
2 context of the carbohydrate gene knockouts, substantial
3 additional projection, not only to preformed antibody
4 but potentially also to elicited antibody in case the
5 immune suppression administered is insufficient or
6 ineffective.

7 And so let me illustrate now the progress
8 that's been made over the past several years and begin
9 to come to the subject of my talk, which is the role of
10 various immunosuppressive regimens to modulate the
11 immune response to an organ xenograft. I'm using here
12 primarily the example of hearts because that's where
13 the data is most robust and easily compared across
14 different regimens.

15 The dotted line, the lowest in the legend,
16 refers to wild-type pigs, and you can see that, when we
17 transplant a pig heart into a cynomolgus monkey or a
18 baboon -- most commonly baboons -- and use conventional
19 treatment, most grafts -- three-quarters -- are dead
20 within a week or two, and that's in spite of a variety
21 of different approaches to adds or about antipig

1 antibodies or to use carbohydrate-blocking molecules as
2 well as complement inhibitors. So, the best we could
3 do in the hands of very competent investigators never
4 got us past one month.

5 With the emergence of genetic engineering to
6 add complement regulatory proteins to pigs in the
7 setting of either conventional treatment or anti-CD154
8 co-stimulation pathway blockade, between 20 percent and
9 35 percent of animals could be prolonged beyond one
10 month, but attrition by two months was still quite high
11 and long-term survivals were quite exceptional.

12 You can see that in some of those groups the
13 experience was really quite extensive. You'll note the
14 end of 90 in the complement regulatory protein with
15 conventional treatment. The Gal knockout was a major
16 breakthrough, and you can see that in the solid line
17 when Gal knockout hearts were transplanted in the
18 context of co-stimulation pathway blockade, 75 percent
19 of the grafts spread out to two months, and a minority
20 of grafts were able to be prolonged beyond three months
21 and even as long as almost six months.

1 So, that was a substantial step forward. I
2 would also point out that the Mayo Clinic was able,
3 with a carbohydrate blocking molecule and human
4 membrane cofactor protein complement regulatory
5 molecule expression, to achieve similar results based
6 on conventional immunosuppression in the substantial
7 series of experiments.

8 So what was limiting in that model is
9 illustrated here, primarily thrombotic microangiopathy
10 -- formation of clots within the blood vessels to the
11 graft as well as leak of plasma proteins and, as you
12 can see here, red cells into the (inaudible) of the
13 graft.

14 In addition, the consumptive coagulopathy was
15 observed in the recipients quite frequently with
16 thrombocytopenia and hemorrhagic complications away
17 from the site of the graft. That combination of
18 phenomena was not prevented even when we took Gal
19 knockout organs that expressed CD46 and used the most
20 effective -- in my view -- immunosuppressive approach
21 to the anti-CD154 blockade combined with induction

1 therapy including ATG and anti-CD20, and as you can
2 see, again, a pretty substantial series of experiments
3 -- fourteen achieved occasional long-term survivors.

4 But you can see with the red horizontal lines
5 the incidents of thrombotic microangiopathy, and
6 consumptive coagulopathy limited the duration of the
7 experiments even in the absence of a detectible immune
8 response to the xenograft. That problem then led us to
9 focus on the coagulation cascade and to introduce human
10 transgenes, particularly thrombomodulin but also
11 endothelial protein C receptor.

12 And I won't take your time today to go through
13 the mechanistic basis for this, but our preclinical
14 results in the lung model would suggest that those two
15 together provide better protection than either by
16 itself in the lung model. Whereas in the heart model,
17 thrombomodulin seems to be quite important and
18 effective.

19 That's illustrated here in the breakthrough
20 work reported by Muhammad Mohiuddin from working at
21 that point in the NIH when thrombomodulin was

1 additionally expressed in the context of the Gal
2 knockout and CD46 and using a co-stimulation pathway-
3 based regimen with CD40 on anti-CD40 antibody, and the
4 only other immunosuppression given to these animals
5 other than the CD40 blockade was mycophenolate mofetil
6 and low-dose steroids.

7 They did have an induction regimen with ATG
8 and anti-CD20, and in that context, they were able to
9 consistently achieve graft survivals beyond 150 days.

10 Illustrated at the top left -- I'm sorry that
11 this is small in size, but I'll zoom in on an important
12 feature of this in the next slide. In the green line
13 when immunosuppression was down titrated at about 90
14 days, one graft was lost at about 150 days and the
15 other went to about 250 days. When the reduction in
16 immunosuppression was delayed to about 400 days or 500
17 days, the graft continued to function for longer
18 periods of time out to 600 days and almost a thousand
19 days.

20 Zooming in, you can see the IgM response on
21 the top and the IgG response to pig donor cells. You

1 can see that during treatment, consistently the immune
2 response was controlled. Whereas when the treatment
3 was discontinued, illustrated with the horizontal dash
4 green line or the horizontal dashed red line, the
5 immune response was detectible relatively shortly
6 thereafter and that was associated with demise of the
7 graft.

8 So here, this work illustrated effective
9 control of the antipig immune response in the absence
10 of any instances of thrombotic microangiopathy or
11 consumptive coagulopathy. In the view of the field,
12 this was truly a disruptive and innovative and
13 effective contribution.

14 So what had we learned at that point from this
15 work regarding the mechanisms of Gal knockout human
16 complement factor regulatory heart injury? The
17 modification of carbohydrate gene knockout, at least in
18 the context of T and B cell depletion induction
19 treatment at the time of transplant coupled with either
20 CD40 or CD154 blocked immunosuppression, was able to
21 efficiently prevent elicited antipig antibody and

1 complement-related injury.

2 The consumptive coagulopathy, which had
3 previously plagued us, is efficiently controlled by the
4 additional expression of the thrombomodulin gene at
5 least in the context of the complement regulatory
6 protein and, of course, effective immunosuppression. I
7 think Eckhard will talk more about the perioperative
8 xenograft dysfunction, but I will talk about it a
9 little bit in the subsequent slide and come back to
10 that.

11 So, what about orthotopic heart transplants?
12 Everything I've shown you so far is just observing
13 whether the heart is still beating in the abdomen in a
14 non-working mode? What about life-supporting function
15 of the heart xenograft? The state of the art in 2017
16 had moved very little forward from the results
17 published in 1999 from the group in Cambridge where
18 they used an hDAF expressing heart in a monkey and used
19 conventional -- I believe it was actually baboon -- and
20 conventional immunosuppression and had survival beyond
21 one month. Ended up with a healthy animal up until the

1 demise of immunologic causes.

2 After the extensive work by Chris McGregor and
3 then replicated by Muhammad Mohiuddin and Bruno
4 Reichart working in Germany -- at the NIH and in
5 Germany respectively -- consistently found that more
6 than half of the animals transplanted with a pig heart,
7 even in the hands of very competent sophisticated heart
8 surgeons, were unable to get -- about 50 percent of
9 them were unable to survive the surgical procedure.
10 And survival of more than 14 days was rare associated
11 with inflammation in the host and in the recipient and
12 graft injury. In addition, graft hypertrophy was
13 identified when Bruno did some experiments in the
14 heterotopic heart model.

15 Those problems were overcome in another
16 landmark paper published from Bruno Reichart's group in
17 Munich that Eckhard will tell you more about in the
18 subsequent slide.

19 But this work truly was a breakthrough,
20 showing that with the same basic immunosuppressive
21 regimen that Muhammad Mohiuddin used: perioperative

1 induction therapy with ATG and B cell depletion, and
2 then either a CD40 or CD154 targeting monoclonal
3 antibody treatment. That, with additional MMF and
4 steroids -- tapered steroids -- the grafts were
5 consistently protected from immune injury in the
6 absence of detectible elicited antibody.

7 They did use anti-inflammatory treatments in
8 addition, based on work from David Cooper's group
9 showing that IL6, TNF, and IL1 were elevated around the
10 time of transplant. And with that combination of
11 treatments plus using erythromycin to retard graft
12 growth, they were able to get consistent survival to 90
13 days or 180 days limited mainly by regulatory
14 resistance to letting them carry the animals out
15 further.

16 And they had since replicated that in a
17 consistent series which has met the ISHLT's 2000
18 recommendations for six out of ten -- in fact they got
19 six out of eight -- long-term survivors. And the only
20 grafts that they lost in that series of eight
21 consecutive experiments using a consistent regimen were

1 two that had pig CMV activation, which I think was
2 discussed yesterday, and illustrates, again, the great
3 importance of excluding pig CMV from the donor in both
4 preclinically and in clinical application.

5 Muhammad Mohiuddin was able to replicate this
6 as David Cooper has been able to -- not included on the
7 slide -- with survivors up to nine months as the
8 longest yet. And in the main, all of these groups have
9 used co-stimulation pathway blockade, T and B cell
10 depletion as induction therapy, chronic treatment with
11 mycophenolate mofetil, and in some instances with mTOR
12 inhibitor to inhibit growth of the graft. Importantly
13 in at least two groups -- the Mohiuddin group and the
14 Reichart group -- have found that the ischemia
15 minimization strategy is essential to prevent primary
16 cardiac xenograft dysfunction.

17 Importantly, consumptive coagulopathy and
18 thrombotic microangiopathy, nor other evidence like
19 chronic vasculopathy have not been observed when Gal
20 knockout parts combined with the CD46 complement
21 regulator and thrombomodulin. Those problems have not

1 been encountered -- consistently not been encountered.
2 And finally, the graft hypertrophy seems to be
3 inhibited with the mTOR inhibitor.

4 I'll turn briefly now to kidney work. You can
5 see that, as I illustrated for the hearts, when wild-
6 type organs -- illustrated by the dotted line -- are
7 used, it was rare to get a subject out beyond two
8 weeks. Not much better results with hDAF complement
9 regulator by itself. Gal knockout did a little bit
10 better, but only ten percent of the grafts got out past
11 a month with conventional immunosuppression.

12 Importantly, when immunosuppression was
13 switched to complement pathway regulatory blockade with
14 CD154, 65 or 75 percent of the grafts bowed out to a
15 month, and a minority out to nearly three months. So
16 that, I think, is the best illustration head to head of
17 the relative efficacy of CD154 blockade. Proteinuria
18 was the main limiting factor seen in a lot of these
19 experiments.

20 Importantly, then, in recent work that some of
21 the groups who generated this preliminary data, upon

1 which this slide is based, have now extended these
2 observations and unpublished work. But suffice it to
3 say that it appears reproducible that, by additionally
4 knocking out the beta 4Gal antigen or depleting CD4 T
5 cells as opposed to both CD4 and CD8 T cells in a
6 double transgenic animal or blocking CD28 or just with
7 Gal knockout CD55 and CD154 blockade, that consistent
8 long term graft survival -- in some instances out to
9 several years -- can be accomplished with the important
10 caveat that these results are only achieved in animals
11 that have low preformed titers of antibody to their
12 donor pig.

13 So some of those results are illustrated here
14 from the Emory group and you can see that with CD4
15 depletion combined with CD154 blockade, two out of
16 three animals had long-term graft survival beyond a
17 year, whereas if the CD8 T cells were depleted, no such
18 results were achieved. It is important to note that
19 when the crossmatch was positive, survival beyond two
20 weeks was quite unusual even with the full
21 immunosuppressant regimen.

1 The MGH group has not yet published their
2 data, but suffice it to say, that using a regimen,
3 which has been described in the literature that is very
4 similar to what I've just illustrated for the heart --
5 T cell and B cell induction treatment, mycophenolate
6 mofetil, tapered steroids, and erythromycin -- that
7 they have gotten multiple survivors out past 300 days
8 and consistent survival I would say beyond 150 days.
9 That work should be prepared for publication soon.

10 So, briefly at the end, I'll turn to
11 xenotransplantation to tolerance induction. David
12 Sachs, Megan Sykes, Kazukiko Yamada have made major
13 contributions in this area over 20 years and have
14 developed a tolerance induction regimen based on mixed
15 hematopoietic chimerism. They use inbred, genetically
16 defined swine leucocyte antigen-defined pigs which have
17 the Gal knockout and CD55 human transgene.

18 They have recently done work showing that the
19 CD47 gene added to these pigs is protective and enables
20 improved duration of bone marrow and graft using micro-
21 chimerism, and you'll note that the regimen that

1 they're using based on CD154 and tapering
2 immunosuppression is quite similar to that that I've
3 illustrated in for the heart for multiple groups.

4 And even in the lung, they've been able to get
5 survivors out to about two weeks and the thymoglobulin
6 -- sorry, there's a typo -- they've gotten out to
7 almost 200 days with immunomodulation that transplants
8 the pig's thymus under the kidney capsule as a co-
9 graft, and that strategy appears to be very promising
10 as a platform.

11 So, with the right pigs and with
12 immunosuppression such as I've described for you here,
13 it would appear that the prospects for both successful
14 immunosuppression and tolerance in xenotransplantation
15 are quite good, and it would be a regimen like this
16 that many of us feel that we would be able to make a
17 case for going forward to the clinic.

18 What I think we've demonstrated, what I hope
19 I've convinced you of, is that the co-stimulation-based
20 immunosuppression is effective to protect a xenograft
21 from immune injury, at least, in the context of the Gal

1 knockout and complement regulatory protein-expressing
2 pig that also expressed thrombomodulin.

3 We do now have examples of less complicated
4 genetics, specifically Gal knockout with beta 4Gal
5 knockout or Gal knockout with human complement
6 regulatory protein expression, that at least in the
7 context of a negative preoperative crossmatch is
8 associated with long-term graft survival in expanding
9 series in the hands of multiple investigators.

10 And importantly acute cellular rejection is
11 rarely seen with this regimen and consumptive
12 coagulopathy and thrombotic microangiopathy in the
13 heart or proteinuria in the kidney are consistently not
14 seen in the recent experiences.

15 Important things that we do not know are
16 whether induction therapy is necessary for these
17 results. Also important, we don't know -- it has not
18 been directly studied whether calcineurin inhibitors or
19 conventional immunosuppression could be used to
20 substitute for co-stimulation pathway blockade in every
21 one of these models. But where these have been

1 compared head-to-head, co-stimulation pathway blockade
2 appears to be more effective than calcineurin
3 inhibitors in particular.

4 And again, the necessity for MMF and mTOR in
5 long-term outcomes has not yet been rigorously proven,
6 although the Germans have shown that mTOR weaning out
7 toward the end of the experiment was associated with
8 graft hypertrophy which would imply that that is going
9 to be necessary, at least for the heart. And I would
10 argue the tolerance may be achievable with certain
11 genetic modifications in that mixed hematopoietic
12 chimerism model.

13 Thank you for your attention, and I'd be happy
14 to take questions now or at the end.

15

16

Q&A SESSION

17

18 **DR. LISA BUTTERFIELD:** Thank you very much.
19 That was very interesting and a lot of important
20 progress over the last few years. So, thank you for
21 summarizing that for everyone on the Committee. We do

1 have about ten minutes for questions, and so why don't
2 we go to first Dr. Denner, then Dr. Cooper. Dr.
3 Denner.

4 **DR. JOACHIM DENNER:** Thank you very much for
5 this nice talk. My question concerns the problems with
6 coagulation. Would it be possible that in the early
7 experiments, problems with coagulation may be due to an
8 unrecognized, undetected infection with pCMV?

9 **DR. RICHARD PIERSON:** That is possible. Jay
10 Fishman will be able to answer this better -- more
11 accurately than I. But even in instances, particularly
12 in the kidney where pCMV was not demonstrated -- and I
13 think he mentioned that data to some extent yesterday -
14 - the grafts were lost early if pCMV was detected, but
15 grafts were still lost later in the absence of pCMV
16 evidence of detectible pCMV activation. And, as I say,
17 it was mainly proteinuria in the kidney experience, but
18 I believe that was also seen in the hearts.

19 So, I think that while avoiding pCMV is
20 clearly going to be important, I don't think it
21 accounts for all the coagulation pathway dysregulation

1 that was seen. And I think that Muhammad Mohiuddin's
2 work at the NIH used pigs which were from Revivicor's
3 facility and were demonstrated to be CMV free,
4 specifically designed because of that concern. And the
5 consumptive coagulopathy without human thrombomodulin
6 was still consistently seen. So, I think that it can't
7 be excluded. It's hard to prove a negative, but I do
8 believe that the coagulation pathway regulation is
9 independently important.

10 What triggers it remains an important
11 question. But it is for the purposes of designing a
12 clinical trial, knowing that if you have human
13 thrombomodulin or similar coagulation pathway regulator
14 in the genetics offers, in my estimation, a protective
15 advantage at least based on what we've seen so far.

16 **DR. JOACHIM DENNER:** Okay, thank you.

17 **DR. LISA BUTTERFIELD:** Thank you. Now, Dr.
18 Cooper, followed by Dr. Auchincloss.

19 **DR. MATTHEW COOPER:** Hey, Robin [sic].
20 Outstanding work as usual. Really pleased, and
21 congratulations on your own work. I'm fascinated, you

1 know, as a reader of this literature and with your
2 experience with what you've presented today.

3 Do you have an opinion on, kind of, what now
4 is the sweet spot in terms of the number of knockouts
5 and transgenes that may be necessary sort of
6 recognizing kind of the cost that's necessary for each
7 additional modification that we talk about? In other
8 words, a single versus triple knockout. Again, do you
9 have a thought about kind of where the minimums are at
10 this point?

11 **DR. RICHARD PIERSON:** So, I think for a kidney
12 into an unsensitized patient, you probably can get away
13 with -- and I'll use that term advisedly -- a Gal
14 knockout with an expression of the human complement
15 regulatory protein. I think that simple genetics could
16 go forward with reasonable justification.

17 I think you will have an advantage by
18 additionally knocking out the beta 4Gal. Probably in
19 humans, unlike in non-human primates, knocking out the
20 CMAH gene will additionally give you an advantage both
21 by greatly increasing the number of patients for whom

1 the crossmatch will be negative to begin with and
2 thereby allowing a much broader swath of the population
3 of patients who might benefit to safely receive the
4 graft. For the hearts, the minimum genes that appears
5 to additionally include the pig/human thrombomodulin,
6 and I think that data is persuasive.

7 If you have a triple knockout, might this
8 problem be assuaged? Might you avoid consumptive
9 coagulopathy and thrombotic microangiopathy? Maybe,
10 but even in the examples with triple knockouts where we
11 can't detect elicited antibody, we still see
12 consumptive coagulopathy. And that's not a big N yet,
13 but I'm persuaded that you need at least those three
14 genes.

15 And again, will it be an advantage to have the
16 two additional carbohydrate genes knocked out in
17 addition to Gal knockout? I think it will be an
18 advantage long term. Is it necessary to go forward?
19 Is it necessary to achieve very impressive and
20 potentially therapeutic clinical results? It will
21 depend on clinical trials.

1 It is likely that long-term results will be
2 better with triple knockout, but that's just my
3 inference based on what we've seen preclinically and
4 the mechanisms as we understand. Does that answer your
5 question?

6 **DR. MATTHEW COOPER:** Perfect. Thank you,
7 Robin [sic].

8 **DR. LISA BUTTERFIELD:** Thank you. And now,
9 Dr. Auchincloss, please.

10 **DR. HUGH AUCHINCLOSS:** Robin [sic], that was a
11 spectacular presentation. Thank you very much. Sort
12 of an extension of Dr. Cooper's question. As you piled
13 on more genetic modifications, have you seen any cost
14 in terms of the fitness of the animals or the organs?
15 Is there a downside to this much genetic manipulation?

16 **DR. RICHARD PIERSON:** So, adding additional
17 complement pathway regulators has not been adversely
18 consequential to the best of my knowledge. There is a
19 fundamental fitness challenge with using cloned animals
20 and understanding the impact of adding gene edits is
21 difficult to separate from that challenge. With

1 coagulation pathway regulatory molecules, it is clear
2 that, if you are too good at doing that, there is an
3 incidence of bleeding abnormalities in the animals.
4 Can one get through that? Yes, at least in some
5 circumstances.

6 But there is a potential adverse effect on
7 animal health. So maybe in the ideal future, we might
8 be able to control the thrombo-regulatory gene
9 expression with inducible promoters or conditional
10 expression and that might offer a safer way for us to
11 get pigs to the size where their organs are useful for
12 humans, turn on the gene just around the time of
13 transplant, and if it were associated with a problem
14 later in the recipient, being able to turn it off. But
15 that is not I think necessary for us to -- it is
16 possible to get healthy animals with moderate levels of
17 thrombo-regulatory expression -- gene expression.

18 We have very good preclinical data with those
19 pigs suggesting that that is enough and safe, and
20 indeed, the animals created that have that pattern of
21 gene expression are healthy and both in the clone form

1 and I understand also as in the breeding situation are
2 able to be propagated safely. So, I think, this is a
3 subject for other experts who are actually working with
4 these animals on the ground, and perhaps Dr. Wolf might
5 be able to address it in addition. Did that address
6 your question?

7 **DR. HUGH AUCHINCLOSS:** Yes. Absolutely.
8 Thank you.

9 **DR. LISA BUTTERFIELD:** Super. Thank you. Now
10 final question in this session from our patient
11 representative, Mr. Conway.

12 **MR. PAUL CONWAY:** Hey, Dr. Pierson, thank you
13 very much. Excellent presentation. Imagine you were
14 standing before a room with kidney patients that were
15 on a waiting list for an organ in their families. This
16 is a question that I asked Dr. Denner yesterday, and he
17 was kind enough to answer it. But I'm interested in
18 your perspective.

19 Knowing what you know today and the progress
20 that has been made and the research that's out there
21 and the caution and the details that you've laid out,

1 do you have an optimistic sense about what the future
2 holds for patients and for this as a modality whereas a
3 solution, or are you extremely guardedly optimistic?

4 What's your sense of it if you were just
5 talking straight with the patients' families? Thank
6 you.

7 **DR. RICHARD PIERSON:** I am very optimistic
8 that good results will be easier to achieve in our
9 human patients than they are in our baboons or our
10 monkeys. I think the pigs have been designed for human
11 use, and it's fortuitous that these genetic
12 modifications, also, many of them are effective. But
13 the barriers in the preclinical model when we try to
14 use triple knockout pigs, it turns out that that
15 unveils an antigen recognized by monkeys and baboons
16 that creates a positive crossmatch where it does not in
17 human.

18 So that's one example where we sort of have to
19 take a leap of faith when we go to clinical models,
20 and, if I were talking to you about enrolling in a
21 xenotransplant trial, I would explain that and tell you

1 that my best guess is that a triple knockout with
2 whatever additional genetic modifications is quite
3 likely to be able to support you for a year or two or
4 three. Quite likely. I can't promise until we try it.

5 And while the results in braindead humans
6 illustrate that hyperacute rejection doesn't occur, we
7 don't know yet whether the treatments that have worked
8 so well in the non-human primates will translate into
9 humans. It is quite clear that, in my mind, that
10 experimental immunosuppression with a co-stimulation
11 pathway-blocking antibody will be an essential part of
12 long-term success, either as an immunosuppressive
13 regimen or for tolerance induction.

14 But I think that what we've learned in the
15 non-human primates has positioned us for success. And
16 I think that if we can certify that a pig doesn't have
17 cytomegalovirus and that we have strategies for
18 managing other aspects of infectious disease or that
19 the clinical complications that we're likely to see, as
20 we do in all of our transplant recipients are likely to
21 be more easily managed in the clinic.

1 So, I am quite optimistic that when we hit the
2 go button for doing these clinical trials, that we will
3 be pleasantly surprised by the outcome. I hope that
4 that's true, and I can't know it until we try it.

5 **MR. PAUL CONWAY:** Thanks for your candor. I
6 appreciate it.

7 **DR. LISA BUTTERFIELD:** Thank you. And a
8 final, final, short question from Dr. Palevsky.

9 **DR. PAUL PALEVSKY:** Thank you. I was just, to
10 put the immunosuppression in context, I was hoping you
11 could compare the experimental immunosuppression that
12 you believe is going to be necessary to the current
13 immunosuppression used for allotransplantation.

14 **DR. RICHARD PIERSON:** Certainly. The
15 calcineurin inhibitors, which are the mainstay of
16 current clinical immunosuppression, have side effects -
17 - renal damage, diabetes, neuropathy -- and they
18 require being taken several times -- twice a day.
19 Mycophenolate causes gastrointestinal complications and
20 other issues. Erythromycin is so difficult to
21 tolerate, that, even though it's quite effective, it

1 has not achieved broad use in transplantation.

2 The co-stimulation pathway blockade given once
3 a week or once every two weeks is not associated with
4 viral activation in our non-human primate models, and
5 so it may be that it is safer and better tolerated or
6 allows lower dosing of conventional immunosuppression.
7 So, I would say that our immunosuppression either -- it
8 will be possible for us to get good protection from the
9 graft from immune injury with less intense
10 immunosuppression than we currently use for our
11 patients today.

12 **DR. PAUL PALVENSKY:** Thank you.

13 **DR. LISA BUTTERFIELD:** All right. Thank you
14 very much.

15

16 **INVITED SPEAKER PRESENTATION: GENETICALLY MODIFIED PIGS**

17 **FOR XENOTRANSPLANTATION**

18

19 **DR. LISA BUTTERFIELD:** That was terrific and
20 now we're going to move to our second and final
21 presentation before the question discussion. And

1 that's from Dr. Wolf from Munich, Germany on
2 genetically modified pigs. Dr. Wolf.

3 **DR. ECKHARD WOLF:** Yeah, good morning and many
4 thanks for the opportunity to discuss with you source
5 animals with intentional genomic modifications. I'm
6 afraid I will not be able to add a lot of new
7 information because most of the aspects have been
8 covered in the very elegant talk by Dr. Pierson.

9 So, these are my disclosures, and I think
10 there is no doubt that at the moment the pig is the
11 most likely donor or animal for xenotransplantation
12 because the organs are very similar to human organs in
13 terms of anatomy and also physiologic aspects. We can
14 breed pigs very well.

15 One generation pig takes only one year. They
16 have large litters. We can breed them under designated
17 pathogen-free conditions and ensure that they don't
18 have a risk to distribute infections. And the most
19 important point is that we can do genetic engineer, and
20 in this aspect, they are superior to non-human primates
21 where genetic engineering is not possible or very

1 difficult. And also, in terms of ethical acceptance,
2 pigs are much better.

3 You need to do genetic engineering to overcome
4 rejection mechanisms, also physiological
5 incompatibilities, and eventually to reduce the risk of
6 porcine endogenous retroviruses, and I'm sure that you
7 remember that 20 years ago when it was discovered that
8 porcine cells release PERV that may affect human cells.
9 This was a very difficult situation for
10 xenotransplantation because at this stage clearly the
11 fears dominated over the hopes into this technology.

12 Well over the last 20 years, a number of
13 genetic modifications have been made in order to
14 produce source pigs for xenotransplantation. One group
15 concerns the deletion of enzymes that synthesize
16 certain sugar epitopes against which the humans have
17 pre-formed natural antibodies or other enzymes alpha-
18 1,3 galactosyltransferase, CMAH, B4GALNT2, and recently
19 a second enzyme B4GALNT2-like has been discovered that
20 also has to be knocked out in order to completely
21 remove the SDA epitopes.

1 Another group of modifications are proteins
2 that regulate the complement pathway and (audio skip) a
3 third group concerns transgenes that need to overcome a
4 coagulation (audio skip) regulation, namely
5 thrombomodulin EPCR tissue affect the pathway
6 inhibitors CD39 and CD73. Then a number of transgenes
7 has been proposed to overcome (audio skip) rejection by
8 T cells, T cell co-stimulation blocking molecule for
9 the knockout of swine (audio skip) antigens for the
10 expression of HLA-E in beta-2-microglobulin or CD47 to
11 inhibit in K cell activity or microphage activity,
12 respectively.

13 In preclinical experiments, so far mainly in
14 mice or in combinations with other transgenes in non-
15 human primates, anti-inflammatory proteins have been
16 tested such as A20 human heme oxygenase or (audio skip)
17 soluble fragment. An important modification that ought
18 to be necessary, especially after heart
19 transplantations (inaudible) growth hormone receptor
20 knockout, and of course, there are modifications that
21 are related to either the knockdown or the complete

1 knockout of PERV expression.

2 When you think about the tools that we have
3 available for genetic engineering of pigs, the
4 classical technique was the DNA microinjection
5 technique, where pieces of DNA gene constructs were
6 injected into one of the two pronuclear zygotes. This
7 was a very random technology where one could not
8 determine how many copies of a transgene are integrated
9 and where they are integrated.

10 And nowadays most groups rely on somatic
11 nuclear transfer because then all significant
12 modifications can be done in cell culture. We can
13 select cell clones that have exactly the right genetic
14 makeup, and then you use once cloning according to the
15 Dolly technique in order to generate the corresponding
16 pigs to give targeted genetically modified cells.

17 And with the event of gene editing, especially
18 the CRISPR/Cas9 system, it's now also possible to knock
19 out one or even several genes by zygote injection of
20 these systems.

21 Just a few comments, somatic cell nuclear

1 transfer, and this is a very impressive study where
2 they have looked at the outcomes of over 200,000
3 nuclear transfers, and what we can really see is you
4 see in the last column that general efficiency is very
5 low, usually below five percent for an offspring based
6 on the number of transferred clone embryos to
7 recipients. And unfortunately, there is also a large
8 number of abnormalities, and this is probably related
9 to the effect that nuclear transfer cloning produces a
10 very high epigenetic malleability.

11 This has been best investigated in terms of
12 DNA methylation, so there's a large variety in DNA
13 methylation levels. But recently there are also
14 studies that histone modifications are effective, and,
15 in some studies, there was also a phenotypic correlate.
16 This is one example where a group grew at the placenta
17 of cloned piglets, and, in some of those placenta, they
18 found both pathological abnormalities, but also a
19 dysregulation of the expression of genes that are
20 essential for placentation.

21 So, I think cloning -- as a result of these

1 observations, cloning is a very good technique in order
2 to derive the genetic modified founder animals but
3 those animals that are actually used for
4 transplantation -- as owners for transplantation, they
5 should be produced by breeding because fortunately it
6 has been shown that once cycle of sexual reproduction
7 is sufficient to erase all these epigenetic
8 abnormalities.

9 By coming back to the essential genetic
10 modifications, and this has already nicely been shown
11 by Dr. Pierson, pig cells have these carbohydrate
12 antigens on their surface against the humans and also
13 non-human primates in part have pre-formed natural
14 antibodies. Antibodies find their targets, activate
15 the complement system, which finally leads to a
16 hyperacute rejection of the porcine cells.

17 This can be overcome either by knocking out
18 these galactosyltransferases or by the expression of
19 one or several complement pathway regulatory proteins
20 and basically using a combination of these
21 modifications, it's really possible to overcome the

1 hyperacute rejection of pigs to primate xenograft. And
2 the removal of this antigen, of course, also eliminates
3 the antibody-dependent cellular cytotoxicity.

4 When we look at the human antibody binding to
5 normal pig cells, you can clearly see that there are
6 already a lot of antibodies in very young children, and
7 the antibody levels increase both IgM and also IgG.
8 And when the three galactosyltransferases have been
9 knocked out, basically, this binding is minimized, and
10 therefore for humans, probably the TKO pig is the best
11 donor animal.

12 However, there is a problem in the
13 transplantation experiments in non-human primates
14 because apparently, the knockout of CMAH increases the
15 binding of baboon antibodies to pig cells, and
16 therefore it may be problematic for the pig in the
17 studies.

18 It has been shown by David Cooper and
19 colleagues that this can be reduced by adding
20 additional protective transgenes, as you can see here.
21 But for me the question, of course, arises do you need

1 additional genetic modifications in order to overcome a
2 problem that exists in the pig (inaudible) model but
3 would not exist in humans?

4 This was also tested in vivo in a recent
5 transplantation experiment of porcine kidneys into
6 cynomolgus macaques. Here you can see that TKO pigs
7 were used, and they were combined with different
8 transgenes, complement regulatory proteins, but also
9 proteins regulating macrophage activity, or the
10 activity of natural killer cells, or PDL1, a negative
11 co-stimulating molecule. And you can clearly see that
12 the effect depended on the level of the expression of
13 the transgene.

14 Apparently, TKO-B which was combined with a
15 high expression level of the complement regulatory
16 proteins work better -- at least gave in some instances
17 better long-term results -- as when these complementary
18 regulatory proteins were low and only the other
19 transgenes were highly expressed.

20 This is another study that was already cited
21 by Dr. Pierson, where a relatively simple genetic

1 background has been used only with a Gal knockout
2 combined with the expression of human-CD55, and kidneys
3 of these donors were transplanted into rhesus monkeys
4 with a negative crossmatch. Also the CD4 T cells were
5 depleted. Then consistently a relatively long survival
6 of up to 500 days was achieved, indicating that the
7 long-term survival of xenografts was with a relatively
8 simple genetic background of the donor pig.

9 In addition to the hyperacute rejection, there
10 are, of course, at the cellular rejection mechanisms by
11 natural killer cells, and those can be overcome for
12 instance by the expression of HLA-E beta-2-
13 microglobulin in the donor pigs because they bind the
14 inhibitory CD94/NKG2 receptor on macrophages, and this
15 is a study from our lab that it could clearly show that
16 the cytotoxicity is markedly reduced on pig cells that
17 express the HLA-E and also the secretion of the
18 proinflammatory cytokine interferon-gamma is
19 significantly reduced.

20 And as mentioned already by Dr. Pierson, a
21 strategy to overcome macrophage activation is the

1 expression of human CD47 that binds SIRP alpha
2 (inaudible) receptor on human macrophages. In contrast
3 the porcine CD47 does not interact efficiently with the
4 SIRP alpha. But these two modifications have not been
5 tested, at least not alone in non-human primate
6 transplantation experiments, so, so far, their effect
7 and their necessity for clinical studies is not clear.

8 And finally, we also have to overcome adaptive
9 immune mechanisms. T cell activation that can occur
10 directly by porcine antigen-presenting cells or
11 indirectly by human antigen-presenting cells, a very
12 important tool is the blockade of the CD40 ligand
13 pathway using specific blocking antibodies or the
14 CD86/CD80 pathway -- CD28 pathway by using synthetic
15 proteins like CTLA4-Ig or the affinity optimized
16 variant LEA29Y.

17 There are also recent studies using the
18 expression of negative co-stimulatory molecule PD-L1,
19 but it is not clear how big this effect actually is.
20 And there is the idea to knock out SLAs, but this would
21 only overcome the direct T cell activation.

1 In addition to rejection mechanisms -- or I
2 should mention here that local expression of LEA29Y were
3 successful to protect islet grafts when these islet
4 grafts were transplanted under the kidney capsule of
5 mice.

6 This is a histologic infection showing
7 expression of LEA29Y in the pancreatic islets, and,
8 when such islets are transplanted into the diabetic
9 mice as the human immune system, all the mice stayed
10 normal glycemic over the whole observation period where
11 its wild-type islet were rejected. And here you see
12 the reaction at the transplantation site after
13 transplantation of wild-type islets; they are barely
14 entering the positive cells. Instead, a massive T cell
15 infiltration in contrast after transplantation of this
16 LEA29Y transgenic islets, a large mass of insulin-
17 positive cells.

18 It's T cell infiltration, but not in the graft
19 itself, but only in the vicinity. In the more recent
20 study, we were able to show that this works only also
21 in the long term. These are mice which were

1 transplanted seven months ago, and you still see that
2 there is a lot of insulin-positive tissue. And these
3 mice had a completely normal glucose tolerance, and
4 these islets are now non-human primate studies.

5 On the other hand, we noticed that issue is
6 overexpressed LEA29Y systemically in the donor pigs.
7 It reduces the development of the immune system, and
8 they are immunocompromised and very difficult to
9 maintain.

10 Another possibility is the SLA knockout, which
11 may also cause some immune defect in the donor pigs.
12 To our understanding, it's not necessary if the
13 recipients have a negative crossmatch to TKO cells. It
14 may be useful if the recipient has a crossmatch with
15 anti-SLA antibodies, then it might be useful to knock
16 out or knock down SLA-I or SLA-II.

17 In addition to immune rejection, we have to
18 deal with coagulation disorders, and there are some
19 incompatibilities between the porcine and the human
20 blood coagulation systems. One example is to pair
21 thrombin and thrombomodulin that is on the endothelial

1 cells.

2 Peter Cowan has shown that porcine
3 thrombomodulin is able to bind human thrombin, however,
4 the complex is relatively weak in activating protein C.
5 And as a consequence, we observe thrombotic
6 microangiopathy in the transplanted organs.

7 And for this reason, it's necessary to encrypt
8 the pigs with the human thrombomodulin. We did this
9 with a construct where we place the coding sequence
10 with the human thrombomodulin under the control of
11 porcine thrombomodulin regulatory sequences.

12 And at least in the heart, it gives us a very
13 nice expression of endothelial cells all nicely
14 decorated with the human thrombomodulin that is
15 biologically active and in turn prolonging the clotting
16 time.

17 And when Muhammad Mohiuddin tested organs from
18 these pigs that had a Gal knockout expressed the CD46
19 transgene plus the human thrombomodulin, we can see
20 that one of these organs survived in the heterotopic
21 abdominal transplantation model in baboons for 945

1 days, and it stopped only to beat when the
2 immunosuppression was completely discontinued.

3 And in a later study he showed that
4 thrombomodulin was really key to the success because we
5 needed some transplants with hearts that had only the
6 Gal knockout and the CD46; the survival time was
7 markedly reduced, and there were clear morphological
8 signs of thrombotic microangiopathy.

9 One question is whether EPCR, the endothelial
10 protein C receptor, is essential -- additionally,
11 essential to be humanized in order to prevent
12 coagulation disorder.

13 This is a study also from the lab of Peter
14 Cowan, where he clearly showed that the porcine
15 endothelial protein C receptor works with the human
16 thrombomodulin eventually a little bit less well than
17 the human endothelial protein C receptor. But these
18 differences were not significant. And at least for the
19 heart transplantation, we believe it's not necessary to
20 express the human endothelial protein C receptor.

21 Well over time, a number of techniques have

1 been developed to combine (inaudible) of these
2 modifications. This is an approach by my colleague
3 Angelika Schnieke in Munich where she simply generated
4 large suppression constructs and injected them into
5 cells that were randomly integrated. And using this,
6 she was able to get a quite good expression level.

7 Another strategy is to do a targeted
8 integration into a safe harbor locus, such as the
9 ROSA26 locus, which worked for (audio skip) genes and
10 gave also a very good expression level.

11 However, an even more clever approach is
12 basically to integrate transgene expression vectors
13 into the loci that (inaudible) to be inactivated, and
14 this is an example from a (inaudible) where they
15 basically integrated expression cassettes for the CD46
16 and CD55 into the CMH locus and four expression
17 cassettes with thrombomodulin EPCR, CD47, and heme
18 oxygenase-1 into the Gal locus. And this, of course,
19 facilitates the breeding of the animals because you
20 reduce the number of independently segregating
21 (inaudible).

1 And this is so far the most expensive germline
2 genome engineering in pigs performed by eGenesis. They
3 performed the three knockouts and generate a large
4 vector based on the modified piggyback vector, where
5 they have in one expression cassettes from many
6 transgenes. However, not all of these transgenes were
7 expressed tastefully. You can clearly see that, for
8 instance, the thrombomodulin shows a reduced
9 expression, whereas the CD39 showed increased
10 expression as compared to humans.

11 In spite of the new possibilities of genetic
12 engineering of pigs, surprisingly long-term results --
13 consistent long-term results have been achieved with
14 donor pigs carrying only a relatively small number of
15 genetic modifications. For instance, here is an
16 example from Munich where the donor pigs had only a Gal
17 knockout CD46 transgene and the thrombomodulin
18 transgene, and the hearts of those pigs were subjected
19 to a special perfusion treatment with (inaudible)
20 hyperoncotic solution that prevented hypoxic damage of
21 the hearts before implantation, and they were then

1 transplanted into baboons orthotopically and
2 consistently a survival of several months has been
3 observed. The four experiments with the 90 days, they
4 had to be terminated at this stage according to the
5 experimental protocol.

6 In two experiments, we were allowed to run
7 them longer, and they survived for half a year. And
8 the main problem at this stage was that the hearts got
9 too large for baboons. And this was already mentioned.
10 Unfortunately, the two donors for these experiments,
11 they were positive for porcine cytomegalovirus, which
12 markedly reduced the survival of these hearts.

13 The basis for this success is a consistent
14 transgene expression. You can see here the analysis
15 for the CD46 and for the thrombomodulin in all the
16 hearts that were transplanted and that we analyzed by
17 immune histochemistry after explanation. When the
18 experiment was terminated, we saw a very nice
19 consistent transgene expression on the right side.

20 Another important message that we learned from
21 these experiments -- and this is a very nice paper by

1 Dr. Denner from our consortium -- is that the donor
2 pigs must not be infected with the porcine
3 cytomegalovirus. It reduces the survival of the
4 transplants. When he analyzed the viral load of
5 different organs of the donor pig and of the recipient
6 baboon, he found that, in the heart, the viral load was
7 several orders of magnitude higher than anywhere else.
8 So apparently the main infection is in the heart.

9 To my knowledge, it's not even clear if baboon
10 cells or human cells can be infected with the porcine
11 cytomegalovirus, but there is clearly a damage of the
12 transplanted organ, and there is a systemic
13 upregulation of proinflammatory side effects.

14 And the last aspect that we learned from these
15 experiments was that we have to take care of the growth
16 of the transplanted heart because pig hearts grow very
17 rapidly and, at least for a baboon recipient, they get
18 in a short time too large. We can control this for
19 some time by treating the animals with temsirolimus,
20 which is a erythromycin prodrug that inhibits the
21 activation of mTOR. And for this, we can prevent the

1 hypertrophy of the cardiomyocytes.

2 This is a non-treated animal, and this is a
3 treated animal. There you can see that the diameter of
4 the cardiomyocytes is much smaller. However, when we
5 discontinue the treatment with erythromycin, the heart
6 immediately starts to grow.

7 So, we have to think about the strategy; how
8 to get these donor animals smaller, since this growth
9 phenomenon was not only observed in the heart, but also
10 for the kidneys. This was an allotransplantation
11 experiment performed by Kazukiko Yamada where he
12 clearly showed that kidneys from land-raised pigs after
13 transplantation into mini pigs, they continue to grow
14 as they were still in the large pigs.

15 As a potential strategy to reduce the growth
16 of the animals, we propose to do the knockout of the
17 growth hormone receptor gene, and here you can clearly
18 see that the animals are smaller, their IGF-1 levels
19 are markedly reduced, and also the hearts are smaller.
20 And in the meanwhile, we have this also on the
21 background of pigs that are suitable for

1 xenotransplantation.

2 Muhammad Mohiuddin has tested growth hormone
3 receptor-deficient hearts in orthotopic transplantation
4 experiments to baboons, and you can clearly see there
5 was a large effect -- significant effect on the
6 prolongation of the life span of the animals, and also
7 the hearts did not show any hypertrophy.

8 However, when we look more closely to these
9 growth hormone receptor knockout pigs, they are not
10 completely normal. When they are young, they display a
11 juvenile hypoglycemia. This is absolutely the same as
12 in patients suffering from a growth hormone receptor
13 deficiency. We see also a major metabolic disturbance
14 of the liver, and we see that the animals get
15 relatively obese because the lipolytic action of growth
16 hormone is missing, so the breeding of those animals
17 might be relatively difficult.

18 Therefore, I believe although we initially
19 proposed the growth hormone receptor knockout, and we
20 did not see any abnormalities on the protein levels and
21 the functional levels in the heart, I think it would be

1 wise to choose a genetic background that's fit for the
2 size of human recipients.

3 Well, and the last topic, of course, is to
4 activate PERV to eliminate the risk of PERV
5 transmission. This has been done as you know by George
6 Church. Geneticists, they were able to inactivate all
7 PERV integrants by mutagenesis of the pol gene and were
8 able from mutagenized cells basically to clone pigs.
9 However, it's questionable whether this is routinely
10 necessary because they are also some risks associated.
11 This is only possible with some tricks, for instance, a
12 use of a p53 inhibitor. Some of the cells or the
13 majority of the cells that have been analyzed, they
14 showed major chromosomal abnormalities.

15 There are other ways to increase the safety in
16 terms of PERV, which is to choose PERV C3 donor animals
17 and eventually also do appropriate tests; monitor the
18 patients. But this is still a matter of discussion.

19 So, to summarize the current state, I think
20 the use of pigs as source of cell tissues and organs
21 for xenotransplantations offer unique opportunities

1 since we can genetically engineer the donor pig. Many
2 (inaudible) modifications have been made, but only few
3 of them have been really evaluated in non-human
4 primates.

5 Genome editing is, of course, speeding the
6 progress and the combination of genetic modifications
7 required depends on the type of organ and tissue and
8 especially for cellular gene and xenograft also on the
9 transplantation side. A very important point is the
10 cellular localization and the consistency of transgene
11 expression for the functionality and also for potential
12 side effects. Remarkable long-term survival has been
13 achieved with relatively few genetic modifications of
14 the donor pigs, and therefore xenotransplantation can
15 be considered as a future therapeutic option.

16 Specifically, for the source pigs, we have to
17 ask the question: are more genetic modifications always
18 better? I think when we want to demonstrate the
19 efficacy and safety of individual modification, this is
20 definitely easier if there are only few. The same is
21 true for the demonstration of long-term stability and

1 expression of each modification. The cellular
2 localization is important.

3 Transgene expression is difficult to modulate
4 once a transgene is already active in the transplant,
5 whereas drug treatment can be dose-adjusted or even
6 discontinued. With an increasing number of genetically
7 modified loci, a breeding strategy becomes complicated.
8 I think cloning is not really a reliable procedure for
9 the routine production of organ source pigs because we
10 have this high epigenetic variability. There may be
11 unpredicted interactions between the various
12 modifications, and some modifications may have
13 unforeseen negative effects and an example is the
14 increased antigenicity of pig organs that lack CMAH in
15 non-human primates.

16 So that's it for my slides. Thank you very
17 much for your attention and I'm happy to take
18 questions.

19

20

Q&A SESSION

21

1 **DR. LISA BUTTERFIELD:** Thank you so much, Dr.
2 Wolf. I'm going to watch for some questions. Looks
3 like there's a lot of opportunity and a lot of
4 complexity yet before we get where we need to get. All
5 right, we'll start with Dr. Auchincloss, please.

6 **DR. HUGH AUCHINCLOSS:** Another beautiful
7 presentation. Thanks so much. So, what is the sweet
8 spot? You've emphasized that, with relatively few
9 genetic modifications, we can do quite well. But
10 surely relatively few is not the ideal spot. What
11 would be the ideal spot in your mind currently?

12 **DR. ECKARD WOLF:** So I believe that for heart
13 transplantation the knockouts of the 3
14 galactosyltransferases plus a solid expression of
15 thrombomodulin on the endothelium and the solid
16 expression of one complement regulatory protein in CD46
17 may be/could be sufficient for the first trials.

18 **DR. HUGH AUCHINCLOSS:** Thank you.

19 **DR. LISA BUTTERFIELD:** Thank you. Dr. Kimmel.

20 **DR. PAUL KIMMEL:** Thank you very much, Dr.
21 Wolf, for really a tour-de-force talk. I have a

1 question that may be a little bit tangential. I
2 remember 40 years ago there were data that growth
3 hormone in rodent models was not the only mediator of
4 hypertrophy of the kidney after uninephrectomy. Are
5 there other mediators of hypertrophy, either for the
6 kidney or the heart, that should be thought of besides
7 growth hormone?

8 **DR. ECKHARD WOLF:** Well, there are other
9 mediators, but the problem is always when we interfere
10 with this, we still have to make sure that the animals
11 are more or less healthy. And we can propagate them by
12 breeding, and we looked at many other growth regulating
13 cascades like directly the insulin-like growth factors,
14 growth hormone itself. But the knockout of the IGF-1
15 is lethal before birth and the knockout of growth
16 hormone itself is associated with breeding problems.

17 So, the growth hormone receptor knockout was
18 the modification that affected the health of the donor
19 animals minimally basically. But still (inaudible).

20 **DR. PAUL KIMMEL:** It's the same kind of
21 question.

1 **DR. ECKHARD WOLF:** Yeah.

2 **DR. PAUL KIMMEL:** No, it's a question of the
3 genetic sweet spot. I'm sorry I interrupted you.

4 **DR. ECKHARD WOLF:** So, but still the growth
5 hormone receptor knockout pigs, they are not completely
6 normal.

7 **DR. PAUL KIMMEL:** Thank you very much.

8 **DR. LISA BUTTERFIELD:** Thank you.

9 **DR. ECKHARD WOLF:** I should add we did a
10 holistic proteome study of the heart of these animals,
11 and we did not detect any major difference that we had
12 the feeling could affect the function of the heart.
13 So, the heart seems to be relatively little affected.
14 The liver is quite affected.

15 **DR. LISA BUTTERFIELD:** Thanks for that
16 addition. Dr. Zeiss.

17 **DR. CAROLINE ZEISS:** Hi, Dr. Wolf. I wonder
18 what the possibilities are for conditional knockouts
19 because if we consider things like mTOR, if you (audio
20 skip) completely, that's also lethal, and that is
21 pretty central to hypertrophy. Is it feasible to do

1 conditional knockouts and to knock this out simply in
2 the organ before it goes into the person?

3 **DR. ECKHARD WOLF:** It is, in principle,
4 possible we can do also induce the transgene
5 expression, but this, of course, complicates the whole
6 thing enormously, and I think for a routine production
7 of donor pigs, this is not suitable. This is suitable
8 for experimental purposes, but not for the routine
9 provision of organs.

10 **DR. CAROLINE ZEISS:** Okay. Thank you.

11 **DR. LISA BUTTERFIELD:** Okay, Professor Fox.

12 **DR. BERNARD FOX:** I'll just add my comment
13 that these have been two amazing presentations, and why
14 I think it's clear to me that what you've done is
15 really setting the stage for very successful
16 transplantation.

17 One of the things that I haven't thought about
18 and was struck by in both presentations was this
19 concept of the aging of the organ and looking at the
20 timeline that you've done where you've transplanted
21 tissues that were out 900 or a thousand days and

1 learning about how the organ is growing and in the case
2 of the heart. But in the case of the other organs, the
3 aging process in the pigs, how long do you think these
4 organs will survive in humans once they're
5 transplanted?

6 If the immunobiology is appropriately handled
7 so that rejection is not an issue, what is going to be
8 the timeline? Is this something that over a period of
9 25 years, somebody might have to have multiple
10 transplants of an organ?

11 **DR. ECKHARD WOLF:** I would say not multiple.
12 Eventually, two. So, pigs have a life expectancy of 20
13 years or so, and you also have to consider that you
14 start with a relatively young organ. Organs there is,
15 at least in Germany, 50 percent of the organ donors are
16 older than 50 years.

17 **DR. BERNARD FOX:** Yep.

18 **DR. ECKHARD WOLF:** So, you start with a young
19 healthy organ.

20 **DR. BERNARD FOX:** Thank you.

21 **DR. LISA BUTTERFIELD:** All right. Thank you

1 again for that perspective.

2

3 **COMMITTEE DISCUSSION QUESTION #4**

4

5 **DR. LISA BUTTERFIELD:** So, I think now we'll
6 move to discussion of our question. So, we're moving
7 to Question 4 and have a look at that question.

8 Transplantation of animal cells and organs
9 into humans is associated with hyperacute rejection,
10 vascular injury, cell-mediated rejection, and chronic
11 rejection. Options for controlling rejection include
12 genetic modification of donor pigs, modulation of the
13 immune response in the recipient. Please discuss the
14 most promising strategies to prevent rejection of pig
15 organs.

16 In our discussion, please consider the balance
17 between potential benefits of the desired genetic
18 modifications and/or immune response modulation and the
19 potential for detrimental transplant outcomes. So, to
20 get things rolling, we have two discussants this
21 morning. The first is Dr. Cooper.

1 **DR. MATTHEW COOPER:** Dr. Butterfield, I hope
2 you can hear me. Of course, just like technology,
3 right at the time when I was expected to talk my
4 computer decided it was not going to work. So can you
5 hear me okay?

6 **DR. LISA BUTTERFIELD:** I hear you fine. And
7 we've had a flurry of updates as well today, so it's a
8 little touch technologically, but please carry on.

9 **DR. MATTHEW COOPER:** Yeah, I'm sorry about
10 that, everyone. So, I have the unenviable task of
11 actually following two of the most outstanding
12 presentations I've heard on this topic. I'm not sure
13 who I offended at the Agency, but it really is
14 incredibly interesting to follow the work that's been
15 done thus far and to consider really where we are
16 today. I won't look to recount everything that's been
17 shared by our speakers except to say that, again, I
18 think we have lots of opportunities.

19 Particularly when we think about the question
20 and even as we tried to ask a number of our speakers
21 what is kind of the minimum need, and then what is the

1 absolutes? Those are two, I think, very sort of
2 different questions. I think if we appreciate the fact
3 that we do have, as opposed to allotransplantation, the
4 advantage of time and genetic modifications. We do, I
5 think, appreciate that we have, again, the ability to
6 control those minimums, and then as we continue to
7 advance the science along with, as many have suggested,
8 the need for kind of prospective ongoing adaptive
9 clinical trials we can continue, I think, to improve
10 our model, and hopefully with that, our outcome.

11 We have really an alphabet of opportunity, so
12 I think everyone would certainly agree, and our
13 speakers -- as many of our questions love to get to --
14 would, I think, all agree that the minimum that's
15 necessary is at least in a single Gal knockout.

16 Although, with a triple Gal knockout -- so with a
17 triple knockout as Dr. Locke presented during the open
18 session, there is the ability to potentially open up
19 opportunities for negative crossmatches for larger
20 patient population.

21 I'll get to more of that in a second, but some

1 of the additional things are complement regulatory
2 proteins, thrombomodulin, the ability to knock out
3 growth hormone and PERV sounds very exciting and
4 certainly necessary for avoidance of some of the
5 infections that complicates this moving forward.

6 We even have the ability for, like we said,
7 genetic modifications to improve the physiologic
8 compatibility, i.e., the ability for blocking growth
9 hormone.

10 What we have to recognize is as we've, again,
11 looked at the progressive implementation of new
12 transgenes, we don't really know in the human
13 xenotransplant model what the addition of each of those
14 transgenes means because we really have been unable to
15 attest it beyond non-human primate model, and I think
16 that's important.

17 Many have said throughout the course of our
18 two days that the xenotransplant is very species-
19 specific, and unlike the non-human primates, in xeno
20 crossmatches, we can potentially modify that in humans
21 with interventions that we currently use for (audio

1 skip) looks like, sorry I think I lost you there for a
2 second.

3 **MR. MICHAEL KAWCZYNSKI:** No, that was me. I
4 muted you by accident. Sorry about that, Matt. Go
5 ahead.

6 **DR. MATTHEW COOPER:** I get that done on a
7 regular basis, Michael. So, I'd say the interventions
8 such as plasmapheresis, total plasma exchange and IVIG
9 to reduce any bio levels have been demonstrated to be
10 quite effective in reducing median channel shifts to
11 allow for the avoidance of hyperacute rejection. And
12 so, even in the face of a single Gal knockout, there's
13 the opportunity to potentially allow for interventions
14 that we currently use an allotransplantation, again,
15 that would, again, minimize the additional cost and
16 time that's necessary to produce that ideal transgenic
17 and knockout pig that we continue to talk about.

18 What we also have to recognize and reflect
19 upon a lot of the conversations we had yesterday that
20 is looking at the benefit. You know, often the
21 transplant recipient for many of the organs in which we

1 utilize as a gift of life, they are somewhat frail,
2 debilitated, may have some low-level infections that
3 individuals like Dr. Fishman are keeping at bay with
4 antibiotic therapy. But because of the bio secure
5 environment and pathogen-free, we really have
6 potentially the less risk of exogenous microbes than we
7 do have allotransplants. So, we have to look at that
8 as a potential benefit even in the face of
9 immunosuppression.

10 I think what I've heard and what I've read in
11 terms of, again, minimums of immunosuppression that's
12 necessary, T cell induction therapy of anti-thymocyte
13 globulin, maintenance therapy, combination of
14 costimulatory blockade with either CD40, anti-CD40, or
15 anti-CD154 combination with anti-CD20 for B cell
16 coverage, and then maintenance therapy with anti-
17 metabolites and steroids.

18 It's interesting; I think Dr. Palevsky tried
19 to get to the question about our current use of
20 standard immunosuppression that includes calcineurin
21 inhibitors and recognizing that that model can't even

1 be adequately tested in our non-human primates still
2 sort of puts that question mark out there as to how
3 valuable, and really, what the place is for our
4 cornerstone immunosuppressive therapy for seeing eyes.

5 I think what is also important to recognize is
6 that, again, while the effects of standard of care
7 immunosuppression has been evaluated as best possible
8 in xenotransplantation in non-human primate model, the
9 specific effect of its use, mainly the effect on the
10 xeno organ itself amongst the various transplantable
11 organs that we talk about -- hearts and kidneys it's
12 been a lot of times -- is still largely unknown in the
13 human.

14 It's important to appreciate and exciting that
15 groups like David Sachs and others are already well on
16 their way to developing tolerance of mixed chimerism
17 and utilizing thymic transplantation in TREGs, so very
18 similar to what we're now currently doing in clinical
19 trials and allotransplantation.

20 So we may be able to eventually think about
21 that one side of the equation of our donor still

1 working towards perfection but yet being able to remove
2 immunosuppression on the recipient side. And so,
3 again, I bring it all back saying that there's
4 tremendous opportunities. There's been science which
5 has really demonstrated with each adaptive and
6 increasing innovation that we've had prolonged
7 tolerance and successful survival of these animals.

8 But really, we're not going to know until we
9 eventually get to the point where we feel comfortable
10 enough that we have those minimums, that we feel safe,
11 which is of course the number one priority that allows
12 us to move forward to these adaptive clinical trials
13 that probably allow us to get closer to determining
14 what is truly enough in addition to what other
15 potential interventions we have that are available that
16 we're using in the allotransplant model.

17 And then in addition, what's the minimum
18 amount of immunosuppression, if at all, thinking that
19 potentially being able to work towards tolerance, maybe
20 allow us to successfully see this from the bench to the
21 clinical bedside?

1 So, again, thank you for this opportunity.

2 **DR. LISA BUTTERFIELD:** All right. Thank you
3 so much. We're going to move to our second discussant,
4 Dr. Auchincloss next, and then we'll have a discussion
5 from the Committee members after that.

6 **DR. HUGH AUCHINCLOSS:** Let me just make two
7 points before we get to the general discussion. The
8 first, I think, is kind of obvious now. My experience
9 of working with the FDA in the past has been that they
10 like to show that each component of combination therapy
11 is an important individual component. That approach
12 clearly is not going to work here. We can't prove the
13 benefit of one genetic modification in
14 xenotransplantation. I think we've heard repeatedly
15 today that there has to be a cassette ranging from
16 three to more genetic modifications. So, it will not
17 be one at a time; there needs to be a combination
18 approach.

19 The other point that I'd make, at least in my
20 mind, is that in discussing the balance between
21 modifying the donor pig and immunosuppression of the

1 recipient, I would strongly lean towards further
2 modification of the donor pig rather than trying to
3 increase immunosuppression of the recipient. To do so,
4 I think makes xenotransplantation a second-class option
5 for a transplant recipient if they're going to have to
6 have more immunosuppression to make the organ survive.

7 So, I'd lean heavily towards further
8 modification of the donor pig. I'd close by just
9 turning back to Dr. Pierson and saying that you'd
10 mentioned you think it might actually turn out that
11 less maintenance immunosuppression will be used for pig
12 donors, and I'm curious as to why you think that.
13 Thank you very much.

14 **DR. LISA BUTTERFIELD:** All right. Let me see.
15 Yeah, so there was one particular question to Dr.
16 Pierson just now. So, I'll ask Dr. Pierson to respond,
17 and then I'll be watching for hands for questions and
18 discussion from the Committee members, please.

19 **DR. RICHARD PIERSON:** Thank you. Hugh, to
20 address your question, I think it refers back to the
21 work we published in the 1980s showing that the xeno-

1 immune responses were vulnerable to selective
2 inhibition of CD4 mediated immunity, whereas
3 allotransplantation you have to target both the CD4 and
4 the CD8 cells to prolong allograft survival at the skin
5 in mice. But that's now been shown for kidneys in
6 monkeys -- pig kidneys in monkeys confirming similar
7 work that was done by Allan Kirk and Tony Dorling in
8 the interim.

9 So, I think that with more focused
10 immunosuppression that has less deleterious long-term
11 consequences, we're going to be able to more safely
12 protect the xenograft. That has the specific advantage
13 when you deplete CD8 T cells, you eliminate a lot of
14 the antiviral immunity, and it's in that context that
15 endogenous CMD reactivation occurs which has
16 deleterious consequences for the host as well as
17 potentially at least for allografts. We don't know if
18 the xenograft will be similarly affected.

19 I think that co-stimulation -- I believe,
20 based on our work for 20 years on the pathway, that co-
21 stimulation pathway blockade will be a safer and more

1 effective immunosuppressive strategy as well as a
2 bridge to tolerance. And so, for those in those two
3 different but parallel veins, I think that co-
4 stimulation-based immunosuppression is more likely to
5 find its way into allotransplant in a prevalent way in
6 the next ten years, but that's merely an opinion and
7 that remains to be demonstrated.

8 One other point that I wanted to raise in
9 reference to Dr. Cooper's very nice commentary. We
10 have spent the last 15 years taking pig organs with
11 progressive individual genetic modifications and
12 studying them in a paired ex vivo lung perfusion model,
13 and in that model, we've been able to show that the
14 advantage of individual genetic modifications on the
15 hyperacute rejection response, which is what we have
16 the opportunity to measure in that model.

17 There has also been work with limb perfusion
18 done by the German group in cooperation with the Swiss
19 that looked at the HLA-E expression and demonstrated
20 that that did have an effective demonstrable protective
21 response, again, against early immune interactions when

1 human blood was perfused through a limb of a
2 genetically modified pig. So, we do have human
3 evidence that several of these individual genetic
4 modifications do have a salutary effect, and there's
5 other good in vitro evidence to support that as well as
6 mechanistic basis.

7 So, I think it's not without evidence. It is
8 the same time as you accurately point out, we are not
9 going to be able to come at this and test each of these
10 individual things by itself. But based on logical
11 inferences from the data we have, I think for each
12 organ people will be able to -- various investigators
13 will be able to come up with plausible strategies and I
14 would encourage the regulators to look at it in a
15 flexible way as opposed to a rigid way.

16 And I don't know how that translates into the
17 real world, but that's my advice. Thank you.

18 **DR. LISA BUTTERFIELD:** Thank you, and if I can
19 ask for the specific to the CD40 pathway inhibition, is
20 the thought there that you just need to transiently
21 inhibit priming until tolerance is established? I'm

1 sure you've said.

2 **DR. RICHARD PIERSON:** So, in the mixed
3 hematopoietic chimerism context, transient blockade for
4 just one month is the standard approach in non-human
5 primates and is respective 80 percent of the time, I
6 think, in the kidney model.

7 I think in clinical translation the
8 immunosuppression has been continued for longer periods
9 of time in the MGH mix hematopoietic chimerism
10 experience. But the conventional immunosuppression in
11 that case has continued, I think, for six months or
12 roughly. So, I don't think anyone knows what the
13 minimum duration necessary, but I think it will be
14 possible with the tolerance induction approach to only
15 require transient immunosuppression.

16 In the absence of mixed hematopoietic
17 chimerism or another tolerance induction approach, I do
18 think that co-stimulation pathway blockade can be used
19 safely for long-term immunosuppression, as is currently
20 done with belatacept as an alternative to higher dose
21 calcineurin inhibitor-based immunosuppression.

1 **DR. LISA BUTTERFIELD:** Thank you. All right,
2 so we've had a lot of great discussion and some
3 recommendations so far. So, I'm watching for hands
4 from our Committee members to discuss the most
5 promising strategies to prevent rejection and hear
6 hyperacute rejection, vascular injury, cell-mediated
7 rejection in pig organs. Let's hear from Dr. Bloom.

8 **DR. MARSHALL BLOOM:** Well, the one thing that
9 I would note, which really refers back to yesterday, is
10 one thing that definitely has to be avoided and is
11 going to be a major problem no matter how many genes
12 you knock out, how you deal with cell-mediated
13 rejection and stuff, is those donor animals have to be
14 negative for the porcine cytomegalovirus.

15 I mean, in the presentations from this
16 morning, it seems to be that if that happens, it
17 doesn't make any difference what else you do, that's
18 going to be a showstopper for efficacy of the graft.
19 Thanks.

20 **DR. LISA BUTTERFIELD:** Thank you. All right,
21 other thoughts and recommendations about the most

1 promising strategies? Dr. Fishman?

2 **DR. JAY FISHMAN:** Thank you. It seems to me
3 that what we've heard about are a large group of
4 potential genetic modifications that are linked to
5 specific immunosuppressive regimens. And so, the
6 notion that there is a best genetic modification pig is
7 on the genetic background of that pig, and the
8 immunosuppression has been adapted to the modifications
9 that are made. So, I'm not sure that I'm hearing that
10 there is a best immunosuppressive regimen or that
11 there's a best pool of genetic modifications or even a
12 best pig.

13 I've heard that there is a set of all of the
14 above that should be considered for each protocol, and
15 we have found the same in human-to-human tolerance
16 induction and the like, which is there is a bit of
17 trial and error in it. And as Dr. Pierson pointed out,
18 we don't know the best amount of time, for example, for
19 tolerance induction and we use immunosuppression for a
20 conservative period of time. But in fact,
21 hematopoietic chimerism is not maintained for a

1 prolonged period of time; it's maintained for a number
2 of weeks, and then seems to go away and the tolerizing
3 effect is maintained.

4 So, there's some things we don't understand
5 yet. So, I think that my point is that we ought to
6 have a package of pig genetic modifications, immune
7 suppression for each protocol, but that no one protocol
8 should be seen as intrinsically better than any others
9 except based on preclinical data that may be available.
10 So, we have to analyze the experience of an individual
11 team with an individual regimen in that setting.

12 Similarly, if you use certain immune-
13 suppressive agents, you're more or less likely to
14 stimulate infectious risk, so that there is this
15 balance of the whole package rather than an individual
16 strategy, I think. So, I'm not sure.

17 I mean, the least immunosuppression you can
18 get away with, and Hugh Auchincloss made the point,
19 that what we're trying to do is use as many genetic
20 modifications as we can to minimize exogenous immune
21 suppression because of infectious risk. That makes

1 intuitive sense, but it is going to be a package
2 regardless of which one we use.

3 **DR. LISA BUTTERFIELD:** Thank you. Let's hear
4 again from Dr. Cooper.

5 **DR. MARSHALL COOPER:** Thank you very much.
6 I'm actually thankful that Dr. Fishman made that
7 comment because I've come after days and days and days
8 of preparation review and reading so many of these
9 articles that I've come to a similar conclusion. So, I
10 guess I would, perhaps, then bring forth a question to
11 the Agency with that recognition -- at least maybe
12 recognition by several -- that there is a package of
13 genetic modifications and immunosuppression
14 availabilities.

15 We think, however, to get to the next stage,
16 which it sounds as if we're going to get to some form
17 of clinical trials, that there is a minimum though. Is
18 there a minimum that will allow to say that it is safe
19 to move forward? That when a sponsor comes forward,
20 the FDA would say, this is a minimum that we require in
21 order for the ability for the movement into the next

1 phase of clinical trials. Because it seems as if,
2 again, we can have our pie-in-the-sky perfect
3 genetically modified pig and eventually be able to work
4 towards tolerance, but that's not going to happen
5 overnight as many have said.

6 So, what do we think is the minimum that's
7 going to allow us to be able to go to the next stage?

8 **DR. LISA BUTTERFIELD:** I think I can put my
9 psychic hat on and suggest the response would be they
10 want to hear that from us. But if someone from the
11 Agency wants to weigh in here, I'll watch for that
12 hand. We will hear from FDA after the Open Public
13 Hearing later today.

14 **DR. WILSON BRYAN:** Dr. Butterfield.

15 **DR. LISA BUTTERFIELD:** Yes. Thank you, Dr.
16 Bryan.

17 **DR. WILSON BRYAN:** Your psychic powers are
18 very good. We appreciate the concept that the package
19 may be different in different clinical trials because
20 there's a lot to learn. But we aren't very interested
21 in what the minimum is and this Committee's thinking on

1 what that minimum should be. Dr. Hursh, you wanted to
2 add something?

3 **DR. DEBORAH HURSH:** Just to support Dr.
4 Bryan's position. It's not the Agency's position to
5 tell sponsors how to create their medical products. We
6 want you to come to us with data to support your
7 hypothesis and see how it goes.

8 That being said, we think the alpha Gal
9 knockout is probably the minimum that you need to work
10 with, and then the rest of it, you'll provide pre-
11 clinical data to support.

12 **DR. LISA BUTTERFIELD:** Terrific.

13 **DR. MATTHEW COOPER:** I appreciate that.

14 **DR. LISA BUTTERFIELD:** Thank you very much for
15 adding to the conversation right then. Anything else,
16 Dr. Cooper? Okay. Let's hear from Mr. Conway.

17 **MR. PAUL CONWAY:** Thank you very much. I'd
18 like to, one, thank Dr. Cooper for the comments that
19 he's raised and also for Dr. Fishman. But I wanted to
20 highlight in particular what Dr. Auchincloss talked
21 about, and that's from the viewpoint of patient

1 consumers and especially those who are taking a look at
2 the prospect of organ failure. This therapy, I think
3 what you want to try to avoid is having it viewed as a
4 second-class therapy or something where patients would
5 have to go ahead and endure a higher regimen of
6 immunosuppression.

7 And I think this particular question is a good
8 one. Right at the end of it, it asks about the balance
9 between modifications and immunotherapy. So, on the
10 allograft side, it's been discussed what the patient
11 burden is in terms of diabetes, gastrointestinal
12 issues, heart issues, and some of these other things.
13 But I think one of the things that the Agency should
14 keep in mind as they look at this question of the
15 balance and this is a role for the Agency.

16 Sponsors will come forward, but they should be
17 encouraged to bring forward with them patient insight
18 data as well, and the reason why is because the burden
19 of managing pills of trying to make certain that you're
20 on top in terms of compliance as a transplant patient
21 is significant that you have to make as a patient.

1 In my case, I've taken over 170,000 pills
2 since my transplant -- 170,000. So I'm on it, I'm a
3 counter, not every patient is like that. The prospect
4 of being able to have a therapy where you could have
5 less of a regimen that might be more predictable and
6 might have less side effects I think is very, very
7 important to keep in mind and be open to harvesting
8 those insights from patients -- both recipients and
9 those who are yet to be transplanted -- to get their
10 voice into this conversation as the Agency moves
11 forward and looks at it.

12 But I think the presentations have been
13 fantastic, and again, I think the questions posed by
14 the doctors are good ones in terms of tiering or
15 segmenting different pathways of different therapies
16 that we're considering and not having must fit all,
17 one-size approach. Thank you.

18 **DR. LISA BUTTERFIELD:** Great. Thank you very
19 much. So, I'm starting to hear, I think, some
20 consensus and points agreed upon. So, if there are no
21 more Committee comments at this time, I'll go ahead and

1 take a stab at some summarizing of some key points, and
2 then we'll have a few minutes for people to add to that
3 if they would like to.

4 So, in terms of the most promising strategies,
5 I think the theme is that more -- just echoed now by
6 Mr. Conway -- more modifications to the donor animals
7 that could be propagated, studies, added to over adding
8 regimens to the patients that might have a lot of side
9 effects over time. That we heard, in fact, suggested
10 and supported also by the Agency that in terms of
11 genetic modifications and the data are very strong
12 about the alpha Gal knockout, and that this might be
13 considered the minimum. As we've seen in the guest
14 speakers, we've seen really striking changes in the
15 survival plots of organs with triple knockouts in these
16 different carbohydrate molecules.

17 We've seen thrombomodulin complement as key
18 pathways for genetic modifications that can have
19 significant impact. So perhaps that minimum is closer
20 to two, perhaps it's four genetic modifications in the
21 donor animal that can be accomplished without donor

1 fitness. But also, to keep in mind that there are key
2 pathways where the specific modulations would have to
3 be matched to the animals and their background as well
4 as the target organs in the clinical transplant setting
5 to optimize. It will probably, as in all biology and
6 certainly immunology, one size will not fit all, and
7 that this will be based on the data package as
8 submitted.

9 But those carbohydrate complement cascades and
10 coagulopathies, those are all critical pathways and
11 that there are going to be limitations to the non-human
12 primate models that can be studied because there could
13 be effects specific to those that would not be expected
14 in humans.

15 And so, in all things we've talked about so
16 far yesterday and today, it can be early, and a lot of
17 the amazing genetic modifications were now
18 technologically capable of making remain to be tested
19 to the extent possible in non-human primate models, but
20 then ultimately in patients.

21 And then, lastly, PERV and PCMV are also

1 important targets that could be genetically eliminated
2 in the donor animals.

3 So, with that, let me ask Dr. Auchincloss to
4 add to that summary, please.

5 **DR. HUGH AUCHINCLOSS:** Your summaries are
6 really quite spectacular each time. Thank you very
7 much.

8 I would simply second what you just said, I
9 think, which is Gal knockout alone is not the minimum.
10 I think that Gal complement regulation and coagulation
11 modification are also a part of the minimum, but I'd be
12 interested in our two speakers talking about what they
13 think a minimum package might include.

14 **DR. LISA BUTTERFIELD:** All right. With that
15 specific question to our two guest speakers for that,
16 I'll ask Dr. Pierson and then Dr. Wolf if you would
17 like to add a brief specific about what you consider
18 the minimum.

19 **DR. RICHARD PIERSON:** I think for the kidney,
20 there's a case to be made for the Gal knockout by
21 itself in unsensitized patients. So, I personally

1 would be reluctant to bring that forward as a general
2 strategy because I think the number of -- it's only a
3 minority of patients who I would have access to this in
4 a clinical trial even if it were successful as a
5 clinical therapy.

6 I think the triple knockout there's a better
7 case for as a minimum for the kidney, but I still -- if
8 it were me as a patient -- would prefer that a
9 complement regulatory protein be included in that
10 context as a safety and as a protection in case of
11 elicited antibody becoming a factor.

12 The case for thrombin regulatory molecule
13 expression in the kidney has never been tested, to the
14 best of my knowledge, so I don't know what we can say
15 about that. Although, the evidence that Eckhard cited
16 showing that when complement and coagulation pathway
17 regulatory molecules were expressed on the kidneys,
18 it's actually data out of MGH with the eGenesis pigs.
19 It does look like having expression of both of those
20 genes may offer an advantage in that context.

21 But in terms of it, I think a hard and fast

1 minimum as has been alluded to, Dr. Butterfield, in
2 your comments and, Matt, in your comments, a hard and
3 fast minimum is not the course that I would advise.
4 But again, this is your job, not mine, to make a
5 recommendation in the FDA's decision, not mine.

6 With respect to the heart, I think as Hugh
7 alluded to, Gal knockout is the minimum along with
8 complement regulator and thrombomodulin. Triple
9 knockout would probably be better for clinical
10 application, but it will be not possible to validate
11 preclinically. So that is the caveat for regulator in
12 looking at the dataset, but I think that case is very
13 well established in the preclinical data that the
14 triple knockout is not readily testable preclinically.

15 So, Hugh, I hope that answers your question at
16 least from my perspective. I'd be very eager to hear
17 what Eckhard thinks.

18 **DR. LISA BUTTERFIELD:** Thank you. Dr. Wolf.

19 **DR. ECKHARD WOLF:** I think I can just echo
20 this statement. I don't have experience with kidney
21 transplantation, but, at least for the heart

1 transplantation, I think it's absolutely true what Dr.
2 Pierson said.

3 For me, the question is, would it be
4 acceptable to work preclinically in baboons with a pig
5 that has only a heterozygous CMAH knockout and later
6 on, nevertheless, use organs that have a homozygous
7 CMAH knockout in humans? Because there is no evidence
8 that this CMAH knockout could have a negative effect in
9 humans, and, simply for the preclinical testing, it
10 disturbs the outcome of the results.

11 **DR. LISA BUTTERFIELD:** All right. Thank you.
12 So, we have a few final words from members of the
13 Committee. Professor Fox, Dr. Morrison, and Dr. Wu,
14 and then we'll see where we're at.

15 **DR. BERNARD FOX:** I agree, Dr. Butterfield.
16 Great summary, again, and I guess I was just going to
17 comment about trying to advise the FDA. Given the data
18 that we've heard, I kind of wonder why we don't push
19 for more, like looking specifically at the triple
20 knockout. And it was, again, continuing to be
21 informative to listen to the last two presenters,

1 again, discuss the impact of maybe thrombomodulin and
2 maybe that there's not enough data on the kidney.

3 But it seems that the inference from the
4 preclinical data would be that it would be supportive
5 realizing that all the preclinical data may not advise
6 us in terms of how it's going to work in the clinic.
7 So, it seems like at least, from our perspective, there
8 should be at least two: the complement in addition to
9 the alpha Gal and potentially the thrombomodulin. And
10 I guess I'd be weighing in to support all three of
11 those given the advantage that it has in at least one
12 of the settings preclinically.

13 **DR. LISA BUTTERFIELD:** Thank you. Dr.
14 Morrison, and then Dr. Wu.

15 **DR. SEAN MORRISON:** In the context of the
16 conversation about minimal numbers of manipulations, I
17 think it's really important to bear in mind that one of
18 the things we heard is with a minimum set of
19 manipulations it would be necessary to prescreen
20 patients that have low levels of antibodies against
21 certain antigens. So, I think it's really important

1 that we not pursue a strategy in which large numbers of
2 patients wouldn't be candidates for the therapy because
3 of preexisting antibodies.

4 When we're talking about minimal numbers of
5 manipulations, it should be the minimum number of
6 manipulations that would really maximize a fraction of
7 the population that could potentially be treated with
8 this approach. Thanks.

9 **DR. LISA BUTTERFIELD:** Thank you. All right,
10 Dr. Wu, and then we'll close it out with Dr. Zeiss.

11 **DR. JOSEPH WU:** Yeah, so I have a question for
12 Dr. Pierson with regard to the patient selection for
13 the cardiac population. I think as you know the
14 patient in Maryland, he was actually quite sick before
15 he got the pig heart, and so that also makes it tougher
16 to prove that the pig heart transplant's safe. On the
17 other hand, if you pick your patient population that's
18 not so sick, there are other alternatives that says
19 these many (inaudible) that we can give to our
20 patients.

21 So, I just want to get your thought on how do

1 you pick the right population that gives the best
2 benefit to the patients without taking away some
3 readily available options that's just as good, if not
4 better?

5 **DR. LISA BUTTERFIELD:** Thank you, Dr. Wu.
6 Question -- that was a question for Dr. Pierson.

7 **DR. RICHARD PIERSON:** Thank you, Dr. Wu.
8 That's a great question. It's one that we've tried to
9 address in two recent publications. One in circulation
10 in 2020 and one that was published in *Transplantation*
11 just last week. I would refer you to those
12 publications for a detailed consideration that includes
13 some treatment of kidney as well as heart. There's a
14 sub-population of our (inaudible) heart failure
15 patients who are either are highly sensitized to
16 alloantigens but not to pig antigens who might benefit
17 significantly from early access to a heart xenograft --
18 timely access to a heart xenograft.

19 Additionally, populations of patients with
20 hypertrophic cardiomyopathies, patients with
21 amyloidosis, for example, can get into trouble with

1 arrhythmias and fall off a cliff quite rapidly. And
2 for them, an LVAD, even a small LVAD, at least the
3 HeartMate 3 is a poor choice that doesn't feel well and
4 doesn't give good support. And the truly micro LVADs,
5 the Impella style VADs, are only approved for short-
6 term use. We don't yet have a durable long-term VAD
7 that can support patients through recovery of kidney
8 function and onto a desensitization protocol, et
9 cetera.

10 So, there are -- not to go on too long -- but
11 I think there are populations of heart failure patients
12 for whom a heart xenograft would be an attractive
13 alternative either as a bridge to an allograft or as
14 destination therapy, as a definitive treatment option.
15 So, and as, I said, the more extensive treatment of
16 that I think you can find published and for the
17 Committee's reference.

18 **DR. JOSEPH WU:** Thank you.

19 **DR. LISA BUTTERFIELD:** All right. And we will
20 close this out by hearing from Dr. Zeiss.

21 **DR. CAROLINE ZEISS:** Question for Dr. Wolf. I

1 wonder if the growth hormone receptor-deficient
2 mutation may not have a use in real transplantation in
3 children. Children with chronic kidney disease can
4 become growth hormone receptor, also growth hormone-
5 resistant and you can get stunted growth, and the
6 treatment for them is recombinant growth hormone. If
7 you would add that on top of the intrinsic capacity of
8 the organ to grow, you could have a real problem in
9 children, and using those particular mutants may be
10 very valuable in children.

11 **DR. LISA BUTTERFIELD:** All Right. Dr. Wolf.

12 **DR. ECKHARD WOLF:** I'm not sure if I
13 completely understood your question. You are asking
14 whether growth hormone receptor-deficient organs could
15 have an advantage for children or could be a problem
16 for children?

17 **DR. CAROLINE ZEISS:** Could have an advantage,
18 specifically for renal transplants in children where
19 children with chronic kidney disease can become growth
20 hormone-resistant, and they are treated with
21 recombinant growth hormone and so having an organ that

1 is transplanted that would be resistant to responding
2 to that.

3 **DR. ECKHARD WOLF:** Right, yeah.

4 **DR. CAROLINE ZEISS:** Because human growth
5 hormone can bind to the pig growth hormone receptor.

6 **DR. ECKHARD WOLF:** I think for this very
7 special case it could be beneficial. Also, even the
8 smaller pig strains that are available, for instance,
9 the (inaudible) pig, whose organs would fit for adult
10 humans, they would be too large for children.

11 **DR. CAROLINE ZEISS:** Yep. Thank you.

12 **DR. LISA BUTTERFIELD:** All right, well, thank
13 you everyone for the discussion, all the questions, and
14 all of the perspectives. I'm sorry, do we also have a
15 final word from Dr. Hursh?

16 **DR. DEBORAH HURSH:** Yeah, I had a scientific
17 question for Dr. Pierson and Dr. Wolf. In regard to
18 all the human immunomodulatory genes that have been
19 knocked in in various of these pigs, has there been any
20 sense that they changed the pig's ability to fight off
21 viral infection in an unpredictable way?

1 **DR. RICHARD PIERCE:** I guess I'll start that.
2 The short answer is no. I'm aware that the CD46
3 membrane cofactor protein is a receptor for -- I think
4 it's the mumps virus or I think that's correct. To the
5 best of my knowledge, it does not increase the
6 susceptibility of the pig to any viruses that our pigs
7 are exposed to. So, there's no health effect
8 associated.

9 Is it possible that that gene expressed on the
10 pig organ would have a clinical effect if our patient
11 got mumps or measles and the kidney then, in theory,
12 would be more susceptible to binding the virus -- being
13 infected by the virus, whereas it might not be with pig
14 membrane cofactor protein. That's the only potential
15 context in which I can see the complement regulatory
16 protein expression potentially having a deleterious
17 effect with respect to infectious disease. Eckhard.

18 **DR. ECKHARD WOLF:** I would answer in the same
19 way, and I think it should not be a major problem
20 because humans express these proteins anyway, so I
21 don't see an increased risk introducing human protein

1 into pig organs.

2 **DR. DEBORAH HURSH:** Yeah, I think I was more
3 concerned about whether the pigs themselves might be
4 more susceptible to viruses that we might not be as
5 aware to be screening them for. I think that was more
6 the context I was considering.

7 **DR. RICHARD PIERSON:** I think the context that
8 I would recommend to consider that these source animals
9 for human organ grafts are going to be -- the husbandry
10 is going to be quite stringent, and the porcine CMB
11 illustrates one reason why. But the regulatory
12 Agency's been very clear that that is going to be best
13 practice and will be required. And I completely
14 support that.

15 Exposure of these pigs to human viral
16 pathogens is preventable and should be avoided and
17 should be -- whatever to the extent that I. Fishman
18 tells us it's necessary to document that, that is what
19 we ought to do. But, again, I don't want to suggest
20 that there should be a requirement that we document,
21 document, document all kinds of things which are highly

1 improbable.

2 If you have an animal housed derived by
3 cesarean section and raised in specific pathogen-free
4 environment and only coming into contact with humans
5 who are in moon suits, I think the risk is so low that
6 requiring documentation is probably overkill. Not
7 necessary.

8 **DR. CAROLINE ZEISS:** Thank you both.

9 **DR. ECKHARD WOLF:** I would fully agree and
10 also an allograft is not without infectious, risk. I
11 think we can control the xenografts much better.

12 **DR. LISA BUTTERFIELD:** Okay. It looks like we
13 have two more last questions that pertain directly to
14 Question 4. Dr. Beaston.

15 **MR. MICHAEL KAWCZYNSKI:** Sorry, I had Jay
16 first. Sorry.

17 **DR. LISA BUTTERFIELD:** Sorry. The hand had
18 gone away. All right, Dr. Fishman, please.

19 **DR. JAY FISHMAN:** Well, just to echo what
20 Robin [sic] Pierson said and Eckhard. The genetic
21 modification, the only downstream effect not really

1 implicated for viral infection, but if complement
2 levels are normal, they should not have increased risk
3 for bacterial, particularly encapsulated bacterial
4 organisms as well. So, I think it would be an easy
5 assay to do to make sure the complement levels are
6 normal, the immunoglobulin levels are normal in the
7 donor animals.

8 But otherwise, one wouldn't necessarily
9 anticipate an infectious risk secondary to the genetic
10 modifications, and the easiest thing is, are the
11 animals healthy? And I think if they're healthy, then
12 we probably have addressed that question.

13 **DR. LISA BUTTERFIELD:** Thank you, Dr. Fishman.
14 And finally, Dr. Beaston.

15 **DR. PATRICIA BEASTON:** Good afternoon. Thank
16 you for these great presentations. So, I have a
17 question about all of the manipulation. In their
18 article, Porrier (phonetic) described altered overall
19 structural integrity changes in the renal parenchyma
20 and suggested that this could be related to the genetic
21 manipulations. I was wondering how you're looking at

1 these animals as you're doing the manipulations and
2 looking for the integrity of the organs over time --
3 because number of alterations including growth
4 hormones.

5 So can you make a little comment on how these
6 are going to be chosen and how the normal aging of pigs
7 might be changed from these and then certainly it may
8 not be possible that one pig could be a source for
9 multiple transplants. Like, it could not give a heart
10 and kidneys to patients because the manipulations are
11 particularly developed for those organs.

12 So, if you could talk a little more about
13 these choices and then how you're following up on the
14 consequences of these choices, that would be really
15 helpful. Thank you.

16 **DR. RICHARD PIERSON:** Eckhard, do you want to
17 start and I'll -- I had the first go on all the other
18 questions. Can you hear me?

19 **MR. MICHAEL KAWCZYNSKI:** He's reconnecting his
20 audio. Hold on a second. While he's doing that, why
21 don't you take it away first, sir, and then we'll come

1 back to him.

2 **DR. RICHARD PETERSON:** Sure. Sorry. So, I
3 think that the pigs that are brought forward for heart
4 will have sufficient genes to be used as kidney donors
5 as well. So it may be that, while not all genes are
6 needed for the kidney, that it will be convenient and
7 may prove eventually to be advantageous to have
8 additional genes. The same goes for CD47, for HLA-E,
9 and some of the other genes that are in some of the
10 constructs. It will take time for us to understand how
11 consistent the expression of the various specific genes
12 is in the individual animals that come forward for
13 transplantation.

14 As Eckhard so nicely said, the epigenetic
15 modifications present in cloned animals may have
16 adverse effects or unpredictable effects on how
17 particular genes are expressed and other aspects of the
18 health of those animals. And so, his comment that,
19 eventually, the product that gets approved for clinical
20 use and goes into widespread clinical use should almost
21 certainly be from bred animals in whom the breeding

1 process should correct those epigenetic problems that
2 are associated with cloning.

3 That said, some cloned animals do not thrive
4 and die in utero or in infancy or during their
5 maturation phase. And some can go through crises
6 through their maturation but then get over those
7 problems.

8 And as a consequence, I would advocate that
9 cloned animals can and perhaps must be used as the
10 initial trial subjects, doing all we can to ensure
11 animal health and then assessing retrospectively over
12 or under expression of particular genes is associated
13 with better or worse outcomes as one begins to develop
14 a body of evidence.

15 Once we have a body of evidence, it'll be much
16 easier to say that the minimum gene set for kidney and
17 the minimum gene set for heart are the same or
18 different, and it will become easier for us to say that
19 additional expression of XYZ additional transgenes or
20 XYZ additional knockouts is advantageous or not.

21 But I think we can't expect that that's going

1 to come from preclinical data at this point, and I
2 think we are far enough along in our preclinical
3 database that I have made the strong case that I think
4 we're ready to start trying some of these candidate
5 strategies. And those will depend on what pigs are
6 available to each of these groups, and what evidence
7 they can come forward to the FDA with showing that this
8 is sufficiently dependable and sufficiently effective
9 in the preclinical model as to justify confidence on
10 the part of us and our IRB and the FDA that we're doing
11 something sensible.

12 And to our patient advocate, I encourage you
13 to hear his voice in what he would feel comfortable
14 with going forward with. The comment by Porrier among
15 the comments in the paper was that the tissues of that
16 pig organ were fragile and the ultrastructural
17 abnormalities that were described, I don't know what to
18 make of them. I'm not a renal pathologist.

19 The fact that that kidney had normal function
20 in the donor and then was still alive three days later,
21 again, I don't know what to make of the ultrastructural

1 abnormalities. Is that a cloning artifact? Is that a
2 consequence of the human transgene expression? Is it
3 associated with the carbohydrate knockouts? Simply, we
4 do not know, nor do we know what proportion of the pigs
5 produced have this. It might be worth asking that
6 question, but I don't know that I would put a lot of
7 weight on that individual, unique observation.
8 Eckhard?

9 **DR. LISA BUTTERFIELD:** I think that probably
10 ties to Question 5 that we'll be coming to later today.
11 Dr. Wolf.

12 **DR. ECKHARD WOLF:** I think in order to
13 demonstrate the integrity, it's necessary to
14 characterize precisely the transgene integration site,
15 and this can now be done easily with long (inaudible)
16 treatment sequencing and also perform functional
17 studies on the organs. For the heart and the kidney,
18 this can be easily done in the donor pig already.

19 **DR. RICHARD PIERSON:** By ultrasound for the
20 heart and kidney and then just by -- I don't think you
21 need to measure cardiac output in a healthy pig, but I

1 think you can measure creatine simply or B1SO and the
2 proteinuria in the kidney.

3 **Dr. ECKHARD WOLF:** Yes.

4 **DR. PATRICIA BEASTON:** So thank you very much.

5 **DR. RICHARD PIERSON:** Did that answer your
6 question?

7 **DR. PATRICIA BEASTON:** Yes. Thank you.

8 **DR. LISA BUTTERFIELD:** All right. So, I think
9 a little preview of some of the things that we'll
10 probably talk about after the break. So right now, I'd
11 like to, again, thank everyone and we're going to move
12 to a lunch break. The Open Public Hearing will be
13 next. That'll be 10:00 a.m. here in San Francisco.
14 That'll be 1:00 p.m. on the U.S. East Coast. So, thank
15 you all. See you back then.

16 **MR. MICHAEL KAWCZYNSKI:** All right, and with
17 that, let me switch this over to lunch. And studio
18 again we're going to take a -- I just want to make sure
19 -- we're going to come back at 1:00. So, we're taking
20 a 34-minute break. So, studio, go ahead and kill our
21 feed.

1

2

[LUNCH BREAK]

3

4

OPEN PUBLIC HEARING

5

6

MR. MICHAEL KAWCZYNSKI: Welcome back to FDA's

7

73rd meeting of the Cellular Tissue and Gene Therapies

8

Advisory Committee meeting. I'm going to hand it back

9

to our chair, Dr. Lisa Butterfield. Dr. Butterfield,

10

take it away.

11

DR. LISA BUTTERFIELD: Thank you very much.

12

All right. Welcome back and welcome to the Open Public

13

Hearing session. Please note that both the Food and

14

Drug Administration, FDA, and the public believe in a

15

transparent process for information gathering and

16

decision making. To ensure such transparency at the

17

Open Public Hearing session of the Advisory Committee

18

meeting, FDA believes that it's important to understand

19

the context of an individual's presentation.

20

For this reason, FDA encourages you, the Open

21

Public Hearing speaker, at the beginning of your oral

1 statement to advise the Committee of any financial
2 interests relevant to this meeting, such as financial
3 relationship with any company or group that may be
4 affected by the topic of this meeting. Likewise, FDA
5 encourages you at the beginning of your statement to
6 advise the Committee if you do not have any such
7 financial relationships.

8 If you choose not to address the issue of
9 financial relationships at the beginning of your
10 statement, it will not preclude you from speaking. So,
11 with that, we'd like to get started with the Open
12 Public Hearing. I'll hand this to Christina Vert, our
13 DFO.

14 **MS. CHRISTINA VERT:** Thank you, Dr.
15 Butterfield. What my camera's doing. Okay. I'll go
16 ahead. Before I begin calling the registered speakers,
17 I'd like to add the following guidance. FDA encourages
18 participation from all public stakeholders in its
19 decision-making processes. Every Advisory Committee
20 meeting includes an Open Public Hearing, OPH, session,
21 during which interested persons may present relevant

1 information or views.

2 Participants during the Open Public Hearing
3 session are not FDA employees or members of this
4 Advisory Committee. FDA recognizes that the speakers
5 may present a range of viewpoints. The statements made
6 during this Open Public Hearing session reflect the
7 viewpoints of the individual speakers or their
8 organizations and are not meant to indicate Agency
9 agreement with the statements made. Now, I will go
10 ahead and call on the first Open Public Hearing
11 speaker, which is Dr. Eliezer Katz.

12 **DR. ELIEZER KATZ:** Thank you. Do you see my
13 first slide?

14 **DFO CHRISTINA VERT:** Yes, we do.

15 **DR. ELIEZER KATZ:** Thank you. Thank you,
16 everybody, and good afternoon. My name is Dr. Eliezer
17 Katz. I am the chief medical officer of eGenesis. I'm
18 fully employed by eGenesis and holding stock option of
19 eGenesis. I would like to thank the Committee and the
20 FDA for the opportunity to present some of the eGenesis
21 perspective on this important topic that we've all

1 discussed here in the last two days. Next slide,
2 please.

3 eGenesis is utilizing state-of-the-art gene
4 engineering technology to produce human-compatible
5 porcine organs for transplantation. Next slide. To
6 bring this technology to clinical use, eGenesis, like
7 many others, has been engaged over the last few years
8 in extensive pre-clinical transplantation studies of
9 porcine organs into nonhuman primates. Although a
10 tremendous amount of data and knowledge were generated,
11 most of us here today would agree that transplantation
12 models of porcine organs to nonhuman primates has
13 significant limitations.

14 We can also agree that first-in-human study
15 will be critical in establishing proof-of-concept and
16 open the door for further development of this important
17 innovation. We can also agree that first-in-human
18 clinical study is not aimed to provide final and
19 definite answers. Therefore, we advocate a need for a
20 practical and effective path to first-in-human proof-
21 of-concept study.

1 Our approach utilizes F0 cloned donors
2 produced in a specified pathogen free barrier facility
3 for our GLP studies and our first-in-human proof-of-
4 concept study. Next slide, please. The production of
5 porcine donors starts with the generation of well-
6 characterized nuclear donor cell in which the genomic
7 edits are confirmed, the off-target affects are
8 characterized, and screening of adventitious agent is
9 performed.

10 The genetic edits include the knockout of the
11 three sugar antigens associated with hyperacute
12 rejection and the insertion of human (inaudible) genes
13 at the safe harbor within the porcine genome to
14 mitigate (inaudible), compliment system activity, and
15 immune system activation. Next slide, please. This
16 nuclear donor cell undergo electrofusion with oocytes
17 from a controlled donor population to generate the
18 embryo which then is being implanted to a controlled
19 surrogate who gives birth to the F0 cloned donors.

20 These cloned donors are maintained in a clean
21 barrier facility and are fully characterized, including

1 the confirmation of the genetic edit, the assessment of
2 off-target affect, the screening for adventitious
3 agents, and the evaluation of the donor herd. Next
4 slide, please. Control of infectious risk from
5 adventitious agents, including porcine endogenous
6 retrovirus, is critical for the success of
7 xenotransplantation as we heard in length in the last
8 two days during our discussion here in the Committee.

9 PERVs have been shown to potentially infect
10 human cells and, therefore, pose a potential risk for
11 porcine organ transplant recipients and the larger
12 community. To reduce this risk, we use CRISPR-Cas9
13 technology to inactivate the retrovirus reverse
14 transcriptase copies in the porcine genome, eliminating
15 viral replication and avoiding the risk of
16 transplantation and also of transmission.

17 In addition, we plan to adopt practical
18 approach to monitoring and controlling adventitious
19 agents. To do that, we believe we need to work in
20 collaboration with porcine and human infectious disease
21 experts, with our colleagues in industry, and of course

1 this agency. Next slide, please.

2 In summary, eGenesis' position on the path to
3 clinic in xenotransplantation includes the use of
4 specified pathogen-free F0 clone porcine donor organs
5 to be evaluated in our GLP safety studies, the use of
6 the same organs for the first-in-human clinical study,
7 and the reduction of infectious disease risk that will
8 include inactivation of PERVs and the implementation of
9 well-designed plan for the mitigation and control of
10 adventitious agents. This approach we hope will
11 provide for a practical path to proof-of-concept first-
12 in-human clinical study and open the opportunity for
13 bringing this life changing innovation to patients in
14 need. Thank you very much for listening and for the
15 opportunity to present for you. Thank you.

16 **MS. CHRISTINA VERT:** Thank you. Next speaker
17 is Dr. Sanjoy Dutta.

18 **DR. SANJOY DUTTA:** Good afternoon. My name is
19 Dr. Sanjoy Dutta. I'm the chief scientific officer
20 with JDRF International, the leading charitable
21 organization funding type 1 diabetes, or T1D, research.

1 JDRF's vision is a world without T1D, and our mission
2 is to improve lives today and tomorrow by accelerating
3 life-changing breakthroughs to cure, prevent, and treat
4 T1D and its complications. JDRF does not have any
5 financial disclosures.

6 The key points I will focus on today are, one,
7 the unmet needs that exist in T1D and, two, the
8 potential for xenotransplantation to meet these needs.
9 In particular, porcine islet xenotransplantation
10 presents a solution to the shortage of human islets as
11 a potential cure for T1D. For the 1.6 million
12 Americans with T1D, the mainstay of disease management,
13 insulin, has been around for over 100 years, but it is
14 not a cure.

15 The burden and risks of life-long T1D disease
16 management falls almost entirely on people with T1D and
17 their caregivers, requiring 24-hour-a-day diligence to
18 maintain glycemic levels, prevent long- and short-term
19 complications, and survive. While technologies to
20 administer insulin and monitor glucose levels has
21 improved, subcutaneous exogenous insulin replacement is

1 not physiologic and is insufficient to restore the
2 body's natural ability to maintain glucose homeostasis.

3 For example, data from the T1D Exchange
4 Registry in the U.S. shows us that less than one-third
5 of people with T1D in the U.S. are consistently
6 achieving target hemoglobin A1C levels. And on
7 average, those with T1D have a decade-less life span
8 than the general population. Among the leading causes
9 of mortality for people with T1D are renal failure and
10 heart failure.

11 Although human organ donors can successfully
12 address end-organ failure, the supply of human organs
13 is insufficient to meet the demands, and
14 xenotransplantation could be a potential approach to
15 address this unmet need. As evidenced by the
16 successful phase three safety and efficacy study of
17 cadaveric islets, led and funded by the NIH Clinical
18 Islet Transplantation Consortium, transplantation of
19 donor human islets could be a cure for T1D.

20 Results of that trial showed that islet cell
21 transplantation can significantly improve glycemic

1 control, protect patients from severe hypoglycemic
2 events, and restore counter regulatory measures while
3 improving quality of life and, for some, provide
4 insulin independence for up to five years or longer.
5 However, the available supply of human donor islets is
6 limited, and these transplants require chronic
7 immunosuppression which further limits the use of this
8 treatment to only a subset of those with T1D.

9 Therefore, JDRF is supporting a multipronged
10 approach to support the research of curative therapies
11 that could provide a replenishable source of cells and
12 reduce or eliminate the need for chronic
13 immunosuppression. This multipronged approach includes
14 research in xenotransplantation which builds on the
15 following. One, we know that the cell types and
16 cellular architecture of pig islets are a very faithful
17 model for human biology and diabetes.

18 Two, pigs could be a source of islets that
19 could potentially be more abundant and could benefit
20 from stricter quality control than is possible with
21 human islets. And, three, there is a long history of

1 success with pig insulin for the treatment of this
2 disease. Transplantation of pig islets could be a
3 promising avenue to develop new cures for T1D. Data is
4 available to show that neonatal and adult porcine
5 islets are able to correct diabetes in immune-
6 compromised mice, pigs, and nonhuman primates.

7 Progress in genetic modification of the source
8 pig has allowed the generation of animals that are free
9 of defined pathogens and also free of specific targets
10 for immune rejection by human recipients. This offers
11 the opportunity to improve the engraftment and survival
12 of islets xenografts. To that end, JDRF has funded
13 nonclinical research using gene editing of pancreatic
14 pig islets to remove xeno antigens likely to trigger
15 hyperacute rejections as well as research with
16 encapsulation devices designed to provide immune
17 protection.

18 First-in-human clinical studies of
19 encapsulated pig islets have shown promising results in
20 both early efficacy signals and safety with no zoonotic
21 infection issue detected thus far. We encourage the

1 FDA and the Advisory Committee to consider all
2 available scientific information to develop reasonable
3 and adaptive regulatory pathways for products devised
4 from xenogeneic sources.

5 We also encourage FDA and Advisory Committee
6 to consider existing regulatory guidance from other
7 agencies worldwide as to the extent possible globally-
8 aligned regulatory-framed work will help research and
9 development and speed patient access to curative
10 therapies. This is especially important --

11 **MS. CHRISTINA VERT:** Please finish up.

12 **DR. SANJOY DUTTA:** -- for complex novel areas
13 such as this and for diseases like T1D where the unmet
14 needs remain significant. In summary, despite advances
15 since the discovery of insulin over 100 years,
16 morbidity and mortality rates as well as disease burden
17 for those with T1D remain unacceptably high. We need
18 cures.

19 We thank the Committee and the FDA for the
20 careful consideration of not only the risks of
21 xenotransplantation but also the potential benefits of

1 those awaiting organ and tissue transplants as
2 potential cures for T1D. Thank you.

3 **DFO CHRISTINA VERT:** Thank you. Thank you.
4 This concludes the Open Public Hearing. I thank you
5 for your comments and presentations. I will now hand
6 the meeting back over to Dr. Butterfield.

7

8 **FDA PRESENTATION: FUNCTIONAL STUDIES OF PIG ORGANS**

9

10 **DR. LISA BUTTERFIELD:** Great. Thank you so
11 much. We appreciate those perspectives from the Open
12 Public Hearing. Now, as we move to discuss our final
13 Questions 5 and 6 for today, I'd like to welcome Dr.
14 Beaston from OTAT and CBER for her presentation.

15 **DR. PATRICIA BEASTON:** Good afternoon. I'm
16 Patricia Beaston, a clinical reviewer in the Office of
17 Tissues and Advanced Therapies. Today, I will give a
18 brief introduction for clinical considerations for
19 functional studies of pig organs that will be used for
20 transplantation. With improvements in surgical
21 techniques, tools, donor recipient matching, and

1 immunosuppressive regimens, the success of
2 transplantation can exceed 90 percent at one year, and
3 10-year survival has surpassed 50 percent.

4 The success of kidney transplant is greater
5 than that for liver transplant, which is greater than
6 that for heart transplant. Living donor transplants
7 are more successful than cadaveric donor transplants.
8 While these are life-saving and life-improving strides,
9 there is a shortage of donors, living or deceased,
10 compared to the number of patients on waiting lists.
11 And some potential recipients have characteristics that
12 make achieving a match near impossible.

13 To address the imbalance between the need for
14 transplantation and the availability of donors, the use
15 of organs from other species has been considered for
16 more than a century, with tissues and cells being
17 investigated in the more recent past. As discussed
18 previously by Ms. Arcidiacono, there has been much
19 interest in the considerations for donor animals, the
20 requirements for immunosuppression, and the risks for
21 zoonosis.

1 We must remember that the purpose of
2 transplantation is to provide replacement of function
3 for organs, tissues, or cells that are no longer able
4 to support life or to treat serious and life-
5 threatening conditions in patients. Therefore, it is
6 important to consider whether the product obtained from
7 the source animal is sufficient to approximate the
8 physiology of the human organ, tissues, or cells that
9 it is meant to replace.

10 Surgical techniques for organ transplantation,
11 heart, lung, liver, and kidney, are well established.
12 However, there are no data to determine the appropriate
13 criteria for organ selection, such as the age of the
14 source pig or the size of the organ. The clinical
15 review starts with input provided by the Chemistry and
16 Manufacturing Controls, CMC, and Pharmacology
17 Toxicology, or PT, reviewers as this information forms
18 the basis of the evaluation of the safety and
19 mitigations contained within the composed clinical
20 protocol.

21 As presented by Dr. Hursh, the CMC reviewer

1 determines that the organ, tissues, or cell obtained
2 from the source animal meets the requirements for
3 transplantation. The pharmtox reviewer considers
4 whether the animal model is appropriate for clinical
5 condition or disease. These considerations include but
6 are not limited to the route of administration, which
7 should mimic the proposed clinical routes as much as
8 possible and include the surgical approach, delivery
9 devices, concomitant medications, and immunosuppressive
10 regimens that would be the same or similar as those
11 proposed for the clinical study.

12 While immunosuppression regimens for
13 allogeneic transplants are well established,
14 immunosuppressive regimens that are appropriate for the
15 xeno organ, tissues, or cell are not well established.
16 The pharmtox evaluation of immunosuppressive regimens
17 for xenotransplantation in nonhuman primates is limited
18 because commonly-used drugs may not be as effective or
19 well tolerated in nonhuman primates. This also limits
20 the ability to demonstrate prolonged function in the
21 transplanted organ.

1 To assess the proposed clinical studies, the
2 clinical team considered data gathered from pre-
3 clinical study endpoints for safety and organ function.
4 I will introduce two of the major potential safety
5 issues that would be considered in the review of the
6 proposed clinical protocol. In general, if the
7 transplanted organ, tissues, or cells cannot meet or
8 approximate replacement of the human organ, tissues, or
9 cells, this mismatch can pose a risk to the recipient.

10 Allogeneic kidney transplant has the
11 expectation that the donor kidney will provide
12 replacement therapy. The move to xenotransplant
13 requires consideration of the kidney's functions and
14 the need to explore whether the xeno kidney can provide
15 replacement of all of these functions. And, if not,
16 can the risks of these physiologic mismatches be
17 mitigated?

18 In addition to waste removal, the kidney
19 regulates electrolytes and is a complex endocrine organ
20 that produces, converts, and responds to hormones. The
21 actions of these hormones are not always conserved

1 across species. I will describe the few examples of
2 these complex functions. We will start with fluid
3 balance, blood pressure, and electrolyte balance.

4 Potassium phosphate wasting has been reported
5 in pig to cynomolgus monkey bilateral nephrectomy
6 model. And free water wasting has been reported in a
7 nonhuman primate model and raises concerns for a
8 potential mismatch for a response to (inaudible)
9 present. In sodium regulations, (inaudible) excretion
10 is influenced by several natriuretic peptides which act
11 on the kidney until pairing (phonetic) is achieved
12 through the renal sympathetic nervous system and the
13 renin angiotensin aldosterone axis.

14 We know that porcine renin does not cleave
15 human angiotensinogen. The Vitamin D parathyroid, or
16 PTH, axis is critical in maintaining calcium and
17 phosphate levels within the appropriate physiologic
18 range. The kidney is the site of 1-alpha-hydroxylation
19 of 25 Vitamin D to produce the active form of Vitamin D
20 in response to PTH. PTH also promotes tubular
21 reabsorption of calcium while inhibiting phosphate

1 reabsorption.

2 Amino acid sequence for PTH is not conserved
3 between humans and pigs. And the response of the pig
4 kidney to human PTH has not been described. Porcine
5 erythropoietin is only 80 percent homologous to
6 nonhuman primate erythropoietin and does not support
7 nonhuman primate erythropoiesis. Similarly, porcine
8 erythropoietin does not support human erythropoiesis.
9 While not unique to the kidney, it should be noted that
10 pigs and primates have a mismatch in the coagulation
11 cascade.

12 This mismatch can increase the risk of
13 thrombus formation and requires consideration during
14 the transplant and post-transplant periods. We must
15 also consider the pharmacokinetics and pharmacodynamics
16 of drugs that will be used in the peri-transplant
17 period to provide immunosuppression to manage the
18 recipient's other medical problems or complications
19 that may occur from the transplant procedure or
20 immunosuppression.

21 There are drugs, such as SGLT2 inhibitors, for

1 the treatment of diabetes that act on the kidney. It
2 is important to understand whether the xeno kidney and
3 the human kidney had similar responses to these drugs.
4 In addition, the xeno kidney and the human kidney may
5 have different metabolisms of certain drugs, and this
6 difference could result in underdosing, leading to
7 ineffective therapy, or overdosing, leading to possible
8 toxicity.

9 Such differences in metabolism would be most
10 critical for drugs that have a narrow therapeutic
11 range. Additionally, some drugs can be toxic to
12 organs. It is important that the drugs used in the
13 post-transplant period are not toxic to the
14 transplanted xeno organ. In summary, FDA considered
15 the potential benefit and the potential risks of all
16 stages of clinical development.

17 The hope for benefits is for the transplanted
18 organ to (inaudible) cells to provide the intended
19 physiologic and functional replacement. However, with
20 this benefit comes many risks, both known and unknown.
21 Risks from the route of administration include risks

1 associated with implantation procedure, such as
2 bleeding and infection, and risks associated with the
3 site of implantation based on the organ, tissues, or
4 cells to be transplanted.

5 Yesterday, Ms. Arcidiacono introduced
6 considerations for immunosuppression regimens and
7 infectious risk. Today, I have presented a brief
8 discussion of considerations for physiologic mismatch
9 in the case of the kidney xenotransplantation and
10 considerations for clinical pharmacology. For
11 recipient's safety, it is important to consider the
12 requirements of the transplanted organ, tissues, or
13 cells, in our examples the pig kidney, to provide
14 replacement therapy.

15 The clinical protocols should identify the
16 risks associated with the proposed treatment and
17 provide a specific plan to mitigate these risks. Such
18 a plan should consider the subject eligibility
19 criteria, the treatment plan, safety monitoring, and
20 management of physiologic mismatch. FDA is looking
21 forward to the Committee's discussion of Question 5 and

1 6 on considerations of evaluation of pig organs that
2 will be used for xenotransplantation to replace human
3 organs. Thank you.

4

5

Q&A

6

7 **DR. LISA BUTTERFIELD:** Thank you very much,
8 Dr. Beaston. We have time for some questions about Dr.
9 Beaston's presentation, so I'm going to watch for hands
10 up from the Committee members. I appreciate your
11 highlighting a number of things that we're going to
12 have to think about and discuss as we move into
13 Questions 5 and 6 focusing on organ function.

14 All right. So I'm not seeing any questions
15 immediately from the Committee members. Okay. We do
16 have one from Mr. Conway. Thank you.

17 **MR. PAUL CONWAY:** Hi, doctor. Thank you very
18 much for walking through your presentation. It was
19 very good. I have one question for you, and I know
20 that this has been a source of discussion at FDA and
21 also among patient advocates. It's a pretty clear

1 understanding, I think, among patient advocates what
2 the role of the science of patient insights is on the
3 device side of FDA.

4 But for those patient advocates that are
5 listening and for those patients and families that are
6 listening that have unique insights, can you tell us
7 what the role of those insights are in deliberations
8 like this on the drug side of the FDA? Thank you.

9 **DR. PATRICIA BEASTON:** Well, we do really
10 appreciate the input from patients and their
11 caregivers. As you heard yesterday, we also have an
12 additional consideration for public health because of
13 the risk of (Inaudible), so we also consider those. I
14 heard you today say that you want this to be simpler.
15 So my goal is to make sure we have a good understanding
16 of what is ahead of us.

17 So if some of these physiologic mismatches
18 I've mentioned requires a greater burden on you, I
19 don't know that that would be satisfactory. But it
20 might be with testing prior to doing the transplants we
21 may understand ahead of time which drugs may be better,

1 which other things that we could do to further modify
2 that. So we do give this a lot of thought. Thank you.

3 **MR. PAUL CONWAY:** Thank you very much. I
4 appreciate it.

5

6 **INVITED SPEAKER: PIG TOXICOLOGY STUDIES**

7

8 **DR. LISA BUTTERFIELD:** All right. Thank you
9 both. If there are no other questions right now
10 regarding Dr. Beaston's presentation, then I think
11 we'll go ahead and move to our other speaker. We have
12 in invited speaker on pig toxicology studies, Dr.
13 Helke, from Medical University of South Carolina.

14 **DR. KRISTI HELKE:** Good afternoon. Thank you
15 for the opportunity to talk with you. It has become
16 obvious during these last two days why we're talking
17 about pigs in this session. But why are we talking
18 about toxicology in pigs? I think Dr. Beaston just
19 highlighted why we're having this discussion now. So
20 far, we've been talking about very relevant and
21 specific concerns with xenotransplantation.

1 The talks we've heard are promising and
2 optimistic that we are very close to
3 xenotransplantation. I'm going to talk more about
4 hypothetical but very real concerns that we've not yet
5 discussed. We need to be sure that any drugs given to
6 humans that have had a successful xenotransplant will
7 be metabolized in a similar manner to the native organ
8 or that we know and are prepared for any differences in
9 metabolism so that any differences or concerns
10 regarding metabolism can be anticipated and addressed.

11 Dr. Wolf was the first person today to mention
12 the different breeds and why it may be important to
13 consider this. Today, I'm going to discuss the
14 different pig breeds used in research. I'm going to
15 talk about drug metabolism, including not only some of
16 the enzymes that are involved but also the locations
17 and organ systems important to drug metabolism and
18 current knowledge of such in the pig.

19 And one of the things we learn in vet school
20 is that many species have breed differences, as breeds
21 are selectively bred for specific traits or

1 characteristics. This is also true for pigs and leads
2 to some of the differences we see in the drug
3 metabolism. The Hanford breed was originally bred in
4 1958 and is currently used for dermal toxicity. But,
5 with its size similar to humans, it's a good surgical
6 model and is often selected for cardiovascular studies
7 because the size of the heart of the adult Hanford
8 breed is similar to humans.

9 The Sinclair breed was the first breed
10 developed specifically for research. It was originally
11 developed by the Hormel Center at the University of
12 Minnesota in 1949. There's one lineage of this breed
13 that actually has the melanoma that spontaneously
14 regressed, so it is used in cancer research as well.
15 They're currently selectively breeding this line to be
16 even smaller and with white skin to be used in dermal
17 toxicity studies.

18 The current Yucatan population used in
19 research are descendants of only 25 animals that were
20 imported to Colorado from Mexico in the 1960s. This
21 breed is very easily trained and is quite docile.

1 Again, there's also a white hairless line for dermal
2 toxicity studies. The Gottingen was originally bred
3 beginning in 1969 at the University of Gottingen. They
4 are bred from the Vietnamese potbelly pig, the
5 Landrace, and the Minnesota minipig.

6 That being said, it has since been made
7 available outside of the European Union. This is great
8 because it's the same breed being used everywhere. But
9 what has happened is they've developed all of these
10 different breeding colonies. What happens with that is
11 you end up with genetic deviation or drift from one
12 colony to another, like you would see in mouse
13 research. This becomes potentially relevant when
14 looking at these drug metabolizing enzymes.

15 I would be remiss if I did not also mention
16 the breeds used in Asia. There are numerous pig
17 breeds, but I'm only going to mention these two: the
18 micromini, which is commonly used in Japan, and the
19 Bama, which is used China. Both of these breeds have
20 been studied for their utility in toxicology studies.
21 And many papers have been published examining the

1 amounts and activities of the drug-metabolizing enzymes
2 in these breeds.

3 So if you look at the toxicity literatures,
4 these breeds are very commonly represented. Finally,
5 there are the agricultural breeds. There are many
6 different agricultural breeds, but these three, the
7 Yorkshire, Duroc, and Landrace, are the ones that are
8 mostly commonly used in research studies. They're not
9 typically used in toxicity studies. But, if you'll
10 remember, as I mentioned about the minipigs, many of
11 them have one of these agricultural breeds in their
12 lineage.

13 Now, I'm going to switch gears and talk very
14 briefly about drug entry pathways. Drugs enter the
15 body by the mouth, by injection, or topically. After
16 entry into the body, the drug will have contact with
17 cells. For drugs taken orally, the drug must enter the
18 gastrointestinal epithelium, and this can be via
19 passive diffusion or by active transporters. In some
20 cases, the drug is then transported intact into the
21 blood stream, but the drug may also undergo metabolism

1 within the epithelial cells.

2 After it enters the bloodstream, the drug can
3 then be delivered to the liver and kidney, which are
4 both important organs of drug metabolism. Drug
5 metabolism is composed of Phase I reactions, Phase II
6 reactions, and finally by elimination. We'll be
7 talking more about these later in the presentation.
8 There are not many studies looking at transporters in
9 the pig and comparing to those in humans.

10 But few of the references that are available
11 state that the transporters do have similarity between
12 pigs and humans, and it's about approximately a 72
13 percent sequence homology between the species. A
14 couple of transporters that have been looked at in the
15 pig are the ATP-binding cassette, or the ABC
16 transporters, and the solute carriers, or SLCs. The
17 ABC transporters are efflux transporters which help to
18 move the drug out of the cell, and the pig P
19 glycoprotein 1 or multidrug resistance 1 transporter
20 can be inhibited or induced.

21 The breast cancer resistance protein, or BCRP,

1 is also an efflux transporter found in both pigs and
2 humans. SLC transporters are influx transporters
3 helping transport drugs into cells. The organic anion
4 transporters, or OATs, and organic cation transporters,
5 or OCT, are SLC transporters that are also found in the
6 pigs. Although, several individual genetic variations
7 have been found in the organic cation transporters.
8 There is a group of scientists examining these
9 different transporters. They're known as the
10 International Transporter Consortium.

11 As we'll see later, they're still determining
12 which transporters are present and relevant in humans.
13 And there's really nobody looking at this in pigs.
14 We're just basing what we look at in pigs on what we
15 find in humans. Next, I want to go ahead and discuss
16 the first reaction that happens after the drug enters
17 the cell, and that is the Phase I reaction. These
18 reactions expose functional groups of the parent
19 compound which may result in either increased or loss
20 of drug activity.

21 They result in the exposure of functional

1 groups for Phase II reactions. The Phase I reactions
2 are either oxidative, reductive, hydrolytic, or
3 dealkylating in nature. The enzymes that mediate these
4 reactions include the cytochrome P450 enzymes which,
5 hereafter, I will refer to as CYP enzymes or CYPs. The
6 CYP enzymes are the enzymes in all species that are
7 most frequently involved in drug metabolism. Other
8 enzymes that can facilitate these reactions include the
9 flavin monooxygenases, the monoamine oxidases,
10 molybdenum hydroxylases, in addition to others.

11 For those of you that are interested, I've
12 included the reactions catalyzed by the Cytochrome P450
13 families. I'm not a biochemist, but I wanted to
14 highlight an example of a hypothetical CYP
15 hydroxylation. After the product has been released
16 from the active site, which you'll see at Number 6, the
17 enzyme returns to its original state with a water
18 molecule returning to occupy the distal port position
19 of the iron nucleus.

20 Depending on the substrates in the enzymes
21 involved, the P450 enzymes can catalyze any of a wide

1 variety of reactions. Because of the vast variety of
2 reactions catalyzed by the CYPs, the activities and
3 properties of many of the CYPs differ in many aspects.
4 There may be overlap between isoforms, meaning that
5 more than one isoform performs the same or similar
6 reaction. CYPs are a family of enzymes that are
7 functionally conserved in all mammals as we saw.

8 In humans, the most important Phase I
9 biotransformation enzymes are the CYPs, and there are
10 three primary families that are involved in the
11 majority of all drug biotransformation. These are
12 CYP1, CYP2, and the CYP3 families. These enzymes are
13 found in the ER, or endoplasmic reticulum, and
14 mitochondria of the liver, GI tract, kidney, as well as
15 the skin and other organs. The liver is the most
16 important organ in drug transformation in mammals,
17 including both pigs and humans.

18 When looking at the content of these
19 cytochromes in the liver -- and this is looking at
20 nanomoles of the protein in the fraction of liver that
21 contains the cytochromes, also known as the microsomal

1 fraction -- per milligram of total liver protein, we
2 can see that there are differences among the species.
3 In humans, there are about 0.3 nanomoles per milligram.
4 And in the agricultural farm pigs, it's similar in that
5 it's 0.22 to 0.46. But you'll see in the minipig that
6 it's actually more than twice what you would find in
7 either the human or an agricultural pig.

8 It looks like that's just what I've just
9 mentioned. The study reported here found a greater
10 concentration of the cytochromes in minipigs compared
11 to agricultural pigs, which we need to keep in mind
12 when we start looking at specific studies and
13 differences between the cytochromes. We need to keep
14 the breed that was used for the measurement in mind
15 when we're looking at these numbers. Not only are
16 there breed differences in levels or amounts of the
17 cytochromes present, but there are also polymorphisms
18 between species and within species.

19 There are also allelic variations leading to
20 interindividual variations. Some individuals may carry
21 multiple copies of certain cytochromes. With

1 completion of the genome sequencing of the different
2 breeds being finalized, some pseudogenes have been
3 found in the pig for other enzymes, which are not
4 functional within the pig but are homologs to
5 functional enzymes within the human.

6 Another source of variation in many of the
7 published studies are not only what is measured but
8 what assay is used or how it is measured. When
9 discussing amounts or quantities of enzymes, many
10 papers measure mRNA via PCR. The PCR products may be
11 measured using qtPCR or RT-PCR. Levels of protein have
12 been measured by Western Blot, ELISA, or mass spec,
13 which all have very different sensitivities. And
14 activity levels have been measured by substrate assays
15 or using inhibition assays.

16 Some papers look at one, some at two, and some
17 at all three measures. There's not a linear
18 correlation between the RNA levels and the protein
19 levels, nor is there always a linear correlation
20 between protein levels and activity levels. There's
21 also evidence for post-transcriptional regulation of

1 the enzyme. So a little more information on the
2 activity level and how it's measured.

3 In humans, these studies have been conducted
4 by determining whether the metabolism of a specific
5 substrate or set of substrates happens. And this is to
6 measure whether there is a presence or absence of a
7 specific cytochrome enzyme. Most substrate reactions
8 are specific for a single human cytochrome. In pigs,
9 this is not always the case. In substrates metabolized
10 by humans, cytochrome 2D are metabolized by the pig
11 cytochrome 2B family.

12 There are other substrates that are
13 metabolized by multiple pig cytochromes, whereas in the
14 human it's only one cytochrome. Now I'm going to talk
15 about the common drug metabolizing enzymes found in
16 humans and pigs. In humans, there are 57 cytochromes
17 which are primarily in six families. These enzymes
18 metabolize over 90 percent of the drugs. In humans,
19 three of these six families are most commonly involved
20 in exogenous drug metabolism.

21 The remaining families are involved in

1 metabolism of endogenous substances. The three
2 families important in exogenous metabolism are the
3 CYP1, 2, and 3, as listed here. Within each family,
4 there are several isoforms. Each enzyme is an isoform,
5 and they are derived from different genes. I'm going
6 to just run through some of the common isoforms.

7 For the cytochrome family 1, there are two
8 common isoforms that have over 80 percent sequence
9 similarity between humans and pigs. Depending on the
10 reference, isoform 1A1 in both humans and pigs has been
11 reported to both have sex differences, and it's also
12 been reported to not have sex differences. And this is
13 something that is consistent throughout the literature
14 discussing these cytochromes is the lack of
15 consistency.

16 No sex differences have been reported in the
17 1A2 isoform in pigs. That doesn't mean it doesn't
18 happen. It just may be their methodology that was used
19 in that paper. This family metabolizes carcinogens,
20 including aromatic and heterocyclic amines. It
21 metabolizes estrogens, mycotoxins, xanthenes, some

1 antidepressants, and analgesics. Specifically, CYP1A2
2 has the role of metabolism of antipsychotics, caffeine,
3 and theophylline.

4 It's also been shown to be induced by drugs,
5 including a normal dose of omeprazole, which is a
6 common over-the-counter drug. And this induction has
7 been shown to be consistent across species. In humans,
8 the CYP1A family metabolizes about 20 percent of the
9 substances tested. There have been reports of activity
10 being sex related with higher activity in females, only
11 in minipigs, or in males, and this is human males. And
12 it was Caucasian males. There are also changes in the
13 amount of CYP1 as the animal ages with decreasing
14 levels as the animal or human ages.

15 The cytochrome 1B family is the predominant
16 isoform in humans in organs outside of the liver. And
17 this isoform has not been characterized in the minipig.
18 Moving to the CYP2 family, here we have a menu for
19 isoforms to discuss. On the left, I have the human CYP
20 listed with the corresponding pig cytochrome in the
21 next column. Then I have a column with amino acid

1 similarity. In the final column, I have listed any
2 differences that have been reported in the literature.
3 There are sex differences in some of these cytochrome
4 families, and there are also breed differences in some
5 of them.

6 The CYP2 family metabolizes nicotine,
7 nitrosamines, aflatoxin B1. We have thus far been
8 talking about differences between humans and pigs, but
9 here we have information that's specifically for the
10 2A19 isoform. There is a difference between pig
11 breeds, and there's a 99 percent similarity between
12 Gottingen and conventional breeds. But that means that
13 there's one percent that is not homologous, and that
14 may be significant.

15 Female Gottingens have shown to have a 70-time
16 higher activity level than males for this family. But
17 when intact males are castrated, the activity in these
18 males increases ten times, showing that androgen levels
19 do affect CYP activity, but it's not completely related
20 only to the androgens or sex hormones. Yucatan females
21 have been reported to have a five-time higher activity

1 than males, and there have been no sex differences in
2 activity reported in humans. Again, there are marked
3 species, breed, as well as sex differences.

4 The CYP2B family metabolizes diazepam,
5 lidocaine, cyclophosphamide, and tamoxifen. No sex
6 differences in activity have been shown in Yucatan in
7 this family, and levels are increased in conventional
8 pigs relative to humans. Levels in young animals are
9 the highest and then decrease as the animals reach
10 adulthood. Overall, there are many inconsistencies in
11 what is known about the CYP2B isoforms in the pig.

12 One of the substrates commonly used for
13 testing activity in human cytochrome 2B family is
14 dealkylation of 7-pentoxoresorufin. This assay was
15 used in some of the studies examining porcine
16 cytochromes but was not used by all groups. There are
17 also inconsistencies in sources of the hepatocytes and
18 thus differences in the microsomes that were used in
19 these tests. Another variable is that the CYP2 family
20 can be induced by phenobarbital and a few other drugs.

21 In humans, the CYP2C family metabolizes 22

1 percent of drugs, including losartan, propofol,
2 estrogens, testosterone, and methadone. In pigs, the
3 CYP2 isoform show cross reactivity toward many of the
4 test substrates, not just those for human CYP2C. And
5 it has proven difficult to extrapolate between the
6 species for this family. In the CYP2D family, this
7 family metabolizes antidepressants, antipsychotics, as
8 well as beta blockers.

9 In humans, this family has high inter-
10 individual variances with multiple polymorphisms or
11 alleles. This family has not been focused on in the
12 pig, but what has been found is that many of the human
13 CYP2D substrates have been found to be metabolized by
14 the pig CYP2B family. The final group in this family
15 is the cytochrome 2E family. This family metabolizes
16 alcohols, ketones, anesthetics, and nitrosamines.
17 Metabolism by this family can lead to production of
18 highly reactive toxic or carcinogenic metabolites.

19 I think one of the more relevant and important
20 aspects of this family is that it can be inducible by
21 both alcohol as well as high-fat diet. None of these

1 studies that have been done in pigs look at how these
2 factors may affect levels or activity of this or any
3 cytochrome family in the pig. This family can be
4 induced by stress, by increased translation, and no
5 change in transcription. In many pigs, studies have
6 shown higher activity in females than in males.

7 Conversely, there have been no sex differences
8 noted in studies of the CYP2E in any of the
9 conventional breeds that have been examined nor have
10 they been shown in humans. In humans, there are two
11 important CYP3 isoforms, and in pigs there are three
12 important isoforms. Again, both sex and breed
13 differences have been shown in the pig for this CYP
14 family. In humans, this family represents 30 percent
15 of the total cytochromes in the liver.

16 This family metabolizes at least 27 percent of
17 exogenous substances in the human and is involved in
18 steroid hydroxylation and converts sex hormones as well
19 as polycyclic, aromatic hydrocarbons, and pesticides.
20 The CYP3 family is highly expressed in many organs in
21 humans, and this is the primary family in humans. A

1 couple of highlights are that the pig also expresses 3A
2 in several organs, although this family is not the
3 primary one in the pig. It has been shown that
4 transcriptional regulation is different between humans
5 and pigs. Differences between breeds have been shown.

6 And, again, the diet can differentially affect
7 the activity level of this cytochrome family in males
8 and females. A study was done looking at the effect of
9 chicory root in the diet, and it was shown that the
10 presence of chicory root in the diet decreased the
11 enzyme activity in males, whereas in females the
12 activity was increased. To review, there are no major
13 differences in substrates, inducers, or inhibitors, and
14 tissue distribution between humans and pigs in CYP1A1,
15 1A2, and 3A.

16 Several studies have shown that Gottingen
17 minipigs have higher content overall relative to three
18 breeds of conventional pigs and two races of humans.
19 Both content or levels of the enzyme and activities of
20 cytochromes differ among the breeds. Significant sex
21 differences have been shown in porcine cytochromes but

1 not all breeds. While sex steroids or hormones have
2 been shown to have an effect, the sex differences are
3 not always dependent only upon those sex hormones.

4 There have been several studies done by Kojima
5 (phonetic) et al. that have looked at several
6 cytochromes in two different breeds as well as F1
7 hybrids of these two breeds. The findings have shown
8 that there may be a positive or negative correlation
9 with administration of testosterone and some
10 cytochromes are increased, whereas others are
11 decreased. The takeaway is that there are significant
12 discrepancies in the interpretation of cytochrome
13 levels and substrate specificities. And many of these
14 discrepancies are due to different assays and
15 measurement techniques being used.

16 We've heard much about these issues in
17 yesterday's presentations and discussions for viruses
18 as well. These studies also show that whether a
19 cytochrome family is inducible and the magnitude of
20 induction differs across tissues and cell types, even
21 when exposed to the same chemical inducer. There are

1 similar concerns when looking at activity. Some of the
2 studies measure activity per milligram of microsomal
3 protein whereas some of them look at activity per
4 milligram of whole liver protein.

5 These discrepancies may account for some of
6 the differences between the sexes if in some breeds the
7 females have more cytochrome enzymes overall within the
8 liver. Some of the other variables I've mentioned
9 briefly include genetics, both breed and parental
10 lineage, the age of the animal. For some cytochromes,
11 very young animals may not express a specific
12 cytochrome, whereas for other cytochromes the highest
13 expression is in animals less than three months old.

14 There are sex differences as well as sex
15 differences with age. Diet factors may be more
16 pronounced with age. There are also epigenetic factors
17 to consider. Circadian variation has also been
18 reported, so the time of sampling for the study is
19 relevant but rarely reported. Transcriptional
20 regulation is also important but poorly studied. I've
21 included this figure to demonstrate that organs develop

1 at different rates between pigs and humans.

2 With all of the variation I just reviewed, I
3 believe it's imperative that we make sure that the
4 organ that's being transplanted has matured if it's
5 going to be placed into an adult, and I think we've
6 covered that in some of our discussions in the last day
7 and a half. The reason we're talking about drug
8 metabolism at all is likely twofold. One, you want to
9 make sure that the drug you're giving the patient can
10 be metabolized appropriately by the xenograft.

11 Two, you want to make sure that the drugs are
12 not toxic to the xenograft. There will be many cases
13 in which drug-drug interactions also need to be
14 considered. Another facet we need to consider is,
15 while the drug may not be directly toxic, it may
16 inhibit a particular cytochrome isoform that results in
17 toxicity from another drug that would use that
18 inhibited cytochrome. I'm going to move quickly
19 through the Phase II conjugation pathways.

20 In the Phase II reactions, these reactions
21 result in the formation of the covalent linkage between

1 a functional group and either glucuronic acid, sulfate,
2 glutathione, amino acids, or acetate. This will
3 increase the polarity of a compound to aid in
4 excretion. In most species, glucuronidation and
5 sulfation are most important covalent reactions in drug
6 biotransformation. But not as much research has been
7 done on the Phase II enzymes so far in the pig.

8 It is known, however, that sulfate conjugation
9 in swine is slower than in other species and that to
10 offset this other reactions predominate in the pig.
11 Whereas sulfation is more predominate in humans, it
12 turns out in the pig the pig is more efficient than the
13 human at glucuronidation, so it will glucuronidate in
14 place of adding a sulfate in many cases. As I just
15 mentioned, pigs compensate by using other Phase II
16 enzymes to metabolize, and pigs also have a high
17 acetylating capability.

18 In the pig, not much is known about the UGT or
19 its isoforms, other than the fact that it is more
20 efficient than the human. I am going to go through the
21 organ systems right now and just talk about what is

1 known in the pig. I'm just going to touch on the
2 liver, GI, and kidney. Starting with the liver, there
3 are numerous influx and efflux transporters. This
4 slide represents a human hepatocyte. It's from a
5 review in 2010, so 12 years ago. The transporters in
6 blue are known transporters, but they were not thought
7 to be of much importance in drug metabolism.

8 Then, in a review from the same group in 2018,
9 you can see that they have added more transporters that
10 they're aware of. Ones that they didn't think were
11 important, now they think are, which is represented by
12 the color change. And the point of showing this is
13 that in eight years the study of the most important
14 drug metabolizing organ in humans has led to advances
15 and new knowledge, and there's funding to support
16 studies like this.

17 Until there's a group of toxicologists and
18 pathologists that can systematically examine the pig, I
19 think we're lagging far behind in basic scientific
20 knowledge for this species. The liver performs primary
21 or pre-systemic extraction with the receipt of the port

1 of blood flow. There are both Phase I and Phase II
2 enzymes in the liver. The porcine liver contains
3 similar levels of glutathione transferase and UDP-
4 glucuronosyl transferase to the human. Overall, the
5 quantity of the isoforms are quite different between
6 the two species within the liver.

7 This shows the protein levels, which is
8 picomoles per milligram of microsomes in the pig on the
9 left and in the human on the right. In the pig, the
10 most abundant protein is the CYP2A19 followed by 2D25
11 and 2E1. In humans, the most abundant protein is CYP3A
12 followed by 2C25, 1A1, and 2E1. So you can see that
13 there are profound differences in the liver of the
14 cytochromes. Moving onto the intestine. Again, just
15 showing you that in 2010 these are the transporters
16 that they were aware of and thought were important.

17 Those circled in green in this slide actually
18 have higher levels in the pig. If they're in red, they
19 had lower levels, and grey had similar levels. So
20 that's just a comparison between the two species.
21 Again, you can see there are different levels of the

1 transporters in the intestine. In 2018, there are more
2 transporters that the group discovered and thought were
3 important. In the GI tract, passive cellular diffusion
4 is the primary mechanism of intestinal drug absorption.

5 Other variables to consider are that there are
6 profound interspecies differences in the level of
7 salivary amylase, the pH of the stomach, small, and
8 large intestines, the rate of gastric emptying. GI
9 transit time also differs between species, and the age
10 of the animal again matters when discussing drug
11 absorption and metabolism. The GI tract is the most
12 important extrahepatic site of drug biotransformation.
13 Most molecules pass through the enterocytes after oral
14 administration.

15 In both pigs and humans, CYP3A is the most
16 abundant bio transforming enzyme in the small
17 intestine. Overall, pigs do have similar gut
18 physiology to humans. Other factors to consider in the
19 GI tract are the efflux transporters, which I discussed
20 previously, bile salts that solubilize the lipophilic
21 drugs, and the bile flows is similar between humans and

1 pigs. Here is another figure showing the cytochromes
2 in the jejunum between the pig on the left and human on
3 the right.

4 And you can see, in the jejunum at least,
5 there is more similarities between the cytochromes.
6 Finally, let's talk about the kidney. The kidney does
7 have some drug metabolizing capability, and this figure
8 should be starting to look familiar. Here it is in
9 2010, again in 2018. You can see that the transporter
10 number has increased. Without doubt, whether or not
11 the kidney contributes to metabolism, it is the most
12 important organ for elimination of drugs and their
13 metabolites.

14 Of the most commonly used therapeutics,
15 approximately one-third will undergo elimination
16 through the kidney. As far as metabolism, the kidney
17 only has one-tenth of the cytochromes expression as
18 does the liver. Although, in some cases, it's
19 metabolic activity may surpass the liver, depending on
20 the drug. Within the kidney, there are regional
21 differences in regards to enzyme levels, and the

1 metabolism of drugs occurs primarily within the
2 proximal tubules.

3 Substrates and inhibitors of renal
4 transporters are well documented in the human, and
5 studies looking at cytochromes in the kidney are rare.
6 In a few studies looking at other species, it has been
7 shown that in the rabbit the S2 and S3 segments are
8 enriched in cytochromes levels. And in the rabbit
9 there are sex differences in the liver, but they're not
10 evident in the kidney. I mentioned that some
11 cytochromes may be induced in the liver -- and this is
12 also true in the kidney -- but there are differences.

13 In some cases, the same drug will induce
14 cytochromes in both organs, or in some cases the drug
15 is organ-CYP-inducing specific. So barbiturates would
16 induce cytochromes in the liver but not in the kidney,
17 whereas polycyclic hydrocarbons will induce cytochromes
18 in both the liver and the kidney. It's going to be
19 difficult to extrapolate findings in other species to
20 the pig if the studies are not done in pigs.

21 Of note, large differences have been noted in

1 the renal metabolism between mice and rats, and they
2 are more closely related than humans and pigs. There
3 was one study in China where they attempted to cause
4 acute kidney injury with a drug. Not only were the
5 results of the study inconsistent between groups, they
6 were inconsistent between individuals. There remains
7 much to learn about the kidney reaction to drugs in the
8 pig and renal metabolism of drugs in the pig.

9 In humans, the kidney expresses the 3A
10 isoform, but levels of the cytochrome vary by race,
11 with Africans expressing highest levels and Caucasians
12 the lowest. This is relevant as nephrotoxicity of
13 cyclosporin and tacrolimus, two commonly-used drugs in
14 immunosuppression, is dependent upon the 3A5 genotype.
15 There are similar processes and pathways between the
16 two species, but levels of the enzyme and rate of
17 metabolism may differ between and even within the
18 species.

19 **DR. LISA BUTTERFIELD:** Dr. Helke, we will want
20 to leave a few minutes for questions.

21 **DR. KRISTI HELKE:** Okay. Let me make two more

1 points. I'm just going to apologize to the vegans and
2 vegetarians, but the bottom line is that most of the
3 original work has been done in the pig examining drug
4 metabolism in cytochromes stems from the fact that
5 agricultural side has had an interest in making pork
6 more palatable. Many initial studies looked at porcine
7 cytochromes to decrease "boar taint," and breed
8 differences emerge, as some of the studies showed.

9 I'm just going to skip through all of this.
10 You guys have the slide deck for your perusal. There
11 are holes in knowledge. Then, at the end, I have
12 placed some value-added slides here for the Committee
13 to consider in their deliberations. I'm not going to
14 go through them but would recommend that the background
15 lesions in xenotransplant models be examined
16 systematically as it has been in these minipig breeds
17 used in toxicology studies. They're all findings from
18 the control animals in toxicology studies.

19 I'll also mention that finding the funding to
20 do these studies is difficult. With the slides I have
21 provided, the tissues were collected and processed as

1 part of a study for toxicology. But funding to do this
2 de novo needs to be considered in order to see what
3 sort of background pathology may be present in the
4 populations of potential xenotransplant pigs. Thank
5 you, and I'll end there. I'm sorry I went over.

6

7

Q&A

8

9 **DR. LISA BUTTERFIELD:** Thank you very much,
10 Dr. Helke. We do have a couple minutes for questions.
11 While I watch for hands from the Committee, I wanted to
12 ask it seems, as you've shown, there's a lot of
13 biochemistry in drug metabolism that's either known or
14 anticipated to be very different between pigs and
15 humans and more so between what could be a considerable
16 variation from one human being to another.

17 Perhaps as sponsors think about the
18 engineering that they propose in the porcine hosts for
19 these organs, perhaps basing the strain choice in part
20 on what's known about the metabolic changes would be
21 valuable?

1 **DR. KRISTI HELKE:** I think so. The problem is
2 that even between the breeds there is inconsistencies
3 in the literature right now as it stands. If you look
4 at one study that compares pigs to humans, then their
5 methodology is going to be the same throughout that
6 paper, which is great. But it's difficult to compare
7 from one group of scientists to another because they
8 don't necessarily use the same, like I said,
9 methodologies.

10 But, yeah, there are individual differences in
11 human as well. But I think it is something that's
12 going to have to be considered. Like I said when I
13 started my talk, Dr. Wolf did mention the differences
14 in breeds and the growth rates. But I've had a hard
15 time finding -- I see all these papers on the
16 xenotransplant, and it says there was a genetically-
17 modified pig used. But what I can't find is what breed
18 was that.

19 **DR. LISA BUTTERFIELD:** Yeah. That's
20 important. One of the things we talked about yesterday
21 was an opportunity for some consortia efforts to help

1 propose standards. Do you think that there's an
2 opportunity here in some of these biochemical and
3 sematic-type studies?

4 **DR. KRISTI HELKE:** Oh, absolutely. I think
5 there needs to be. You want to keep up with the
6 science, and I understand that some of these papers
7 were probably done in the 80s. And, yes, science has
8 advanced. But that doesn't mean we can't redo a couple
9 of those to see if that's consistent or if this new
10 methodology changed the outcome or our interpretation
11 of the outcome.

12 **DR. LISA BUTTERFIELD:** I'm wondering, because
13 the CYPs are so critical to drug metabolism and some of
14 the drugs that are key to the clinical situations we're
15 talking about, is there a short list of things that you
16 would prioritize for measurements? Or would that be
17 just very hard to think about?

18 **DR. KRISTI HELKE:** I think it's hard because
19 you've got so many of them that overlap. It may be one
20 CYP that does this reaction in the human. But in the
21 pig, that reaction is metabolized by two CYPs, neither

1 one of which are the same as the one that's in the
2 human.

3 **DR. LISA BUTTERFIELD:** Are these studies that
4 can be in vitro?

5 **DR. KRISTI HELKE:** Most of them are done in
6 vitro. They take liver samples and then isolate the
7 microsomes. One thing I didn't get to mention is that
8 a lot of these are isolating microsomes, which is
9 essentially the ER. But that leaves the mitochondrial
10 aspect out. There was a recent paper done in rats
11 showing that you've got CYPs both in the mitochondria
12 and in the ER.

13 So, if you're only looking at the microsomes,
14 you're looking at the ER, you're leaving that whole
15 mitochondrial component out. So maybe the better way
16 to do it is to look at whole liver. I'm not sure. And
17 some of the studies do look at whole liver, and maybe
18 that's why there are differences.

19 **DR. LISA BUTTERFIELD:** All right. Great.
20 Thank you very much. This is definitely going to
21 factor into our discussion on Question 6. Any final

1 questions from other members of the Committee? Dr.
2 Bloom.

3 **DR. MARSHALL BLOOM:** Yes, that presentation
4 can only be described as a cornucopia of detail. I'd
5 just be sort of curious to hear what Dr. Pierson and
6 Dr. Wolf's reaction to all that was. You talked a lot
7 about the kidneys, the transporters, and stuff like
8 that. I'm curious what they're feeling about this and
9 how much of what you talk about is something that they
10 take into consideration or think about when they do
11 their studies. Thanks.

12 **DR. LISA BUTTERFIELD:** Okay. I don't know if
13 we can call on them now, if they're easy to call on, or
14 if we should ask them to be ready to perhaps respond to
15 that question when we have the full Committee
16 discussion.

17 **DR. MARSHALL BLOOM:** That'll be fine. That'll
18 be fine.

19 **DR. LISA BUTTERFIELD:** Okay. Why don't we do
20 that. Again, I'll thank you, Dr. Helke, for that
21 presentation. Now, we are scheduled for a short break

1 before we go into the long discussion of both Questions
2 5 and 6. So let's come back in 15 minutes. We're
3 scheduled for 10, let's come back in 15 refreshed and
4 all ready to weigh in on both of these questions.
5 Thank you very much.

6 **MR. MICHAEL KAWCZYNSKI:** All right. Studio,
7 if you can take us to break.

8

9 **[BREAK]**

10 **COMMITTEE DISCUSSION OF QUESTION #5 & 6**

11

12 **MR. MICHAEL KAWCZYNSKI:** All right. Welcome
13 back to FDA's 73rd meeting of the Cellular Tissue, and
14 Gene Therapies Advisory Committee meeting. That was
15 our last break. I'm going to hand it back to our
16 chair, Dr. Lisa Butterfield. Take it away.

17 **DR. LISA BUTTERFIELD:** All right. Thank you,
18 very much. So, welcome back, everyone. And now we've
19 had two presentations about our last two questions for
20 today about xenotransplantation. So, now let's move to

1 discussion of Question 5. We'll have two discussants
2 to present their views and to start the discussion ball
3 rolling. And then we'll move to full Committee
4 comments. And I'm looking forward to hearing from most
5 of the members of the Committee on this.

6 So, Question 5 is: transplantation of pig
7 cells and organs is intended to provide replacement for
8 non-functioning/damaged human cells and organs.
9 Therefore, it's important to understand the
10 characteristics of these cells or organs in the pig to
11 ensure they have the characteristics needed to provide
12 replacement therapy for the human recipient before
13 transplantation. And it is important to monitor these
14 cells and organs to demonstrate they provide the
15 expected functions after transplantation.

16 Please discuss existing data to address the
17 following issues related to pig cells and organs
18 intended for transplantation into humans -- so, both
19 before and after transplant -- A, the ability of the
20 target pig organ to support full organ function in
21 humans, and, B, the natural aging of the target organ

1 in the pig relevant to expected organ function over
2 time in humans -- so, organ function and function over
3 time. So, our two discussants are Dr. Zeiss and
4 Palevsky. So, Dr. Zeiss, please start us off.

5 **DR. CAROLINE ZEISS:** Thank you, Dr.
6 Butterfield. And thank you, Dr. Beaston and Dr. Helke,
7 for setting the stage. And all that toxicology, it
8 certainly makes me want to live a healthier lifestyle.
9 I wanted to address in some more detail the issue of
10 overgrowth of the donor organ because this is not a
11 benign phenomenon. The pathology is very significant.
12 And it's independent of rejection associated pathology.

13 So, you've heard from previous speakers that
14 the pig has a very strong intrinsic capacity for
15 growth. Pigs are production animals. They've been
16 bred for a long time to grow fast and very big. And
17 that is reflected in the capacity of the organs to do
18 the same. We see from pig-to-pig allograft experiments
19 that this is associated with breed, and it is an
20 intrinsic capacity.

21 We have also -- I also had the same experience

1 as Dr. Helke, that trying to find the pig breeds that
2 are used for the creation of genetically altered pigs,
3 it's very difficult to find this. And I'm sure that
4 there are people here who know what these major breeds
5 are, but they are not well reported in the literature.
6 I do think that even if we use some of the smaller
7 breeds, some of that potential for intrinsic growth
8 capacity is going to be retained because the ancestral
9 streams are still these production breeds.

10 When you put a pig to baboon, a kidney --
11 there are some reports on that -- on those xenografts,
12 the kidneys grow very quickly. So, approximately they
13 double their size in about three months. And that is
14 not a benign phenomenon. It's associated with
15 aggressive increase in creatinine. And on explantation
16 histology there are ischemic lesions in the kidney
17 associated with intracellular edema and fibrosis.

18 When it comes to hearts, you see very much the
19 same thing, so, a very quick doubling, two to three
20 times the size of the original size of the heart,
21 accompanied by biventricular hypertrophy and poor

1 cardiac function and on histology, myocardial
2 hypertrophy and necrosis, interstitial edema and
3 fibrosis, as well as a microangiopathy. And these are
4 the animals that have previously been referred to
5 (audio skip) these die within 30 days.

6 So, in the same study, this is Langen
7 (phonetic), 2018, this was overcome by taking a three-
8 pronged approach. The first was based on the rationale
9 that pig blood pressure is slightly lower than non-
10 human primate blood pressure. And I think that that
11 may be the case in some studies. However, if you look
12 at multiple papers looking at reference values for
13 pigs, in adult pigs they are pretty much the same as
14 people, in the 120 over 80 range. There is some
15 variation.

16 So, their first approach was to give anti-
17 hypertensives. The second was to taper Prednisolone
18 sooner because Prednisolone also has a trophic effect.
19 And third, which I think turned out to be possibly the
20 most important intervention was to use an mTOR
21 antagonist. So, mTOR is quite central to cardiac

1 hypertrophy in showing rat studies -- in hypertensive
2 rats, that the central mechanism to engaging the heart
3 in a hypertrophic response is mTOR. And if you block
4 that, you can block that response.

5 We also see hypertrophy of the heart in
6 allograft. So, this is not restricted to xenografts.
7 It is a complication of cardiac allografts as well.
8 And there is evidence to suggest that extrinsic factors
9 such as hypertension may play a role. And I think with
10 the pig xenografts, the combination of the intrinsic
11 capacity of the heart to grow very fast, combined with
12 extrinsic factors such as hypertension -- which are
13 likely to be very common comorbidities in transplanted
14 patients, that these two could have a very strong
15 synergistic effect.

16 I'd like to talk a little bit about the
17 Baltimore patient. So, this individual was
18 transplanted with a 10-gene edited pig heart. And this
19 included the growth hormone receptor deficiency. So,
20 one of our previous speakers talked about preventing
21 this hypertrophic response in pig to baboon xenografts

1 by transplanting organs that had the growth hormone
2 receptor deficiency and that that took care of the
3 problem. And certainly, in the baboons it did.

4 However, in the patient in Baltimore that was
5 transplanted with one of these growth hormone receptor
6 deficient hearts, that did not solve the problem. So,
7 this individual was hypertensive, and he experienced
8 progressive biventricular hypertrophy throughout his
9 60-day course of survival. When the heart was examined
10 after he had died, it had doubled in weight, and it had
11 very similar lesions to what was seen in monkeys -- so,
12 cardiac myocyte necrosis, edema and some evidence of
13 humeral mediated rejection. So, there was some
14 evidence of rejection there.

15 Now, the question has come up what is the role
16 of CMV, what is the mechanism? We know it's
17 reproducible. That having CMV in the patient decreases
18 longevity of the transplant. However, the mechanism is
19 not entirely defined. And I think certainly it's
20 reasonable to assume that it engages the immune system
21 and that it contributes to graft rejection. But there

1 was certainly no evidence of CMV -- classic CMV
2 associated pathology in this heart.

3 So, the use of mTOR. So, in terms of the
4 mechanisms that creates the hypertrophy, growth hormone
5 is one. It's fairly upstream. mTOR is fairly
6 downstream, and it connects with all kinds of upstream
7 mediators -- upstream trophic mediators. And then it
8 connects downstream many, many signaling pathways. And
9 so, trying to -- I had asked a question earlier about
10 could it conditionally knock that out. If that could
11 be feasible, it may be one way to prevent the patient
12 from being on mTOR inhibitor for the rest of their
13 life.

14 But I think that we need to do more research
15 to understand the mechanisms of controlling this
16 hypertrophic response because it is not a benign
17 response. And I think that it -- certainly in the
18 Baltimore patient it seemed to be a very significant
19 factor in loss of the tissue.

20 Dr. Beaston very, very nicely set out all of
21 the differences in -- I'm going to switch -- leave that

1 topic behind and switch now to a couple comments about
2 the kidney, about physiologic differences. I don't
3 really have anything to add to those that Dr. Beaston
4 listed. I will just say that with xenotransplants in
5 baboons we have seen good GFR's, good urine output,
6 good urine SG retention and normal serum creatinine for
7 three months afterwards.

8 Pig kidneys tend to concentrate urine a little
9 less. The urine is a little bit more dilute. There
10 are a number of mechanisms behind that. Part of it is
11 the anatomy. There are fewer lung nephrons. They
12 don't respond to human ADH quite as well. They have a
13 slightly lower albumin. And certainly, pigs -- baboons
14 with pig kidneys can experience episodes of
15 hypervolemia that required fluid supplementation.

16 Pigs have got a higher serum phosphorus that
17 is quite significantly higher than people -- about 8.6
18 milligrams per decimeter compared to 3 to 4.5 in
19 people. And that certainly, I think, could create some
20 complications of (inaudible) phosphorus balance. But
21 that's only in the short-term. It has not been seen in

1 baboons.

2 I want to make a couple comments on hepatic
3 xenotransplantation. One of the major roadblocks there
4 is that we still get profound thrombocytopenia. So,
5 this is due to captured recipient platelets by pig
6 Kupffer cells. In terms of islet xenotransplantation,
7 the hitch there is that there is inconsistent efficacy.
8 And these may be superseded at some point by human stem
9 cell approaches.

10 And then lastly, I wanted to talk on the
11 second question, the expected age and trajectory of
12 transplant pig kidneys. So there isn't a lot of data
13 on old pigs out there because they're food animals. We
14 do see some data on geriatric micro-mini pigs, so, pet
15 pigs. And they generally have the usual sort of array
16 of not very interesting, not very pathogenic things
17 that all of us get.

18 I wanted to pick out two that I thought could
19 be relevant. The first is a kidney. There is a
20 relatively higher proportion of interstitial fibrosis
21 glomerulosclerosis with aging. And this occurs pretty

1 much across all species. However, if you combine this
2 with potentially a hypertensive recipient, that could
3 certainly accelerate this propensity.

4 And then in terms of their arterial systems,
5 you do see some arterial thickening in the aorta, some
6 intimal proliferation, some medial minimalization. And
7 I will point out that pigs are fairly athero-sensitive.
8 Many species are not. Most animals have really quite
9 pristine blood vessels by the time they die. And that
10 is very different from humans.

11 It is likely that pig blood vessels arteries
12 will probably experience the same pathology, depending
13 on a person's lifestyle, than ours do. So, all to say
14 that these organs are going into people often with
15 complicated comorbidities. And the impact of those
16 comorbidities on the implanted organs is something that
17 we have no data on because we simply don't have those
18 comorbidities. So, I think that is something that --
19 it might be something that just needs to wait to get
20 human data on to fully understand that.

21 I think the take home point that I have seen

1 from reading these papers is that there are quite
2 unexpected things that happen that are quite difficult
3 to predict from looking at pig to baboon studies. I'll
4 finish up by saying the transgenes, these may have
5 altered expression over time, and this may be tissue
6 specific. And so, we could accumulate tentative
7 rejection, coagulopathy over time. And I think with
8 that, I will stop.

9 **DR. LISA BUTTERFIELD:** All right. Thank you
10 very much, Dr. Zeiss. And now, our second discussant,
11 Dr. Palevsky.

12 **DR. PAUL PALEVSKY:** So, I'm going to focus on
13 the kidney since I'm a nephrology. And I want to thank
14 Dr. Zeiss, Dr. Beaston, and Dr. Helke for their really
15 setting the stage here.

16 When we talk about support -- having a kidney
17 supporting human life we normally focus on the
18 filtration aspect of kidney function -- GFR,
19 controlling BUN and creatinine. But the kidney is a
20 far more complex organ than just one that excretes
21 nitrogenous waste products. And this was touched on by

1 Dr. Beaston in terms of issues related to fluid and
2 blood pressure control, electrolyte balance, et cetera.

3 The kidney has complex transporter function,
4 and I could find very little on data on homology
5 between pig transporters and human transporters, which
6 may have importance significance in terms of
7 sensitivity to the drugs that we typically use such as
8 diuretics, thiazides effecting the sodium chloride
9 transporter in the distal convoluted tubule and the
10 loop diuretics acting on the sodium potassium two
11 chloride transporter. So, are these drugs going to
12 function in similar fashion?

13 Electrolyte disturbances are frequently seen
14 following allotransplantation. Hyperkalemia is a
15 common problem. Phosphate wasting is a common problem.
16 We'll have to find out what happens with the pig
17 kidneys in individuals who've had longstanding chronic
18 kidney disease who may have underlying severe secondary
19 hyperparathyroidism.

20 What are the differences in the renin-
21 angiotensin system in the pig compared to the human?

1 Erythropoietin -- there is a lack of homology and
2 ineffectiveness of the pig erythropoietin on
3 erythrogenesis. But is there enough homology that this
4 is going to trigger an antibody response that could
5 then result in resistance to erythropoietin and pure
6 red cell aplasia from this, and will we have to deal
7 with that as a longer-term consequence?

8 With regard to aging, comments have already
9 been made about the growth of the kidney. And this
10 poses a significant risk. You're not going to be
11 increasing nephron number. So, as you have renal
12 growth, you're going to have hyper filtration. How is
13 that going to affect the development of
14 glomerulosclerosis and early demise of the kidney due
15 to non-immunologic injury?

16 So, I think that we have a tremendous number
17 of unknowns that are going to need to be very well
18 defined in order to move forward with clinical use of
19 the xenotransplant. So, I think that we need a lot of
20 research to define these issues before we can move
21 forward. Thank you.

1 **DR. LISA BUTTERFIELD:** Great. Thank you very
2 much. And I think to add to what our two discussants
3 have just presented after our two presentations, we
4 also heard a little bit yesterday on the notion that
5 young organs are being transplanted and over time it's
6 possible that there might need to be a second organ
7 that needs to be transplanted. The notion of donor
8 animal testing could be imaging before transplant, but
9 it looks like there's a lot of depth lacking in some of
10 the measures of function that we've been able to
11 collect data on so far.

12 So, let me turn to the Committee and let's
13 discuss these in more detail. And we'll start with Dr.
14 Morrison.

15 **DR. SEAN MORRISON:** I've got a question about
16 this phenomenon of organ growth. To what extent -- it
17 sounds like there's both inflammation and edema that
18 contributes to the increased size of the organ as well
19 as a growth capacity in the heart and the kidney that
20 we don't see in the human heart and kidney. So, is it
21 known that there are stem cells in the adult pig heart

1 and kidney? And if so, does this growth continue
2 throughout adult life?

3 **DR. LISA BUTTERFIELD:** All right. Thanks for
4 that question. Let's see what we do know about that
5 mechanism. Looking for hands of who would like to
6 address that intrinsic organ growth. Dr. Zeiss. Thank
7 you.

8 **DR. CAROLINE ZEISS:** So, first of all, there
9 is very little information on these organs. There is
10 no similar infiltrate. What we see is cardiomyocyte
11 hypotrophy. So these are existing cardiomyocytes.
12 They're not proliferating. They're the existing ones
13 that are getting bigger, and then they're dying.
14 That's what we see in monkeys; it's what we've seen in
15 the Baltimore patient.

16 Pigs do keep growing quite a while after
17 sexual maturity. So, sows will accumulate 50 to 100
18 pounds with every litter. The rationale behind
19 creating the growth hormone pigs -- growth hormone
20 receptor deficient pigs was that they would be past
21 their growth curve to produce a heart that was of a

1 size for an adult human, but they would be past the
2 growth curve. And so, that residual growth would not
3 keep on.

4 The problem with minipigs is that they tend to
5 have high curves. But we've heard that there are ways
6 around that. So the question is do we create growth
7 hormone receptor deficient minipigs assuming that there
8 are other metabolic associated with -- abnormalities
9 associated with that and then harvest those organs
10 which are still going to have some intrinsic growth
11 capacity?

12 I think at some point if you take enough
13 measures to limit growth, you can mitigate that
14 intrinsic capacity for growth. However, the extrinsic
15 capacity -- extrinsic drivers like hypertension are
16 still going to be there. So, there has to be some way
17 to control that as well -- possibly too controlling
18 mTOR and controlling hypertension which is obviously
19 not always very easy.

20 **DR. SEAN MORRISON:** But (inaudible) like for
21 the intrinsic growth capacity that it's just that the

1 heart grows a little bit longer than in a human but
2 that that growth does end at some point in terms of the
3 --

4 **DR. CAROLINE ZEISS:** Oh, yes.

5 **DR. SEAN MORRISON:** -- production of
6 (inaudible) cells.

7 **DR. CAROLINE ZEISS:** Yes. Yeah. It will end.

8 **DR. SEAN MORRISON:** And will mTOR inhibition
9 still help with the size of the heart once that growth
10 capacity -- the intrinsic growth capacity is over, or
11 is that the only thing that's targeted by mTOR
12 inhibition?

13 **DR. CAROLINE ZEISS:** So, mTOR is a mechanism
14 in pathologic left ventricular hypertrophy associated
15 with hypertension.

16 **DR. SEAN MORRISON:** Thanks.

17 **DR. CAROLINE ZEISS:** So, this is a -- the
18 enlargement in the size of the heart is a combination
19 of intrinsic growth and pathologic hypertrophy. And
20 it's difficult to disentangle which of those is driving
21 this. Certainly, the intrinsic growth is a major

1 component. But the extrinsic amplification of this is
2 also important.

3 **DR. SEAN MORRISON:** Is it possible to just
4 harvest the hearts from a little bit older pigs once
5 they've gotten past that intrinsic growth phase?

6 **DR. CAROLINE ZEISS:** Yeah. So, that was the
7 rationale behind the growth hormone receptor deficient
8 pigs. So, these are German Landrace. It's still a
9 production breed. It's still pretty big. Those pigs
10 are about 60 to 70 percent of the size. The heart is
11 about 75 percent of the size of a regular production
12 pig heart. So, it's still a pretty big heart.

13 If we shift -- again, you know, what breed is
14 going to be optimal for this? I think that's a
15 question that hasn't been answered yet. If we shift
16 all of the genetic alterations to a smaller pig, then
17 potentially we could get over that major growth curve
18 and find a heart that has got far less intrinsic
19 capacity to grow.

20 **DR. SEAN MORRISON:** Thank you.

21 **DR. LISA BUTTERFIELD:** All right. Thank you

1 both for that. So, let's see. Let's hear more
2 discussion on question five from Committee members.
3 Let's go next to Dr. Auchincloss and then Dr. Cooper.

4 **DR. HUGH AUCHINCLOSS:** I was simply going to
5 go back to Marshall Bloom's question and ask our
6 morning presenters what their reaction was to the
7 afternoon presentations.

8 **DR. LISA BUTTERFIELD:** I'll see if we have
9 them available. Sometimes guest presenters who are not
10 Committee members end up moving to YouTube to continue
11 to watch the proceedings. I'll ask for some --

12 **DR. HUGH AUCHINCLOSS:** Well, if they're not
13 here --

14 **DR. LISA BUTTERFIELD:** Okay. All right. So,
15 I don't think we can call on them.

16 **DR. HUGH AUCHINCLOSS:** Let me go on to my
17 other observation or comment that I --

18 **DR. LISA BUTTERFIELD:** Thank you.

19 **DR. HUGH AUCHINCLOSS:** -- was on my mind,
20 which would my fellow Committee members agree that
21 two tissues that are probably best to start with for

1 xenotransplantation would be heart or islets? Does
2 that make sense? Oh, there's Robin (sic) Pearson.

3 **DR. RICHARD PIERSON:** I'm sorry. It took me a
4 moment to get to the right screen. I apologize for
5 putting my hand up again. I've been told I'm not
6 supposed to do that, but I thank you for the call out.
7 I wanted to start by -- Dr. Zeitel's [sic] points are
8 right on. The complicating factor in the Maryland
9 heart case -- the case of the Maryland heart recipient
10 was complicated by the CMV activation which may have
11 triggered inflammation in the graft that could have
12 contributed to the diastolic dysfunction and
13 hypertrophy independent of the mTOR -- independent of
14 the growth hormone receptor knockout.

15 And so, that situation is difficult to fully
16 interpret. The mTOR inhibitor's effect on growth in
17 the German orthotopic heart experience -- in my
18 estimation, it's not clear whether it's an effect to
19 inhibit growth, to suppress elicited immunity, or both
20 that accounts for the salutary attenuation of growth
21 out of proportion to the physiological needs of the

1 recipient in that model.

2 And I think we won't know until we try this in
3 human heart recipients whether -- to what extent
4 hypertension control alone, mTOR inhibition, added to
5 whatever immunosuppression is considered the platform
6 or both will be necessary and sufficient to prevent
7 pathologic remodeling, diastolic dysfunction,
8 hypertrophy of either nongrowth hormone receptor
9 knockout or growth hormone receptor knockout organs in
10 the human circumstance.

11 Coming back to the more general question that
12 Hugh asked about my reflections on these talks, which
13 are very interesting and educational for me, about the
14 many differences between pigs and humans. And we have
15 many unknowns about pig renal physiology. There is
16 grant funding from NIH right now that's coming to my
17 colleague, David Cooper, at MGH, asking about some of
18 these aspects of potentially clinically important
19 aspects of renal function -- erythropoietin metabolism,
20 pituitary hyperthyroid hormone metabolism and other
21 facets related to salt retention, blood pressure

1 regulation, et cetera -- angiotensin pathway is of
2 course also quite important -- that are unknowns.

3 The reassuring aspect to me is that when we
4 prevent pathological elicited immunity and also at
5 least in the heart circumstance inhibit dysregulated
6 coagulation, those organs grow to the size of the donor
7 pig and then -- at adult size and then seem to stop.
8 And anecdotally, we have a heart that's nine months out
9 after transplant. It does have the growth hormone
10 receptor knocked out. And without blood pressure
11 control, without any effort to modulate blood pressure,
12 that heart has stopped growing and has not to
13 demonstrated either diastolic dysfunction or left
14 ventricular hypertrophy.

15 So, there are going -- I can cite an example
16 where we didn't need to control blood pressure and we
17 ended up with a pig heart in a baboon that is the right
18 size for the pig it came from. And I think that's the
19 message of Dr. Kawai's (phonetic) study as well. That
20 the pig organs will grow -- will try to grow to the
21 same size as the adult of the species from which they

1 come. If there is immunologic injury or physiologic
2 damage either due to high blood pressure as Dr. Zeitel
3 (sic) was referring to or some other pathology, then
4 one can expect that the organ will adversely remodel in
5 one way or another.

6 And so, that would -- my takeaway from those
7 important observations and acknowledging the many
8 unknowns is that our preclinical data would predict
9 that a kidney and the heart are likely to be life
10 supporting when tested in humans. And if that is not
11 the case, we will learn that relatively early. And how
12 far back to the drawing boards that will send us I
13 can't predict until we see what kind of trouble we get
14 into. But my own judgement is that the place for us to
15 learn that is in the clinic and that I'm sufficiently
16 optimistic, as I told our patient advocate earlier
17 today, that I personally feel that it is reasonable to
18 move forward in as safe a way as we can. So, thank you
19 for the opportunity to speak.

20 **DR. LISA BUTTERFIELD:** Okay. Thank you both.
21 Anything else for now, Dr. Auchincloss? Looks like --

1 DR. HUGH AUCHINCLOSS: No.

2 DR. LISA BUTTERFIELD: -- no.

3 DR. HUGH AUCHINCLOSS: Let's let some others
4 weigh in.

5 DR. LISA BUTTERFIELD: Okay. Thank you.
6 Let's move to Dr. Cooper.

7 DR. MATTHEW COOPER: So, thank you. So, I
8 will let it be known, I had my hand raised before Dr.
9 Pierson jumped on the call. And that was extremely
10 helpful. He may have started to answer a question that
11 I had that I'm not sure if I'm the only one thinking
12 it. I would say our afternoon speakers gave a really
13 intriguing, outstanding -- I think we said cornucopia
14 of information around sort of functional mechanistic
15 and physiologic differences between porcine and human
16 heart and kidneys, especially.

17 And I wanted to challenge -- Dr. Palevsky at
18 the end of his presentation said that we just don't
19 know and we're going to need to be able to do more
20 experiments to test these things. And after two days
21 I'm sort of struck by the frequency with which pretty

1 much everyone who has either presented or commented has
2 said that the only way they were going to know is to
3 move into clinical trials. And I guess I'm uncertain,
4 short of that model, how are we going to answer those
5 questions?

6 And I'm reflecting back on the most recent FDA
7 guidance on this that was -- I'm paraphrasing a little
8 bit, but that was certainly rigid in its expectation
9 that in order to move to clinical trials the
10 expectation at that time was that there needed to be a
11 robust non-human primate model with consistent
12 immunosuppression that demonstrated success before the
13 FDA would approve to move on to clinical trials.

14 And I'm hoping -- I'm uncertain, but I'm
15 hoping sort of based upon a lot of this conversation
16 that we are perhaps sort of changing that view back
17 from 2016 because it seems as if many of us on this
18 call, including again our experts -- and I thank them
19 all for their presentations and being able to answer
20 our questions -- seem to concur that we are at a point
21 where that we feel confident that we can move forward

1 safely. But we are going to need -- in a very careful
2 model answer a lot of these questions and continue in
3 an iterative process to determine how can we make this
4 model better.

5 But I just want to be certain that we are on a
6 similar page or in a similar place, that we keep saying
7 clinical trials are now appropriate, and I'm hoping
8 that we can agree to that.

9 **DR. LISA BUTTERFIELD:** Thank you. Yes, we
10 have heard some specifics around the limitations of
11 non-human primate models and questions we cannot ask in
12 them. All right. We have some hands. Dr. Kimmel,
13 then Dr. Palevsky, then Dr. Fishman. Thanks.

14 **DR. PAUL KIMMEL:** Thank you. I'm actually
15 dying to hear Dr. Palevsky's answer to Dr. Cooper. But
16 I did want to ask -- I was hoping that Dr. Auchincloss
17 could comment on why he thinks that kidneys should be
18 later in the queue than hearts. I mean, there's some
19 advantages in kidney transplantation. If they fail,
20 patients can be treated with dialysis, but with heart
21 transplantation it's sort of an ultimate effort. And I

1 think we're probably as ready to go forward with kidney
2 transplantation studies as heart transplantation. So,
3 could you adumbrate on that Dr. Auchincloss?

4 **DR. LISA BUTTERFIELD:** Okay. His hand is up.
5 Why don't we have that response, and then we'll go on
6 to Dr. Palevsky.

7 **DR. JAY FISHMAN:** I think you got the order
8 out of sequence here. I think you're supposed to --

9 **DR. LISA BUTTERFIELD:** Yes. And then --

10 **DR. JAY FISHMAN:** -- go back to Dr.
11 Auchincloss.

12 **DR. LISA BUTTERFIELD:** Yes. And then Palevsky
13 and then Fishman, please.

14 **DR. HUGH AUCHINCLOSS:** Well, I'm very
15 interested in your comments there. And you're right,
16 of course. There is a fallback position for the
17 kidney. I will upset my cardiac friends if I say that
18 the heart's a pretty stupid organ and the kidney is
19 much more complicated. And therefore, maybe we ought
20 to stick with the organ that doesn't have such
21 complicated functions to it. But cardiac surgeons

1 might disagree with that -- and islets, as I mentioned
2 before.

3 I think we really have good evidence that pig
4 insulin can be secreted and regulated physiologically.
5 I just think that the kidney is a pretty complicated
6 organ.

7 **DR. PAUL KIMMEL:** Well, I take that with a lot
8 of respect. And we should never insult our
9 cardiovascular colleagues.

10 **DR. LISA BUTTERFIELD:** Okay. I want to -- I
11 do want to make sure we're staying focused on the
12 functional questions that we're being asked currently
13 in Question 5 about the data supporting organ function,
14 regardless of which of those organs we're talking
15 about. So, anything else on that topic, or should we
16 move to Dr. Palevsky?

17 **DR. PAUL PALEVSKY:** Thank you, Matt. Thanks
18 for the comments. I'm not suggesting that we need to
19 spend years doing pig physiology research. I think
20 that some of the questions about transporters and about
21 the tubular physiology and the endocrine physiology can

1 probably be answered very rapidly knowing the pig that
2 is going -- the pig species that's going to be used.
3 And I think much of the data will have to be gathered
4 in real time as we start doing in-human transplants.
5 So, I'm not -- I wasn't suggesting that this should be
6 a year's long barrier to proceeding with clinical
7 trials.

8 **DR. LISA BUTTERFIELD:** All right. Thank you
9 for addressing that. And Dr. Fishman, your hand had
10 been up earlier. Did you want to weigh in next?

11 **DR. JAY FISHMAN:** Sure. Thank you. Just a
12 comment, again, to try to put it into the context a
13 little bit of allotransplantation because in humans --
14 I found these data, the metabolic very interesting. In
15 humans there's a five-fold variance in CYP metabolism.
16 And we see that and compensate for it based on drug
17 levels. And so, we track immunosuppressive drug
18 levels, for example. And we titrate those not based
19 only on levels, but we titrate them to effect.

20 So, if they are toxic for the kidney, for
21 example, or we do a biopsy, or if we have graft

1 rejection, or if we activate infection -- so that I
2 only say that because although these metabolic
3 functions, I think, are very important and the response
4 to the immunosuppressant agents are going to be very
5 important. It is a part of something that we do
6 routinely in allotransplantation already in many ways.

7 And I think the only way to address that is,
8 as Matt Cooper said, is in clinical trials. I'm not
9 sure we're going to be able to answer those or predict what's
10 going to happen. And in an individual, we can't
11 predict what their metabolic framework's going to be
12 either. So, the meshing of the pig metabolism and
13 human metabolism is an experiment. And I think we're
14 going to need clinical trials to unravel that.

15 **DR. LISA BUTTERFIELD:** Great. Thank you.
16 We're going to move now to Dr. Wu.

17 **DR. JOSEPH WU:** So, I have a question about
18 the long-term use of the immunosuppression in these pig
19 heart transplants. I think as you know for most
20 allotransplants after six months, a year, you can kind
21 of taper off some of these heavy immunosuppressant

1 regimens. For these xenotransplants, is that the
2 expectation, or you cannot do that in the sense that
3 the xenotransplant, the immunosuppression is always
4 going to be very heavy throughout the whole course of
5 the organ being in the human body?

6 And if that's the case, what is the long-term
7 consequence of that on the other organs that are being
8 heavily affected by these immunosuppression? So, I
9 just want to get the experts' thoughts on whether there
10 is the possibility for tapering some of these
11 medications after a while or that's not possible.

12 **DR. LISA BUTTERFIELD:** All right. Thank you,
13 Dr. Wu. I will watch for hands of who would like to
14 address that taper of immune suppression question. So,
15 let's go to -- I see a hand up from Richard -- our
16 guest -- from Dr. Pierson. Thank you.

17 **DR. RICHARD PIERSON:** At the moment, we have
18 very little data upon which to judge this. What I can
19 say -- there are two points I'd like to raise. One is
20 that the co-stimulation pathway blocking
21 immunosuppression is associated with absence of viral

1 reactivation, suggesting that it's less globally
2 immunosuppressant than our conventional approach of
3 calcineurin inhibitor, plus MMF, plus steroids as the
4 most common regimen.

5 It is -- the only data that we have about
6 tapering immunosuppression would suggest that if you
7 turn off immunosuppression at six months that the graft
8 will reject after that. So, the animals are not
9 tolerant at six months. If you wait to a year and a
10 half or two years before dialing down the intensity of
11 the co-stimulation pathway blockade, the time to
12 initiation of immunologic injury as measured by anti-
13 pig antibody and subsequently by graft injury is
14 significantly delayed with respect -- relative to
15 earlier cessation of therapy.

16 And in at least one of Mohammed's experimental
17 animals, turning down the immunosuppression at
18 something like 300 days and keeping it there for
19 another year was well tolerated. So, we're not going
20 to know the answer to your question until we have
21 substantial clinical experience. But as Dr. Fishman

1 just mentioned, what we currently do on our patients is
2 to titrate therapy based on efficacy and side effects.
3 And with -- the beauty of co-stimulation in our
4 preclinical models at least is that you can give a lot
5 of antibody.

6 And we don't know yet what the appropriate
7 target drug level is -- circulating antibody,
8 therapeutic antibody level is that is sufficient to
9 suppress the immune response. But we can measure it,
10 and we can then compare groups with different targets
11 and learn from our patients how much is enough.

12 One of the concerns in xeno is that to date
13 when we see the elicited immunity to a xenograft, graft
14 failure almost always happens. And there's nothing
15 that I know of that we currently do in our non-human
16 primates that is able to abort that response. That is
17 a concern for any clinical trialist. It is possible
18 that the same treatments that we use in our patients
19 who develop anti-donor antibody that -- proteasome
20 inhibitors and intensified immunosuppression will be
21 sufficient to reverse that immune response, an antibody

1 elicited immune response in patients.

2 We can't very well test that in our non-human
3 primates because the complications associated with
4 those aggressive interventions are simply not work --
5 you cannot manage those complications. And it's not
6 humane for the animal subjects to be put through that
7 kind of a regimen. On the other hand, our human
8 patients, we can talk through the options with them and
9 get their consent to do something experimental that
10 might in fact rescue them. So, that's one of the ways
11 in which a clinical trial offers us opportunities that
12 we cannot pursue -- to learn and potentially to make
13 significant progress in the clinical where we can't do
14 it preclinically. Thank you.

15 **DR. LISA BUTTERFIELD:** Thank you. All right.
16 So, I think we're moving sort of between Questions 5
17 and 6 at this point because this is in part sort of a
18 holistic discussion. So, I propose that we move to
19 discussion Question 6, have those two discussants
20 present, and then let's have some discussion around
21 that. And then I'll sum up and we'll check in with our

1 regulatory colleagues after that.

2 So, given that -- so, our last question,
3 Question 6: transplanted pig organs are likely to be
4 exposed to a variety of drugs that were not routinely
5 used in the donor animals. Such drugs could include
6 products to treat the patient's underlying medical
7 conditions -- diabetes, hypertension -- as well as
8 drugs like immunosuppressants intended to ensure the
9 success of the transplant. And I know we've got some
10 other folks on mic.

11 So, the transplanted organ may alter the
12 pharmacodynamic and pharmacokinetic profiles of these
13 drugs, with consequences for the medical management of
14 the organ recipient. In addition, these drugs could be
15 toxic to the transplanted organ. Please discuss the
16 importance, limitations, and feasibility of studies of
17 such drugs in the pig model prior to transplanting the
18 pig organ into humans.

19 So, I know we've touched on a little of this
20 but let's hear from our two discussants. First, Dr.
21 Auchincloss and then Dr. Kimmel, please.

1 **DR. HUGH AUCHINCLOSS:** Well, Question Number 6
2 I think has been answered by Jay Fishman already. I
3 don't think there's any predicting this -- what's going
4 to happen to drug metabolism before we actually do the
5 clinical transplant since we'll have one organ from a
6 pig and another organ, say the liver, from the human
7 recipient. So, I don't think there's any predicting.

8 But this is what we do all the time in
9 transplantation is to measure drug levels, measure drug
10 effect and adjust accordingly. In that sense, we've
11 been asked to address a bunch of really important
12 questions during the course of the two days. Question
13 Number 6, I think, is the least important of the ones
14 that we have to address. Thank you.

15 **DR. LISA BUTTERFIELD:** All right. Thank you,
16 very much. And Dr. Kimmel.

17 **DR. PAUL KIMMEL:** All right. You know, as Dr.
18 Palevsky said, we have to do lots of studies in pig
19 physiology. And we shouldn't let that interfere. And
20 this question is all about pig physiology. I'm also
21 the last discussant, so I'm working off the work of all

1 the others. And maybe there will be some overlap in
2 what I have to say. I think I'm going to end up
3 agreeing with Dr. Auchincloss, but I'll go through this
4 stuff that I've thought about.

5 And I think the goal is to have a pathogen
6 free, if possible, porcine organ which functions at an
7 optimal level capable of functioning for a long period.
8 So, in effect, we'd like to know that the transplanted
9 organ is normal and has no disease. And therefore, the
10 evaluation of the animal donor for pathogen status and
11 organ functional capacities dysfunction is necessary.

12 And Dr. Beaston's very short but comprehensive
13 thoughtful presentation actually changed some of my
14 ideas about what we should do. I think we also should
15 consider whether we need to have a whole new research
16 program before we go ahead. I think learning about the
17 function of the porcine kidney before widespread use in
18 transplantation in humans with ESRD will be critical.

19 The model used is also important. And an
20 analogy comes to mind. The use of the oncologic models
21 of aged and sick animals, as Ned Sharp listed, and

1 those with comorbidities such as hypertension and
2 diabetes mellitus should be considered. So, perhaps
3 the best model is the aged sick pig. Animals treated
4 with multiple medications would also be useful in
5 estimating how a porcine kidney will function in the
6 complex environment of an aged host with renal disease
7 and comorbid medical conditions treated for chronic
8 illnesses with multiple medications.

9 So, it might be also useful to study porcine
10 organs subjected to immunosuppressive therapies as
11 suggested yesterday and as, I guess, suggested by Dr.
12 Wu just a little while ago. The medical complications
13 of kidney transplantation that are pertinent to porcine
14 transplantation should also be considered. And in
15 humans those would include short-term complications of
16 kidney transplant including acute kidney injury,
17 markedly reduced levels of GFR -- glomeruli filtration,
18 and viral fungal protozoan and bacterial diseases which
19 may complicate the short-term course.

20 In addition, thought should be given to how a
21 porcine kidney would function in the long-term course

1 of kidney transplantation including considerations of
2 how chronic porcine kidney graft dysfunction will
3 manifest itself in humans over longer periods where
4 hyperfiltration may be an important but ever-present
5 contributor to injury. And Dr. Palevsky touched on
6 that.

7 An interesting question by Dr. Beaston
8 regarding the response to human parathyroid hormone
9 could be studied in porcine isolated perfused kidney or
10 isolated tubule perfusion experiments. That would be
11 in effect repeating the physiologic studies done in
12 kidney disease in the 1980s and 1990s. But I think
13 much of those studies, as a couple of people have
14 mentioned, will have to be done in humans.

15 A critical area of study is the treatment of
16 serious viral infections in patients who have received
17 transplants. How will the kidney respond and the heart
18 respond to those treatments? And such studies should
19 be performed in animal models, if possible. I would
20 also argue, given the analogy of working in aged sick
21 models that the best porcine kidneys should be studied

1 in nonhuman primates with those kinds of comorbidities
2 -- aged with diabetes, with hypertension.

3 And of course, that's a different research
4 question. It's a different and difficult set of
5 experiments. And Dr. Zeiss mentioned that that might
6 be some area to look at. But to my way of thinking,
7 the ultimate test in kidney transplantation in humans
8 will need to be related to the experimental care of
9 patients with end stage kidney disease. And I'd argue
10 this may be analogous to the early transplant studies
11 done in the 1950s before the demonstrations of
12 feasibility by the Herricks twin transplantation and
13 before modern immunosuppression before and after the
14 calcineurin inhibition era.

15 So, transplantation kidney disease done at the
16 Brigham before 1955 was really quite the wild west.
17 And there are other analogies, starting with Christiaan
18 Barnard for heart transplantation. Translation to
19 humans will require scrupulous attention to provision
20 of information during the informed consent process.
21 It'll be important also to avoid at all costs

1 therapeutic misconceptions of patients receiving
2 pioneering therapies.

3 So, I think, I agree with several of the
4 previous speakers that key clinical questions can only
5 be answered in the human transplantation model. For
6 instance, will porcine kidney transplants undergo
7 unwanted hypertrophy? How will the porcine kidney
8 interact in the human recipient and pathways related to
9 the Renin-Angiotensin-Aldosterone System, 125 hydroxy
10 vitamin D production and erythropoietin synthesis and
11 inaction, for example? And Dr. Beaston also mentioned
12 coagulation differences, which could become important.

13 We have therapeutic choices to address most of
14 these issues in patients, and I think we're going to
15 have to confront them in the human model. We'd also
16 like to know how the xenotransplant functions and be
17 cared for in the recipient if that recipient has
18 overwhelming viral infection or septic shock. So, we
19 would have to investigate the result of relatively
20 nephrotoxic drugs in that situation in patients.

21 This was touched on also earlier today. Will

1 genetic modifications of the porcine kidney endure, and
2 will the genetic modifications of the porcine kidney
3 affect other organ function in the human host that can
4 only be tested in human beings? And I think we have to
5 consider the role of the complement system, which has
6 been considered in the pig, but evaluation of the
7 complement system and interaction with the porcine
8 transplant will be critical in assessing short and
9 long-term human recipient kidney function.

10 The intensity of monitoring of the patient who
11 recently underwent porcine heart transplantation
12 reported in the *New England Journal* points to the
13 unknown nature of multisystem complications in the
14 first patients to be xenotransplanted, the need for
15 many and perhaps unanticipated short- and long-term
16 laboratory tests in patients and the seemingly
17 unlimited biologic pathways which require evaluation in
18 the first group of pioneering heroic patients.

19 So, I think key elements going forward will be
20 the willingness of informed patients as participants in
21 important medical experiments to undergo experimental

1 procedures having received informed consent in the most
2 scrupulous fashion where the safety of the recipient is
3 maximized in a relatively unknown clinical situation.

4 **DR. LISA BUTTERFIELD:** Thank you, very much,
5 Dr. Kimmel. Let's first hear now from Mr. Conway.

6 **MR. PAUL CONWAY:** Thank you very much. And
7 I'd like to thank Dr. Kimmel for his comments. And as
8 always, he strikes the balance of principle and
9 idealism and ethics. And I think that's central to
10 this. My sense on Questions 5 and 6 is that we are now
11 at a point at a two day meeting where we have a
12 collection of known unknowns. And I don't say that to
13 be funny. I actually say that to be quite accurate
14 because it seems like we keep adding to the list of the
15 unknowns.

16 But the general consensus is around those
17 things that need to be checked. And the number of
18 times that we have said moving to human trials is very
19 important. I think Dr. Cooper said this. I think Dr.
20 Fishman has said this, and Dr. Bloom and others have
21 contributed to it. As an aside, I would say to Dr.

1 Auchincloss that most kidney patients have a
2 cardiologist. And we're happy to broker between the
3 two professions. We're used to doing that many times.

4 But I will say that we are at a crossroads.
5 And I think that much of this is dependent on the
6 idealism and the motivation of those patients who will
7 be willing to pioneer this. I think it's very, very
8 important, the role of FDA, in assuring safety and to
9 make certain that things are not misstated in these
10 early stages as we move forward in terms of what it
11 means for patients, what patients might derive from it
12 in terms of the benefits. But to understand that this
13 is pioneering, and it's a new chapter in history.

14 But we've been here before. We've been here
15 before with transplantation, we've been here before
16 with dialysis, we've been here before with HIV, and
17 we've been here before with COVID. But what has made
18 the distinction, positive and negative, in each of
19 those episodes has been this -- has been the inclusion
20 of patients. And I think we're at the point now where
21 you have a much more organized and much more vocal

1 kidney patient population and transplantation
2 population around the world that are patient consumers,
3 that want to be involved, that want to take the next
4 step.

5 And we're partners in science. We're no
6 longer the folks just on the other side of the table.
7 We are partners in the endeavor because our lives --
8 we're the outcome. So, pass or fail, we have a direct
9 stake in this. And I just want to put that on the
10 table here because I think it's very, very important as
11 we take a look at these questions and the answers that
12 have been developed. And the consensus, in a sense, of
13 the conversations, Dr. Butterfield, that you have put
14 together so accurately that really role of the patient
15 and the need for science to move forward is critical.

16 And I just want to put that our right here
17 quite it plainly that you have patients around the
18 world who are ready to participate. In fact, two years
19 ago, patients began organizing the first international
20 consortium that is patient-led for the development of
21 artificial, implantable, wearable in the

1 xenotransplant. The demand for this on the consumer
2 side is coming from the patient. And we're the ones
3 that are behind the effort to develop an international
4 consortium.

5 So, that is to give my fellow professionals
6 inspiration and hope and for the scientists to know
7 that patients are right next to them. In fact, we're
8 already organizing. Thank you very much.

9 **DR. LISA BUTTERFIELD:** Thank you very much,
10 Mr. Conway. All right. So, now we have an opportunity
11 for the other members of the Committee to weigh in
12 really on both Questions 5 and 6. And I'll remind you,
13 5, about existing data and target pig organ function to
14 support full organ function in humans, aging of the
15 target organ in the pig relevant to expected organ
16 function over time in humans and then, this Question 6
17 about drugs, underlying conditions, immune suppressants
18 and the importance, limitations, and feasibility of
19 studies of these drug's intake models before transplant
20 into humans.

21 So, watching for hands from the other

1 Committee members who would like to raise additional
2 points for discussion on these questions. Great. Dr.
3 Bloom, please and then Dr. Fishman.

4 **DR. MARSHALL BLOOM:** I'd just like to jump the
5 shark and say I really appreciate Mr. Conway and Dr.
6 Kimmel's remarks. And I don't think anyone could have
7 summarized better than Dr. Kimmel. And I think I would
8 certainly endorse his comments as well as Mr. Conway's.
9 Thanks.

10 **DR. LISA BUTTERFIELD:** Terrific. Thank you,
11 very much. Dr. Fishman.

12 **DR. JAY FISHMAN:** Yeah. You know, I've been
13 an advocate, of course, of going into clinical trials.
14 But there are some things that we can study and should
15 be studied in either the primate models or in pigs
16 themselves. And one of those is a way of enhancing
17 safety. And I mentioned it yesterday, I think, which
18 is to use the clinically relevant immune suppression in
19 the pigs with level monitoring and metabolic monitoring
20 to see if infections are elicited that we didn't attack
21 by routine testing.

1 And so that it might be a way of giving us a
2 sense -- since we have herds of animals -- then
3 immunosuppressing selected members of those herds might
4 be informative both about toxicities of the drugs but
5 also about side effects relative to both metabolic and
6 infectious side effects that might be useful for going
7 forward into clinical trials.

8 **DR. LISA BUTTERFIELD:** Great. Thank you.

9 **DR. JAY FISHMAN:** Thanks.

10 **DR. LISA BUTTERFIELD:** So, let's hear from
11 Professor Fox, please.

12 **DR. BERNARD FOX:** Yeah. I also really
13 appreciated many of the reviews and most notably, I
14 think ,Dr. Kimmel's and then Mr. Conway's comments.
15 So, thank you. I guess my biggest concern about the
16 current status is this whole growth of the organ once
17 it's transplanted. I think there were many other
18 points that were brought up by -- I think, the comment
19 about potential immunity that Dr. Pavelsky brought up
20 about potentially attacking erythropoietin and an
21 autoimmune reaction that would potentially lead to

1 aplasia.

2 But I just really think the only way you're
3 going to figure out a lot of this is going to be to do
4 small pilot studies, those early phase one studies and
5 do some limited number of patients to see what happens.
6 So, I think one of the last comments that I heard from
7 Dr. Kimmel, if I got it right, was before you started
8 widespread studies, I would see that this is the FDA
9 moving forward potentially with small pilot studies
10 with these different knockouts.

11 And I guess from the growth side, the idea of
12 having the growth hormone knocked out is going to be --
13 may become a very relevant one. But overall, I think I
14 do agree with Dr. Kimmel's final summary. That seemed
15 very much on target with things I've been thinking.
16 Thank you.

17 **DR. LISA BUTTERFIELD:** Terrific. Thank you.
18 All right. I'm not seeing other hands up. I can do a
19 little summarizing, see where we're at and then -- so,
20 why don't I do that after we hear from our consumer
21 representative, Ms. O'Sullivan-Fortin. Then I'll

1 summarize, and then we'll have time for additional
2 comments and checking in with the Agency about our
3 discussion to date.

4 **MS. KATHLEEN O' SULLIVAN-FORTIN:** Thanks. I
5 just wanted to say this afternoon has been fascinating.
6 And more along the lines of what Mr. Conway suggested,
7 I wonder if as we move forward with these sort of
8 answer, tie up some of -- cross these T's, dot these
9 I's on the things that we can move forward with
10 scientifically and outside of transplant into humans
11 that perhaps the FDA's mechanism for a PFDB or similar
12 meeting might be appropriate in terms of really getting
13 the opinions of the transplant community -- kidney,
14 heart, et cetera, to make sure that -- not only to
15 educate patients on where we are in the process but
16 also to elicit their feedback and really make sure that
17 we are -- that we understand the risk-benefit analysis
18 that they would accept.

19 Because my guess is that if I was awaiting
20 transplant and had been doing so for years, that if I
21 heard these titans of science tell me that we're almost

1 at the point where we can move but it's going to -- you
2 know, some of the burden is going to be risk to the
3 patient that, you know, I think it would be wise to
4 really have -- involve patients and have that two way
5 communication as we move forward.

6 **DR. LISA BUTTERFIELD:** Great. Thank you for
7 raising that important point for patient involvement
8 and patient education. All right. So, let me hit some
9 of the key notes that I have heard from our discussion
10 this afternoon about Questions 5 and 6.

11 So, in terms of the ability of target pig
12 organs to support full organ function, a lot of these
13 things are experiments that are really to be
14 determined. And I think this also ties -- I think it
15 all ties together with age of the organs and of the
16 drug metabolism and in terms of the treatments of the
17 patients that the experiments we do are going to
18 involve a situation of porcine organs in a human and
19 that the porcine organ will vary as the genetic
20 engineering of that donor animal vary in those settings
21 -- and of the target organ that is transplanted.

1 So, it is highly complex. We don't have a lot
2 of data yet. And while first half functional tests of
3 oxygen exchange in lungs, of some of the -- some kidney
4 functions would not then go down to the next step, of
5 some of the more subtle enzymatic actions, that hormone
6 secretion and ability to respond to hormones --
7 erythropoietin, all of these things that are the next
8 level of complexity down that are nonetheless going to
9 be critical for the long-term function of that organ in
10 humans that we just do not yet have data from those
11 studies.

12 So, what can we do now? There are some
13 additional data on drug metabolism, hormone metabolism,
14 receptors and protein interactions that could be done
15 only in pig organs that could be done now. We can
16 perhaps upgrade those models to include aged and sick
17 animals that more closely model the older and some of
18 the health issues facing the human patient recipients
19 of those organs. Much has been done in the cancer
20 world that you get very different answers when you look
21 and ask questions in an older animal who's had cancer

1 for a while as opposed to a young animal that got
2 cancer three days ago.

3 A suggestion that immune suppression could be
4 tested in those animals to learn more about what will
5 be -- what those organs will necessarily be exposed to
6 after transplantation to human patients. Aged, sick
7 non-human primates would also -- should be considered.
8 So, there are ways to do in vitro studies now. There
9 are ways to do model studies now. But I think the
10 punchline that a lot of the folks around the table have
11 brought up is that there are questions that can only be
12 answered in transplanted organs received by human
13 patients.

14 With that all being said and that being
15 something of an unknown, the point has also been raised
16 that in the allotransplant world and indeed even in
17 normal drug delivery to human patients, drugs are
18 titrated. And that's completely normal with protocols.
19 And so, we have the ability in patients in real time to
20 titrate these drugs according to their individual CYP
21 levels in their livers and other organs as well as in a

1 transplant setting for immune suppression and the other
2 therapeutic drugs.

3 So, those are some of the things that I heard
4 around the table. So, I'm going to watch for hands
5 from the Committee if anyone would like to add or
6 modify anything I summarized. And then, I would also
7 open it to Dr. Bryan or others from the Agency to see
8 if there are other things that they would like the
9 Committee to address to get to the heart of these
10 questions that we haven't already touched on. All
11 right. Dr. Beaston.

12 **DR. PATRICIA BEASTON:** Thank you for the
13 conversation. So, I have two broad topics. So, first
14 I want to thank Dr. Fishman because he first started
15 well, we don't need studies because we already have
16 paradigms for titration. But then he recognized that
17 maybe we can learn something from doing these studies
18 in the pigs and figure out what the dose would be and
19 maybe some toxicities.

20 So, I just wanted to go back to Dr. Fishman a
21 little bit and say do you have a short list of drugs

1 where you think it might be worth it to find out what
2 the toxicity of the pig is? Especially like
3 nephrotoxicity or cardiac toxicity where you can look
4 in the pig and make sure that that toxicity would not
5 necessitate figuring out a different drug that may be
6 more appropriate because that toxicity would be the
7 human dose that we would need to achieve the other
8 effects that we were looking for.

9 **DR. LISA BUTTERFIELD:** Okay. And I'll ask Dr.
10 Fishman if he can please response.

11 **DR. JAY FISHMAN:** So, I'm going to go back to
12 your own comment which is that we may not be able to
13 get all the organs from each animal. And the reason
14 it's relevant, I think, is because we would say, I want
15 to transplant organ X, a heart or kidney, from this pig
16 and then subject them to the clinical immunosuppression
17 at least that -- and other drugs potentially that they
18 get routinely. But the immunosuppression would be the
19 focus in terms of toxicity.

20 And we know what the toxicities of those drugs
21 are in humans. As you pointed out, we don't

1 necessarily know what the toxicity of those drugs are
2 although we've learned a lot from the preclinical
3 studies in primates. So, we do know that a lot of
4 these organs have been exposed to clinically relevant
5 immune suppression. But I think it's a way of learning
6 both about the toxicity of the drug, the metabolism of
7 the drug by that organ, so, if you were doing, for
8 example, liver transplantation -- and then the side
9 effects of those drugs in terms of infectious
10 activation.

11 I think that there are more data than what we
12 might imagine because of all the numbers of
13 laboratories that have been using different
14 immunosuppressive regimens with different genetic types
15 of pigs. So, those data could be collected and may
16 exist already. But I think your question is a great
17 one. And it's a question of assembling those data from
18 models that exist and then perhaps doing some
19 additional studies to be sure when you pick your
20 immunosuppressive regimen that's matched to your
21 genetic type, are there unanticipated side effects?

1 So, sure.

2 **DR. PATRICIA BEASTON:** Okay. Thank you for
3 that. And then I wanted to follow up the interesting
4 discussion of the pig heart size. So, one of the last
5 comments was that the adult pig heart size was achieved
6 in the baboon model and that everything was fine. It
7 stopped growing. But when you look at Dr. Fox's talk,
8 he has this very interesting slide where it shows the
9 pig growth and then the baboon growth and the -- yeah,
10 baboon.

11 And the baboon is only getting up to about 25
12 kilograms, where the pig is 100 kilograms where you get
13 to sort of the best fit size for outcomes for the
14 baboons. Well, humans are much larger than that. So,
15 can we have a discussion -- maybe not now but as people
16 start thinking about this, about what the criterion
17 will be for figuring out the size of the heart that you
18 would need for transplant?

19 And then the other thing I want to point out
20 as part of this is the growth hormone knockout only
21 goes so far because while that growth hormone knockout

1 may be great in the pig for preventing growth, the
2 human recipient will have growth hormone. And that
3 growth hormone will go the liver which will make IGF-1.
4 And IGF-1 is another growth factor. So, do we
5 understand enough about the organs where we are --
6 we're trying to transplant them and what the
7 contribution of IGF-1 is to the ultimate size that
8 would be obtained?

9 **DR. LISA BUTTERFIELD:** Right. I'm going to
10 look for hands for anyone who would like to -- well,
11 Dr. Beaston said we need perhaps more discussion than
12 we have time for today. Is there someone who would
13 like to weigh in on this for us now? Okay. Perhaps
14 this is indeed something for more discussion at a later
15 time for more specific answers to your questions, Dr.
16 Beaston.

17 **DR. PATRICIA BEASTON:** Okay. Thank you so
18 much.

19 **DR. LISA BUTTERFIELD:** All right. So, other
20 topics, other comments before we -- yes, Judy.

21 **DR. JUDITH ARCIDIACONO:** Yes. If I may go

1 back to a question related to our discussions
2 yesterday. And that is we'd like to know how the
3 Committee feels about archiving and collecting samples
4 for xenoproducts that have been exposed to well
5 characterized animal cells. And just as a reminder,
6 that's the lowest level of risk. So, these are cell
7 lines that are well established, they've been tested.
8 And so, I just wanted to get clarification or some
9 input on what the Committee thinks as a whole about
10 reducing the requirements for those products. Thank
11 you.

12 **DR. LISA BUTTERFIELD:** All right. I'm going
13 to watch for a show of hands on anyone who would like
14 to weigh in on that lowest bar. I think from what we
15 said yesterday -- that we talked about sort of case by
16 case and people presenting their best data in their
17 package. But let's first hear from Dr. Morrison and
18 then Dr. Bloom. We can't hear you, Dr. Morrison.

19 **DR. SEAN MORRISON:** Can you hear me now?

20 **DR. LISA BUTTERFIELD:** Yes.

21 **DR. SEAN MORRISON:** Okay. Sorry. I was just

1 saying that I think it's very reasonable to lower the
2 requirements when all that's happening is that the
3 human cells are being exposed to a well characterized
4 cell line and culture. It's a much less complex
5 situation than actually transplanting an organ from a
6 donor animal. And if the cell line is well
7 characterized, I think it's a reasonable thing to do.
8 I'll leave it there.

9 **DR. LISA BUTTERFIELD:** Thank you. And Dr.
10 Bloom.

11 **DR. MARSHALL BLOOM:** So, I would agree with
12 Sean. And I would note that the lack of any discussion
13 on that topic really indicates that the -- I think
14 indicates that the other Committee members would agree.
15 And I think Sean said it very well. Thanks.

16 **DR. LISA BUTTERFIELD:** Great. Thank you.

17 **DR. JUDITH ARCIDIACONO:** Thank you.

18 **DR. LISA BUTTERFIELD:** And Professor Fox and
19 then I'll have a couple last comments and we'll go to
20 Dr. Marks. Professor Fox.

21 **DR. BERNARD FOX:** I just wanted to support

1 what Dr. Bloom said, right. That I also agree. I
2 think the risk is very low. So, I didn't want him to
3 be out on a limb. Thanks.

4

5

CLOSING REMARKS/ADJOURNMENT

6

7

DR. LISA BUTTERFIELD: All right. I

8 appreciate the folks from the Agency asking some
9 additional questions. And also, wanted to express my
10 thanks for the additional comments about -- that the
11 patients are the partners of the clinicians and
12 researchers doing this work and that additional
13 outreach and education would be appreciated to further
14 garner the education and support of the patients and
15 patient advocates. So, with that, I think we've had
16 some terrific discussion, and I'd like to turn it over
17 to Dr. Marks, the director of CBER.

18

DR. PETER MARKS: So, Dr. Butterfield, thanks

19

very much. I really appreciate the Committee's

20

thoughtful discussion. I wish I could have been here

21

for all of it. I've been in and out of listening to it

1 over the past two days. Really appreciate the
2 thoughtful discussion in this area. There's tremendous
3 interest, tremendous promise, and tremendous challenges
4 that you talked about. But really this is such an
5 important -- such important input to get here.

6 And we really appreciate the incredible
7 thoughtful information and discussion that occurred.
8 So, thank you all so much. And really wish you a very
9 pleasant holiday weekend. Thank you again for the time
10 today and thanks for everyone for joining us.

11 **DR. LISA BUTTERFIELD:** Perfect. Thank you,
12 very much, Dr. Marks. So, with that, I'd like to turn
13 the meeting over to our DFO, Christina Vert.

14 **MS. CHRISTINA VERT:** Thank you, Dr.
15 Butterfield.

16 **DR. PRABHAKARA ATREYA:** Christina, Dr. Wilson
17 (sic) is going to make some comments.

18 **MS. CHRISTINA VERT:** Sure. Go ahead, Dr.
19 Bryan.

20 **DR. WILSON BRYAN:** No. I just wanted to echo
21 Dr. Marks, thank the Committee. It's so helpful to us.

1 And we really are very enthusiastic about the field of
2 xenotransplantation and look forward to ongoing
3 discussions in this area.

4 **MS. CHRISTINA VERT:** Thank you, Dr. Bryan.
5 Okay. With that, with those comments, I also would
6 like to second -- thank all the participants for today.
7 And I will go ahead and adjourn the meeting today at
8 3:43 p.m. Thank you.

9 **MR. MICHAEL KAWCZYNSKI:** All right. And with
10 that, studio, please take us -- please end the session.
11 If you have any questions or comments, you can send
12 them to fdaoma@fda.hhs.gov. Thank you so much.

13

14

[MEETING ADJOURNED]