

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Morning Session

Virtual Meeting

Wednesday, June 8, 2022

9:30 a.m. to 1:15 p.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Takyiah Stevenson, PharmD**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

**(Voting)**

**Robin H. Bogner, PhD**

Professor  
University of Connecticut  
School of Pharmacy  
Department of Pharmaceutical Sciences  
Storrs, Connecticut

1 **Timothy D. Fensky, RPh, DPh, FACA**

2 *(National Association of Boards of Pharmacy*

3 *Representative)*

4 Chief Pharmacy Operations Officer

5 Sullivan's Pharmacy and Medical Supply, Inc.

6 Sullivan's Health Care, Inc.

7 Roslindale, Massachusetts

8

9 **Sandra J. Fusco-Walker**

10 *(Consumer Representative)*

11 Allergy & Asthma Network

12 Vienna, Virginia

13

14 **Anita Gupta, DO, MPP, PharmD**

15 Assistant Professor, Adjunct

16 Johns Hopkins School of Medicine

17 Department of Anesthesiology and Critical Care

18 Baltimore, Maryland

19 Chief Executive Officer

20 Strata Group, Inc.

21 La Jolla, California

22

1 **Kathleen M. Gura, PharmD, BCNSP, FASHP, FASPEN**

2 Assistant Professor of Pediatrics

3 Harvard Medical School

4 Manager, Pharmacy Clinical Research Program

5 Boston Children's Hospital

6 Boston, Massachusetts

7

8 **Linda F. McElhiney, PharmD, RPh, MSP, FAPC,**

9 **FACA, FASHP, DPLA**

10 Team Lead Compounding Pharmacist

11 Indiana University Health

12 Indianapolis, Indiana

13

14 **Kuldip R. Patel, PharmD, FASHP**

15 Senior Associate Chief Pharmacy Officer

16 Duke University Hospital

17 Durham, North Carolina

18

19

20

21

22

1 **Elizabeth Rebello, RPh, MD, FASA, CPPS, CMQ**

2 Professor

3 Department of Anesthesiology and Perioperative  
4 Medicine

5 University of Texas MD Anderson Cancer Center  
6 Houston, Texas

7

8 **Brian Serumaga, PhD**

9 *(United States Pharmacopeia Representative)*

10 Senior Manager, Personalized Medicines

11 United States Pharmacopeial Convention

12 Rockville, Maryland

13

14 **Allen J. Vaida, BSc, PharmD, FASHP**

15 *(Acting Chairperson)*

16 Former Executive Vice President

17 Institute for Safe Medication Practices

18 Hatfield, Pennsylvania

19

20

21

22

1       **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

2       **(Non-Voting)**

3       **Michael D. Bui, DDS, MPH, JD**

4       *(Industry Representative)*

5       Senior Vice-President, Global Regulatory Affairs

6       Pyxis Oncology

7       Cambridge, Massachusetts

8

9       **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

10      **(Non-Voting)**

11      **Richard L. Green, BS Pharm, RPh, BCNP, FAPhA**

12      Director of Radiopharmacy Practice

13      Cardinal Health Nuclear and Precision Health

14      Butler, Tennessee

15

16

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **William J. Calhoun MD, FACP, FCCP, FAAAAI**

3       *(Glutathione Topic Only)*

4       Professor and Vice Chair for Research

5       Divisions of Pulmonary/Critical Care, and

6       Allergy/Immunology

7       Department of Internal Medicine

8       University of Texas Medical Branch

9       Galveston, Texas

10

11       **Roger R. Dmochowski, MD, MMHC**

12       *(Enclomiphene Citrate Topic Only)*

13       Professor of Urology and Surgery

14       Department of Urology

15       Vice Chair for Faculty Affairs and Professionalism

16       Section of Surgical Sciences

17       Associate Surgeon-in-Chief

18       Vanderbilt University Medical Center

19       Nashville, Tennessee

20

21

22

1     **Scott E. Evans, MD, FCCP, ATSF**

2     *(Glutathione Topic Only)*

3     Professor and Chairman *ad interim*

4     Department of Pulmonary Medicine

5     University of Texas MD Anderson Cancer Center

6     Houston, Texas

7

8     **Brian P. Green, DO, FAAD**

9     *(Glutathione Topic Only)*

10    Associate Professor, Dermatology

11    Medical Director, Teledermatology

12    Penn State Health Milton S. Hershey Medical Center

13    Department of Dermatology

14    Hershey, Pennsylvania

15

16    **Vivian Lewis, MD, FACOG**

17    *(Enclomiphene Citrate Topic Only)*

18    Professor Emerita, Obstetrics and Gynecology

19    University of Rochester School of Medicine and

20    Dentistry

21    Rochester, New York

22



1 **David J. Margolis, MD, PhD**

2 *(Glutathione Topic Only)*

3 Professor of Dermatology

4 Professor of Epidemiology

5 Perelman School of Medicine

6 University of Pennsylvania

7 Philadelphia, Pennsylvania

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Frances Gail Bormel, RPh, JD**

11 Director

12 Office of Compounding Quality and Compliance

13 (OCQC)

14 Office of Compliance (OC), CDER, FDA

15

16 **Kathleen Anderson, PharmD**

17 Deputy Director for Compounding and Operations

18 OCQC, OC, CDER, FDA

19

20

21

22

1     **Gabrielle Cosel, MSc**

2     Director

3     Division of Compounding Policy and Outreach (DCPO)

4     OCQC, OC, CDER, FDA

5

6     **Rosilend Lawson, VMD, JD**

7     Branch Chief

8     DCPO, OCQC, OC, CDER, FDA

9

10    **Lori Bickel, JD**

11    *(Investigational New Drug/Expanded Access*

12    *Presentation Only)*

13    Regulatory Counsel

14    Division of Medical Policy Development (DMPD)

15    Office of Medical Policy (OMP), CDER, FDA

16

17    **Charles Ganley, MD**

18    Director

19    Office of Specialty Medicine (OSM)

20    Office of New Drugs (OND), CDER, FDA

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Daiva Shetty, MD**

Associate Director for Pharmacy Compounding

OSM, OND, CDER, FDA

**Emily Kneeream, PharmD**

*(Glutathione Topic Only)*

Clinical Analyst

Pharmacy Compounding Review Team

OSM, OND, CDER, FDA

**Madeline Wolfert, MD**

*(Enclomiphene Citrate Topic Only)*

Physician

Pharmacy Compounding Review Team

OSM, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Allen Vaida, BSc, PharmD, FASHP	14
5	Introduction of Committee	
6	Takyiah Stevenson, PharmD	14
7	Conflict of Interest Statement	
8	Takyiah Stevenson, PharmD	23
9	FDA Introductory Remarks	
10	Frances Gail Bormel, RPh, JD	30
11	FDA Investigational New Drug	
12	(IND)/Expanded Access Presentation	
13	Lori Bickel, JD	38
14	<b>SECTION 503A BULK DRUG SUBSTANCES LIST</b>	
15	<b>ENCLOMIPHENE CITRATE</b>	
16	FDA Presentation	
17	Madeline Wolfert, MD	45
18	Nominator Presentations - Empower Pharmacy	
19	Marwa Elsaied, PharmD, RPh	60
20	Thomas Masterson III, MD	65
21	Clarifying Questions from the Committee	70
22	Committee Discussion and Vote	89

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>SECTION 503A BULK DRUG SUBSTANCES LIST</b>	
4	<b>GLUTATHIONE</b>	
5	FDA Presentation	
6	Emily Kneeream, PharmD	98
7	Clarifying Questions from the Committee	120
8	Nominator Presentation - Professional	
9	Compounding Centers of America and	
10	National Community Pharmacists Association	
11	A.J. Day, PharmD	132
12	Clarifying Questions from the Committee	161
13	<b>Open Public Hearing</b>	165
14	Committee Discussion and Vote	170
15	Adjournment	179
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(9:30 a.m.)

**Call to Order**

DR. VAIDA: Good morning, everyone, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Audra Harrison. Her email and phone number are currently displayed.

My name is Allen Vaida, and I will be chairing today's meeting. I will now call the June 8, 2022 meeting of the Pharmacy Compounding Advisory Committee to order. Dr. Takyiah Stevenson is the designated federal officer for this meeting and will begin with introductions.

**Introduction of Committee**

DR. STEVENSON: Good morning. My name is Takyiah Stevenson, and I am the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Robin Bogner?

1 DR. BOGNER: This is Robin Bogner. I'm from  
2 the University of Connecticut. Good morning,  
3 everyone.

4 DR. STEVENSON: Dr. Fensky?

5 DR. FENSKY: Good morning. I'm Tim Fensky,  
6 and I'm representing the National Association of  
7 Boards of Pharmacy. Thank you.

8 DR. STEVENSON: Ms. Fusco-Walker?

9 MS. FUSCO-WALKER: Good morning. This is  
10 Sandra Fusco-Walker with the Allergy and Asthma  
11 Network.

12 DR. STEVENSON: Dr. Gupta?

13 DR. GUPTA: Good morning. This is Dr. Anita  
14 Gupta from Johns Hopkins School of Medicine.

15 DR. STEVENSON: Dr. Gura?

16 DR. GURA: Good morning. I'm Kathy Gura,  
17 Boston Children's Hospital and Harvard Medical  
18 School.

19 DR. STEVENSON: Dr. McElhiney?

20 DR. McELHINEY: Hi. I'm Linda McElhiney,  
21 and I'm the team lead compounding pharmacist for  
22 Indiana University Health in Indianapolis.

1 DR. STEVENSON: Dr. Patel?

2 DR. PATEL: Good morning. This is Kuldip  
3 Patel from Duke University Hospital, representing  
4 hospitals and health system pharmacy.

5 DR. STEVENSON: Dr. Rebello?

6 DR. REBELLO: Good morning. This is  
7 Dr. Elizabeth Rebello, and I practice at University  
8 of Texas MD Anderson Cancer Center.

9 DR. STEVENSON: Dr. Serumaga?

10 DR. SERUMAGA: Good morning. This is Brian  
11 Serumaga, representing the United States  
12 Pharmacopeia.

13 DR. STEVENSON: Dr. Vaida?

14 DR. VAIDA: Good morning. This is Allen  
15 Vaida. I'm a former executive vice president for  
16 the Institute for Safe Medication Practices.

17 DR. STEVENSON: Dr. Calhoun?

18 DR. CALHOUN: Good morning. I'm Bill  
19 Calhoun from the University of Texas Medical Branch  
20 in Galveston.

21 DR. STEVENSON: Dr. Dmochowski?

22 (No response.)



1 DR. STEVENSON: Dr. Dmochowski, if you are  
2 trying to speak, you might be muted.

3 DR. DMOCHOWSKI: Roger Dmochowski,  
4 Vanderbilt University Medical Center.

5 DR. STEVENSON: Thank you.

6 Dr. Evans?

7 DR. EVANS: Good morning. This is Scott  
8 Evans. I am at the University of Texas MD Anderson  
9 Cancer Center.

10 DR. STEVENSON: Dr. Brian Green?

11 (No response.)

12 DR. STEVENSON: Dr. Vivian Lewis?

13 DR. V. LEWIS: Hello. This is Dr. Vivian  
14 Lewis, and I'm at the University of Rochester  
15 Medical Center.

16 DR. STEVENSON: Dr. David Margolis?

17 DR. MARGOLIS: Hi. This is David Margolis.  
18 I'm from the University of Pennsylvania, School of  
19 Medicine.

20 DR. STEVENSON: Dr. Bui?

21 DR. BUI: Good morning. This is Dr. Michael  
22 Bui from Pyxis Oncology.

1 DR. STEVENSON: Dr. Richard Green?

2 MR. R. GREEN: Good morning. This is  
3 Richard Green of Cardinal Health Nuclear and  
4 Precision Health Solutions.

5 DR. STEVENSON: I will now move on to the  
6 FDA participants.

7 Dr. Bormel?

8 MS. BORMEL: Good morning. This is Gail  
9 Bormel. I'm the director of the Office of  
10 Compounding Quality and Compliance at FDA.

11 DR. STEVENSON: Dr. Anderson?

12 (No response.)

13 DR. STEVENSON: Dr. Anderson, you may be on  
14 mute.

15 DR. ANDERSON: Yes. Sorry.

16 DR. STEVENSON: Sure. No problem.

17 DR. ANDERSON: Sorry about that. Yes, this  
18 is Kathleen Anderson, deputy office director for  
19 compliance and operations.

20 DR. STEVENSON: Gabrielle Cosel?

21 MS. COSEL: Good morning. This is Gabrielle  
22 Cosel. I'm the director of the Division of

1 Compounding Policy and Outreach in the Office of  
2 Compounding Quality and Compliance.

3 DR. STEVENSON: Rosilend Lawson?

4 (No response.)

5 Dr. Lawson?

6 DR. LAWSON: Good morning. This is Rosilend  
7 Lawson. I'm branch chief in the Office of  
8 Compounding Quality and Compliance.

9 DR. STEVENSON: Lori Bickel?

10 MS. BICKEL: Good morning. This is Lori  
11 Bickel. I'm a regulatory counsel in CDER's Office  
12 of Medical Policy.

13 DR. STEVENSON: Dr. Ganley?

14 DR. GANLEY: Good morning. I'm Charley  
15 Ganley. I'm the director of the Office of  
16 Specialty Medicine in the Office of New Drugs, in  
17 the Center of Drugs. Thank you.

18 DR. STEVENSON: Dr. Shetty?

19 DR. SHETTY: Good morning. This is Daiva  
20 Shetty. I'm associate director for the Pharmacy  
21 Compounding Review Team in the Office of New Drugs.

22 DR. STEVENSON: Dr. Kneeream?

1 DR. KNEEREAM: Good morning. This is Emily  
2 Kneeream. I'm a clinical analyst with the Pharmacy  
3 Compounding Review Team in the Office of New Drugs.

4 DR. STEVENSON: Dr. Wolfert?

5 DR. WOLFERT: Good morning. This is  
6 Madeline Wolfert. I'm a physician with the  
7 Pharmacy Compounding Review Team in the Office of  
8 Specialty Medicine, Office of New Drugs, FDA.

9 DR. STEVENSON: Thank you, everyone.

10 I will now turn it back to the chair.

11 DR. VAIDA: Thank you.

12 For topics such as those being discussed at  
13 this meeting, there are often a variety of options,  
14 some of which are quite strongly held. Our goal is  
15 that this meeting will be a fair and open forum for  
16 discussion of these issues and that individuals can  
17 express their views without interruption.

18 Thus, as a gentle reminder, individuals will  
19 be allowed to speak into the record only if  
20 recognized by the chairperson. We look forward to  
21 a productive meeting.

22 In the spirit of the Federal Advisory

1 Committee Act and the Government in the Sunshine  
2 Act, we ask that the advisory committee members  
3 take care that their conversations about the topic  
4 at hand take place in the open forum of the  
5 meeting.

6 We are aware that members of the media are  
7 anxious to speak with the FDA about these  
8 proceedings, however, FDA will refrain from  
9 discussing the details of this meeting with the  
10 media until its conclusion. Also, the committee is  
11 reminded to please refrain from discussing the  
12 meeting topic during the breaks. Thank you.

13 Today we will discuss for bulk drug  
14 substances nominated for inclusion on the list of  
15 bulk drugs substances that may be used to compound  
16 drugs in accordance with Section 503A of the  
17 federal Food, Drug, and Cosmetic Act, also known as  
18 the 503A Bulks List: ammonium tetrathiomolybdate;  
19 enclomiphene citrate; ferric subsulfate; and  
20 glutathione.

21 For each of these four substances, we will  
22 hear presentations from FDA; have the opportunity

1 to ask clarifying questions; hear nominators'  
2 presentations, with the exception of ferric  
3 subsulfate; have the opportunity to ask clarifying  
4 questions; hold an open public hearing; and have  
5 committee discussion and voting.

6 The May 6, 2022 Federal Register notice  
7 identifies the uses FDA reviewed for each of the  
8 four bulk drug substances being discussed at this  
9 meeting. These uses reflect those for which  
10 adequate support was provided in the nomination.  
11 In addition, the nominations and the FDA  
12 evaluations for the bulk drug substances, which are  
13 included in the briefing document posted on FDA's  
14 website, identify the proposed and reviewed uses,  
15 dosage forms, and routes of administration.

16 The nominators of these substances have been  
17 invited to make a short presentation supporting  
18 their nomination. To the extent that the  
19 nominators' presentation include information about  
20 additional uses, dosage forms, and routes of  
21 administration, I remind the committee that these  
22 additional uses, dosage forms, and routes of

1 administration are not part of the agency's  
2 evaluation because the nominators either did not  
3 nominate those uses, dosage forms, and routes of  
4 administration, or they were not adequately  
5 supported.

6 The committee will also discuss a revision  
7 FDA is considering to the list of drug products  
8 that have been withdrawn or removed from the market  
9 for reasons of safety or effectiveness, the  
10 Withdrawn or Removed List. FDA now is considering  
11 whether to amend that rule to add one more entry to  
12 the list, lorcaserin hydrochloride, all drug  
13 products containing lorcaserin hydrochloride.

14 Let us begin. We will now have Dr. Takyiah  
15 Stevenson read the Conflict of Interest Statement  
16 for this meeting's 503 Bulks List topics.

17 **Conflict of Interest Statement**

18 DR. STEVENSON: The Food and Drug  
19 Administration, FDA, is convening today's meeting  
20 of the Pharmacy Compounding Advisory Committee  
21 under the authority of the Federal Advisory  
22 Committee Act, FACA, of 1972. With the exception

1 of the National Association of Boards of Pharmacy,  
2 NABP; the United States Pharmacopeia, and the  
3 industry representatives, all members and temporary  
4 voting members of the committee are special  
5 government employees, SGEs, or regular federal  
6 employees from other agencies and are subject to  
7 federal conflict of interest laws and regulations.

8 The following information on the status of  
9 this committee's compliance with federal ethics and  
10 conflict of interest laws, covered by but not  
11 limited to those found at 18 U.S.C. Section 208, is  
12 being provided to participants in today's meeting  
13 and to the public.

14 FDA has determined that members and  
15 temporary voting members of this committee are in  
16 compliance with federal ethics and conflict of  
17 interest laws. Under 18 U.S.C. Section 208,  
18 Congress has authorized FDA to grant waivers to  
19 special government employees and regular federal  
20 employees who have potential financial conflicts  
21 when it is determined that the agency's need for a  
22 special government employee's services outweighs



1 his or her potential financial conflict of interest  
2 or when the interest of a regular federal employee  
3 is not so substantial as to be deemed likely to  
4 affect the integrity of the services which the  
5 government may expect from the employee.

6 Related to discussions of today's meeting,  
7 members and temporary voting members of this  
8 committee have been screened for potential  
9 financial conflicts of interests of their own as  
10 well as those imputed to them, including those of  
11 their spouses or minor children and, for purposes  
12 of 18 U.S.C. Section 208, their employers. These  
13 interests may include investments; consulting;  
14 expert witness testimony; contracts, grants,  
15 CRADAs; teaching, speaking, writing; patents and  
16 royalties; and primary employment.

17 The committee will discuss four bulk drug  
18 substances nominated for inclusion on the 503A  
19 Bulks List. FDA will discuss the following  
20 nominated bulk drug substances and the uses that  
21 have been reviewed.

22 1) Ammonium tetrathiomolybdate for Wilson

1 disease; use of copper chelation therapy for the  
2 treatment of breast cancer, kidney cancer, prostate  
3 cancer, colorectal cancer, esophageal cancer, and  
4 malignant pleural mesothelioma;

5 2) enclomiphene citrate to increase serum  
6 testosterone, luteinizing hormone, and follicle-  
7 stimulating hormone, FSH, to normal levels in the  
8 treatment of secondary hypogonadism;

9 3) Ferric subsulfate for use as an  
10 astringent and hemostatic agent during minor  
11 surgical procedures; and

12 4) Glutathione for skin lightening; cystic  
13 fibrosis; asthma; chronic obstructive pulmonary  
14 disease; chronic lung disease; oxidative stress;  
15 reduction of the side effects of chemotherapy;  
16 inhibition of chemical-induced carcinogenesis;  
17 prevention of radiation injury; treatment of heavy  
18 metal poisoning, cadmium and mercury; acetaminophen  
19 toxicity; autism spectrum disorder; Alzheimer's  
20 disease; Parkinson's disease; major depressive  
21 disorder; schizoprenia; helicobacter pylori  
22 infection; human immunodeficiency virus infection;

1 tuberculosis; otitis media; peripheral obstructive  
2 arterial disease; anemia; diabetes; and septic  
3 shock.

4 The nominators of these substances or  
5 another interested party will be invited to make a  
6 short presentation supporting the nomination.

7 This is a particular matters meeting during  
8 which specific matters related to the four bulk  
9 drug substances will be discussed. Based on the  
10 agenda for today's meeting and all financial  
11 interest reported by the committee members and  
12 temporary voting members, conflict of interest  
13 waivers have been issued in accordance with  
14 18 U.S.C. Section 208(b)(3) to Drs. Srinivasan  
15 Dasarathy and Kathleen Gura.

16 Dr. Dasarathy is only attending the ammonium  
17 tetrathiomolybdate topic. His waiver for that  
18 topic involves investment holdings in healthcare  
19 sector mutual funds with an aggregate value between  
20 \$100,000 and \$150,000.

21 Dr. Gura is attending all topics. Her  
22 waiver for those topics involve stock holdings in

1 an affected entity. The aggregate value of her  
2 stock is between \$50,000 and \$100,000.

3 The waivers allow these individuals to  
4 participate fully in today's deliberations. FDA's  
5 reasons for issuing the waivers are described in  
6 the waiver documents, which are posted on FDA's  
7 website at <https://www.fda.gov/advisory->  
8 [committees/committees-and-meeting-materials/human-](https://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees)  
9 [drug-advisory-committees.](https://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees)

10 Copies of the waivers may also be obtained  
11 by submitting a written request to the agency's  
12 Freedom of Information Division, 5630 Fishers Lane,  
13 Room 1035, Rockville, Maryland, 20857, or requests  
14 may be sent via fax to 301-827-9267.

15 To ensure transparency, we encourage all  
16 standing members and temporary voting members to  
17 disclose any public statements that they have made  
18 concerning the bulk drug substances at issue.

19 We would like to note that Dr. Timothy  
20 Fensky is a representative member from the National  
21 Association of Boards of Pharmacy, NABP, and  
22 Dr. Brian Serumaga is a representative member from

1 the United States Pharmacopeia, USP. Section 102  
2 of the Drug Quality and Security Act amended the  
3 Federal Food, Drug, and Cosmetic Act with respect  
4 to the Advisory Committee on Compounding to include  
5 representatives from the NABP and the USP. Their  
6 role is to provide the committee with the points of  
7 view of the NABP and the USP.

8 Unlike the other members of the committee,  
9 representative members are not appointed to the  
10 committee to provide their own individual judgment  
11 on the particular matters at issue. Instead, they  
12 serve as the voice of the NABP and USP entities  
13 with a financial or other stake in the particular  
14 matters before the advisory committee.

15 With respect to FDA's invited industry  
16 representative, we would like to disclose that  
17 Dr. Michael Bui and Mr. Richard Green are  
18 participating in this meeting as non-voting  
19 industry representatives, acting on behalf of  
20 regulated industry. Their role at this meeting is  
21 to represent industry in general and not any  
22 particular company. Dr. Bui is employed by Pyxis

1 Oncology and Mr. Green is employed by Cardinal  
2 Health Nuclear and Precision Health Solutions.

3 We would like to remind members and  
4 temporary voting members that if the discussions  
5 involve any other bulk drug substances or firms not  
6 already on the agenda for which an FDA participant  
7 has a personal or imputed financial interest, the  
8 participants need to exclude themselves from such  
9 involvement, and their exclusion will be noted for  
10 the record. FDA encourages all participants to  
11 advise the committee of any financial relationships  
12 that they may have with the topics at issue.

13 Thank you, and I will turn it back over to  
14 the chair.

15 DR. VAIDA: Thank you.

16 We will proceed with FDA introductory  
17 remarks from Dr. Frances Gail Bormel, immediately  
18 followed by an FDA presentation on investigational  
19 new drug expanded access from Lori Bickel.

20 Dr. Bormel?

21 **FDA Introductory Remarks - Gail Bormel**

22 MS. BORMEL: Thank you, Dr. Vaida, and good

1 morning, everyone.

2           Again, my name is Gail Bormel, the director  
3 of the Office of Compounding Quality and  
4 Compliance, which is the FDA office primarily  
5 responsible for developing and implementing  
6 policies and compliance strategies to help assure  
7 the quality of compounded drugs. We recognize the  
8 importance of access to compounded drugs for  
9 patients who have a medical need for them. Our  
10 office aims to protect patients from the risk of  
11 poor quality or otherwise harmful compounded drugs.

12           I would like to welcome you to the  
13 11th meeting of the Pharmacy Compounding Advisory  
14 Committee. Today, as you've heard previously, we  
15 will discuss bulk drug substances nominated for  
16 inclusion on the list of bulk drug substances that  
17 can be used in compounding human drug products  
18 under Section 503A of the federal Food, Drug, and  
19 Cosmetic Act, also known as FD&C Act.

20           This list is known as the 503A Bulks List.  
21 The substances that will be discussed are  
22 enclomiphene citrate; glutathione; ammonium

1       tetrathiomolybdate, ATTM; and ferric subsulfate.  
2       Some of these substances may be available in  
3       dietary supplements. As a reminder, the discussion  
4       today focuses on FDA's evaluation of these  
5       substances as bulk drug substances for use in human  
6       drug compounding under Section 503A of the Act, and  
7       is not intended to inform FDA's regulation of these  
8       substances in dietary supplements.

9               We also note the availability of a substance  
10       as a dietary supplement is not a criterion  
11       considered when evaluating a substance for  
12       inclusion on the 503A Bulks List. Dietary  
13       supplements are regulated under a different part of  
14       the FD&C Act and different considerations apply to  
15       the regulation of dietary supplements and drugs,  
16       including drug products compounded using bulk drug  
17       substances under Section 503A. For example,  
18       dietary supplements are intended for oral  
19       ingestion, while drugs may be intended for  
20       administration by numerous other routes of  
21       administration such as topically or by parenteral  
22       or intrathecal injection.



1           These different routes of administration  
2 raise very different considerations from a  
3 regulatory perspective, including safety  
4 considerations related to risk of contamination and  
5 considerations regarding systemic absorption.

6           The reviews conducted by the agency for bulk  
7 drug substances nominated for the 503A Bulks List  
8 follow the criteria described in FDA's regulations  
9 implementing Section 503A, which are separate and  
10 distinct from FDA's statutory and regulatory  
11 provisions governing the treatment of dietary  
12 supplements.

13           During this meeting, we will also discuss  
14 whether to add an entry for drug products  
15 containing lorcaserin hydrochloride to the list of  
16 drug products that have been withdrawn or removed  
17 from the market because such drug products, or  
18 components of such drug products, have been found  
19 to be unsafe or not effective. This list, known as  
20 the Withdrawn or Removed List, implements  
21 conditions under Section 503A and 503B of the FD&C  
22 Act.

1           As in previous meetings, we have scheduled  
2 time for the nominators to speak and time for an  
3 open public hearing after FDA's presentation on  
4 each of the four bulk drug substances. There will  
5 also be an open public hearing after the FDA  
6 presentation for lorcaserin hydrochloride.

7           I would now like to take this opportunity to  
8 provide you with an update on certain developments  
9 since the committee last met in June 2021. Some of  
10 these actions affect compounders under Section 503A  
11 of the FD&C Act such as state licensed pharmacies,  
12 federal facilities, and licensed physicians. Other  
13 actions affect those compounders known as  
14 outsourcing facilities that are regulated under  
15 Section 503B of Act. Finally, some of the actions  
16 affect compounders under both Section 503A and  
17 503B.

18           Since our last PCAC meeting in 2021, the  
19 agency has worked to establish and revise guidance  
20 that affect compounders under Section 503A of the  
21 Act. In October 2021, FDA published a revised  
22 draft guidance concerning hospital and health

1 system compounding under Section 503A of the FD&C  
2 Act. The agency has also been working on policy  
3 documents that affect compounders under  
4 Section 503B of the Act, including those that  
5 advance their creation of the list of bulk drug  
6 substances for which there is a clinical need for  
7 use in compounding under Section 503B. That's  
8 known as the 503B Bulks List.

9 In January 2022, the agency issued a Federal  
10 Register notice, adding the first four bulk drug  
11 substances to the 503B list. The agency also  
12 determined that eight bulk drug substances will not  
13 be added to the list at this time, joining  
14 nicardipine hydrochloride and vasopressin. FDA has  
15 also issued compounding risk alerts to inform  
16 healthcare professionals, compounders, and  
17 consumers about risks associated with compounded  
18 drugs, including information on adverse events,  
19 outbreaks, or product quality.

20 In October 2021, the agency issued a  
21 compounding risk alert highlighting concerns with  
22 the compounding of drug products by medical offices

1 and clinics under insanitary conditions. In  
2 February 2022, FDA issued another alert warning  
3 healthcare professionals of the potential risks  
4 associated with compounded ketamine nasal spray.  
5 In addition, FDA announced that it intends to  
6 undertake notice and comment rulemaking related to  
7 a statutory provision regarding certain  
8 distributions of compounded human drug products and  
9 a standard memorandum of understanding -- that is  
10 an MOU -- between FDA and states.

11 The standard MOU is an agreement that is  
12 intended to address interstate distribution of  
13 inordinate amounts of compounded drugs and  
14 complaint investigations by a state regulator  
15 related to compounded drugs distributed outside the  
16 state. This falls within Section 503A of the Act.

17 FDA considers the standard MOU, published in  
18 October 2020, to be suspended. This means that  
19 during the rulemaking process, FDA will not enter  
20 into new agreements with states based on the  
21 standard MOU, and FDA does not expect the state  
22 that signed the standard MOU to carry out any

1 activities described in the MOU. In addition, the  
2 standard MOU will be updated based on the content  
3 of a final rule, and FDA intends to announce a new  
4 opportunity for all states to consider and sign the  
5 updated standard MOU.

6 Last, I want to turn to the Compounding  
7 Quality Center of Excellence, which continues to  
8 engage with outsourcing facilities, compounders,  
9 and other stakeholders to improve the overall  
10 quality of compounded drugs.

11 In 2021 and 2022, the Center of Excellence  
12 has offered several virtual instructor-led and  
13 self-guided trainings to support outsourcing  
14 facilities in their efforts to provide quality  
15 compounded drugs.

16 Also in September of 2021, the Center of  
17 Excellence held a virtual conference on the culture  
18 of quality, giving the opportunity to engage with  
19 FDA and learn about emerging trends and best  
20 practices to enhance the quality of compounded  
21 drugs. All of FDA's compounding policy documents,  
22 including our compounding risk alerts and the

1 Center of Excellence training opportunity,  
2 including those just discussed, appear on FDA's  
3 compounding website.

4 Again, I want to thank you for your  
5 participation on the Pharmacy Compounding Advisory  
6 Committee. We look forward to a productive meeting  
7 and to continuing to work together. This concludes  
8 my presentation, and I will turn it over to Lori  
9 Bickel.

10 **FDA Presentation - Lori Bickel**

11 MS. BICKEL: Thank you.

12 Good morning. I'm Lori Bickel. I'm a  
13 regulatory counsel in CDER's Office of Policy, and  
14 I have nothing to declare this morning.

15 I'd like to take a few minutes this morning  
16 to look at two ways investigational drug products  
17 can be used, either for research and IND or for  
18 treatment through expanded access. I'll go into a  
19 little detail about the requirements for all  
20 expanded access and the three categories. Finally,  
21 I'll take a quick look at some of the tools FDA has  
22 developed to help patients and their physicians

1 determine if expanded access is even an appropriate  
2 option and to streamline the process if it is.

3 To start, we're talking, again, about ways  
4 to access investigational drugs. Research on an  
5 investigational drug is done under an IND. To get  
6 to an approved drug, clinical trials are needed.  
7 They provide the data to determine the safety and  
8 efficacy of the product, among other things.  
9 However, a clinical trial isn't always an option,  
10 so in those cases, perhaps expanded access may be  
11 an avenue for treatment if appropriate conditions  
12 are met.

13 I'll start with the IND for research using  
14 an investigational drug, however, all of the key  
15 content of the IND submission that I'll cover are  
16 also applicable to expanded access submissions as  
17 well.

18 I break the components down into three  
19 categories for myself. The first is information  
20 about the investigator or physician. That can be  
21 submitted on either Form 1571-1572, which is the  
22 New Drug Application and Statement of the

1 Investigator, or Form FDA 3926, which is a new form  
2 created in 2016 for single-patient expanded access  
3 submissions. That will include the information  
4 about the investigator, or in the case of some  
5 expanded access, it's the treating physician of the  
6 patient, including all of their qualifications, CV,  
7 and things like that.

8 Moving on to the next bucket of information  
9 is information about the drug product: what is its  
10 chemistry, manufacturing, and controls information;  
11 what is the product identity, purity; how it will  
12 be distributed; and all of that type of  
13 information. Again, for some single-patient  
14 expanded access, a letter of authorization may be  
15 used to reference the chemistry, manufacturing, and  
16 controls information that is already on file with  
17 FDA in an existing IND.

18 Moving on to the next set of key content,  
19 other information about the drug is, obviously, the  
20 basic information on the safety and efficacy of the  
21 drug. The third set of information included in the  
22 IND is the information about the patient and the



1 proposed treatment; description of the disease or  
2 condition; and what the route of administration may  
3 be. Finally, all INDs will also need an informed  
4 consent form and IRB approval prior to beginning.

5 We're going to shift specifically to  
6 expanded access. In contrast to a clinical trial,  
7 which is primarily use of investigational drug for  
8 research, expanded access is the use of an  
9 investigational drug or biologic to treat a patient  
10 with a serious or immediately life-threatening  
11 disease or condition who does not have comparable  
12 or satisfactory alternate therapy.

13 Moving on to the basics of expanded access,  
14 the first thing I'd like to point out is actually  
15 the asterisk at the bottom of this slide. The  
16 sponsor and manufacturer of the investigational  
17 drug must agree to provide it to the patient for  
18 the expanded access use. Once that occurs, then  
19 there are three different types of expanded access.

20 The first is individual. That's a single  
21 patient, which is also involving a community  
22 physician with no past experience or involvement in

1 clinical trials as the sponsor/investigator of the  
2 IND. Individual patient expanded access use can  
3 also be emergency or non-emergency, depending on  
4 the situation

5 The second type of expanded access is  
6 intermediate size population. There's no set  
7 number for an intermediate size, but generally it's  
8 more than one and fewer than the number in a  
9 treatment IND or protocol. Then finally, the third  
10 type is a treatment IND, which is typically larger  
11 and widespread. A treatment IND or protocol  
12 usually occurs either after phase 3 or compelling  
13 phase 2 data analysis.

14 Now that we have the three types of expanded  
15 access, the next set of requirements apply to all  
16 three. As I've said, the patient must have a  
17 serious or immediately life-threatening disease or  
18 condition; there is no comparable or satisfactory  
19 available therapy; they aren't able to participate  
20 in a clinical trial; the risk-benefit analysis must  
21 show that the potential benefit justifies the  
22 potential risks; and finally, that providing

1 expanded access will not interfere with the  
2 product's development program.

3 In 2009, FDA published the final rule on  
4 expanded access. In 2016, we released a Q&A  
5 guidance which was revised in 2017 based on the  
6 21st Century Cures Act. Both the guidance and the  
7 regulations include the general criteria for all  
8 types in each category of expanded access; the  
9 requirements for what information must be  
10 submitted; and finally, the safeguards for the  
11 patients, including IRB review, informed consent,  
12 and reporting requirements.

13 I'd also like to remind everyone at this  
14 point that all research done under IND -- as  
15 clinical trials, expanded access -- come with the  
16 full range of human subject protections, again,  
17 such as IRB review and informed consent.

18 Since the regs were published in 2009, FDA  
19 continues to take steps to make sure the program is  
20 known and that its criteria are known and followed  
21 so that the program is used appropriately and  
22 within its intended scope. That included creation

1 of Form FDA 3926 in 2016. Prior to that, again,  
2 some single-patient access was conducted by  
3 community physicians who didn't have prior  
4 experience with INDs. However, before 3926, they  
5 had to use Form 1571 and 72, which is the same form  
6 as a commercial IND. That's part of the reason FDA  
7 heard stakeholder input and created the streamlined  
8 Form 3926 for single-patient expanded access INDs.

9 At the same time, FDA updated the guidances  
10 and our website. We've had an ongoing  
11 collaboration with the Reagan-Udall Foundation to  
12 launch various tools to assist users, again, in  
13 determining if expanded access is appropriate, and  
14 then help to walk them through the process if it  
15 is.

16 FDA's Oncology Center of Excellence launched  
17 Project Facilitate in 2019, which is also a program  
18 to help provide one-on-one assistance through the  
19 process. Finally, FDA has an internal expanded  
20 access coordinating committee. It's an internal  
21 work group made of FDA staff to meet monthly and  
22 discuss expanded access and the program.

1           Here is a screenshot from FDA's website.  
2           Again, it's a rather user-friendly website.  
3           There's also a link to a series of FDA-produced  
4           informational videos. We won't have an opportunity  
5           for questions today, so I wanted to be sure to  
6           provide the contact information for any questions  
7           that members of the committee or the public may  
8           have about expanded access or INDs, and here are  
9           links to the regulations and guidances that I  
10          mentioned.

11           Thank you all for the chance to speak with  
12          you this morning. I'll now hand it back to  
13          Dr. Vaida, the chairperson.

14           DR. VAIDA: Thank you.

15           We will now proceed with FDA presentations,  
16          starting with enclomiphene citrate from  
17          Dr. Madeline Wolfert.

18                   **FDA Presentation - Madeline Wolfert**

19           DR. WOLFERT: Good morning. My name is  
20          Madeline Wolfert. I am a physician with the  
21          Pharmacy Compounding Review Team in the Office of  
22          New Drugs, and I will be presenting enclomiphene

1        citrate. I would like to recognize the entire  
2        evaluation team, as well as the contribution of  
3        many other FDA colleagues. Our special thanks to  
4        the Division of Urology, Obstetrics, and Gynecology  
5        in OND.

6                Enclomiphene citrate, which I'll refer to as  
7        enclomiphene, was nominated for inclusion on the  
8        list of bulk drug substances that can be used to  
9        compound drug products in accordance with  
10       Section 503A of the FD&C Act, known as the 503A  
11       Bulks List. Enclomiphene was evaluated for the use  
12       to increase serum testosterone, LH, and FSH to  
13       normal levels in the treatment of secondary  
14       hypogonadism. The proposed dosage forms are oral  
15       capsules or tablets 12.5, 25, and 50 milligram.

16               The criteria we consider in our evaluation  
17       for the 503A Bulks List are physical and chemical  
18       characterization, nonclinical and clinical safety,  
19       available evidence of effectiveness or lack of  
20       effectiveness, and historical use in compounding.

21               Enclomiphene citrate is a small molecule and  
22       has no USP monograph. It can be made by separation

1 from the mixture of geometric isomers, enclomiphene  
2 and zuclomiphene. The mixture of these two isomers  
3 is clomiphene citrate, which I'll refer to as  
4 clomiphene. Unlike enclomiphene, clomiphene has a  
5 USP monograph and is the active ingredient in an  
6 approved drug. Based on the USP monograph, its  
7 enclomiphene content is 50 to 70 percent.

8 Clomiphene is stable when stored at room  
9 temperature and protected from light. Since  
10 enclomiphene is one constituent of clomiphene  
11 isomeric mixture, it's expected to be stable when  
12 stored under similar conditions.

13 Enclomiphene can be characterized by common  
14 tools and techniques. It is slightly soluble in  
15 water. The impurity profile is expected to be  
16 similar to clomiphene with other likely impurities,  
17 which are unlikely to be toxic if adequately  
18 controlled. In conclusion, enclomiphene is a  
19 well-characterized small molecule expected to be  
20 stable under ordinary storage conditions when  
21 protected from light in the proposed form.

22 Now I'll discuss nonclinical information.

1 Enclomiphene is a selective estrogen receptor  
2 modulator, SERM, which acts by blocking the  
3 estrogenic suppression of the HPG axis. As a  
4 result, the pituitary secretes more LH and FSH,  
5 which stimulates testes to produce more  
6 testosterone. Animal studies suggest that  
7 enclomiphene can treat secondary hypogonadism by  
8 increasing testosterone levels.

9 Data for the nonclinical programs are from  
10 the European Medicines Agency, EMA, 2018 public  
11 report that reviewed enclomiphene for marketing  
12 authorization. Oral dosing in rodents showed rapid  
13 absorption and dose-related increase in plasma  
14 levels. Repeat-dose toxicity in rats found no  
15 minimum no adverse effect level, reduced body and  
16 organ weight, and histopathological findings in the  
17 prostate, testes, seminal vesicles, and kidneys.  
18 In dogs, deaths in high-dose animals were related  
19 to hepatotoxicity. Other findings were organ  
20 weight changes and ophthalmic abnormalities.

21 Enclomiphene was negative in a battery of  
22 genotoxicity assays. For developmental and



1 reproductive toxicity, 200 mg per kg resulted in  
2 mortality in male mice. Lower doses were  
3 associated with altered sperm parameters, increased  
4 resorptions, and post-implantation loss. For  
5 carcinogenicity, findings from studies in mice and  
6 rats concluded enclomiphene is not carcinogenic.

7 To conclude, the nonclinical toxicity  
8 profile of enclomiphene reflects its exaggerated  
9 pharmacological action as a SERM. Reproductive  
10 adverse findings include decreases in organ weight  
11 and histopath findings. The potential  
12 nonreproductive target organs include liver,  
13 kidneys, and eyes. It is not genotoxic or  
14 carcinogenic.

15 Now we'll discuss clinical PK. Oral  
16 enclomiphene is rapidly absorbed with a half-life  
17 of 10 hours. Max serum concentration is 2 to  
18 3 hours after intake. Excretion is mainly in  
19 feces. Cmax increased in a greater than dose  
20 proportional manner from 12.5 to 25 milligram, and  
21 a less than dose proportional manner from 25 to  
22 50 milligram. The main metabolite appears to be

1 4 hydroxy enclomiphene.

2 For clinical safety, we considered these  
3 sources: FDA Adverse Event Reporting System,  
4 FAERS; published clinical trials and  
5 clinicaltrials.gov; and other safety information.

6 In terms of clinical trials, Kim, et al.  
7 published phase 3 trials in patients with secondary  
8 hypogonadism. They compared enclomiphene  
9 12.5 milligram or 12.5 uptitrated to 25 milligram  
10 and topical testosterone. Here are the adverse  
11 events, AEs, reported; 2 deaths, which  
12 investigators considered unlikely to be due to the  
13 study drug. In the 25 milligram and testosterone  
14 groups, a patient discontinued due to high  
15 hematocrit or hemoglobin. In the 25-milligram  
16 group, a patient also discontinued due to high PSA.

17 Another trial in patients with secondary  
18 hypogonadism compared enclomiphene 12.5 and  
19 25 milligrams, topical testosterone, and placebo.  
20 AEs in enclomiphene 25 milligrams included  
21 inability to climax and loss of sensation during  
22 intercourse and GI symptoms. These were considered

1 possibly related to the study drug. Both patients  
2 discontinued.

3 Another 2014 trial compared enclomiphene and  
4 topical testosterone. AEs included mildly  
5 increased estradiol and headaches. In a safety  
6 study which evaluated enclomiphene 12.5 milligram  
7 and 12.5 uptitrated to 25 milligram, serious AEs  
8 are seen in the table and non-serious AEs are  
9 listed below. Serious AEs included cardiac and  
10 thromboembolic events such as bradycardia; chest  
11 pain; TIA; hypotension; atrial flutter; pulmonary  
12 embolism; and deep vein thrombosis. Non-serious  
13 AEs included hot flushes, muscle spasms, and  
14 headaches.

15 The EMA reviewed enclomiphene for marketing  
16 authorization to treat secondary hypogonadism and  
17 concluded that safety was not sufficiently  
18 demonstrated. Most frequent AEs were headache, hot  
19 flushes, nausea, and muscle spasm. Those leading  
20 to discontinuation were blurred vision, muscle  
21 spasm, headache, and aggression. Incidence of  
22 cardiac and thromboembolic events in the

1       enclomiphene group was also slightly increased  
2       compared to patients treated with testosterone.

3               Also from the EMA report, cataracts were  
4       reported in nonclinical studies. EMA said that  
5       data did not provide conclusive evidence that  
6       enclomiphene caused new or progression of existing  
7       cataracts but recommended that ocular safety  
8       monitoring be included in a risk management plan.  
9       And finally, EMA considered PK data incomplete to  
10      inform dose adjustments in elderly patients, renal  
11      and hepatic impairment, and to exclude the  
12      possibility of a unique metabolite of significance.

13              To conclude, safety concerns include cardiac  
14      and thromboembolic events; elevated estradiol;  
15      increased PSA; and increased hematocrit, with  
16      long-term safety data lacking. PK data are  
17      limited, including information on dose adjustments  
18      for renal or hepatic impairment. There are  
19      FDA-approved therapies that meet established  
20      criteria for safety and efficacy, and they are  
21      labeled accordingly to inform their safe use.

22              I'll now switch gears to provide a brief

1 overview of hypogonadism. It's a clinical syndrome  
2 that results from failure of testes to produce  
3 physiological concentrations of testosterone and/or  
4 a normal number of sperm due to pathology in the  
5 HPG axis. It's classified as primary or secondary.

6           Secondary hypogonadism, which is what we'll  
7 focus on, is dysfunction arising from the level of  
8 the hypothalamus or pituitary. Men have low  
9 testosterone and low or inappropriately normal LH  
10 and FSH. It's also called hypogonadotropic  
11 hypogonadism. Diagnosis is based on signs and  
12 symptoms and low testosterone levels. Definitions  
13 of low testosterone vary, but the AUA recommends  
14 diagnosis below 300. Signs and symptoms include  
15 those seen on this slide.

16           Treatment depends on underlying etiology and  
17 goals for fertility. Products approved for  
18 treatment include testosterone and hCG. Because  
19 exogenous testosterone can impair spermatogenesis,  
20 there's interest in non-testosterone alternatives  
21 for men such as hCG or SERMs like enclomiphene.

22           No FDA-approved drugs contain enclomiphene

1 as active ingredient. Repros submitted an NDA for  
2 enclomiphene to treat secondary hypogonadism in  
3 fertile men. In 2015, Repros announced a complete  
4 response from FDA that the design of phase 3  
5 studies was not adequate to demonstrate clinical  
6 benefit and concerns regarding study entry  
7 criteria, titration, and bioanalytical method  
8 validation.

9 In 2016, Renable Pharma applied to EMA for  
10 marketing authorization of enclomiphene to treat  
11 secondary hypogonadism. As discussed in previous  
12 slide, in 2018, EMA refused marketing  
13 authorization, determining that safety and efficacy  
14 were not sufficiently demonstrated.

15 Now I'll present information on  
16 effectiveness of enclomiphene for secondary  
17 hypogonadism. A small trial compared enclomiphene  
18 25 milligram and topical testosterone to evaluate  
19 changes in hormone levels and seminal parameters.  
20 Testosterone levels increased in both groups, but  
21 two men in the enclomiphene group did not achieve  
22 testosterone greater than 300 during treatment. LH

1 and FSH increased in the enclomiphene group.

2 In another trial to evaluate effects on LH  
3 and total testosterone, enclomiphene was compared  
4 to transdermal testosterone. Testosterone levels  
5 increased in all groups with greater variability in  
6 the transdermal testosterone group. LH and FSH  
7 increased in enclomiphene groups.

8 Wiehle, et al. compared enclomiphene 12.5  
9 and 25 milligrams, topical testosterone, and  
10 placebo. Testosterone increased in all active  
11 treatment groups. LH and FSH increased in  
12 enclomiphene groups and decreased in topical  
13 testosterone group. Another trial compared the  
14 effects of enclomiphene, topical testosterone, and  
15 placebo. Testosterone levels increased in active  
16 treatment groups and LH and FSH increased in  
17 enclomiphene groups.

18 Phase 3 trials compared enclomiphene  
19 12.5 milligram, 12.5 uptitrated to 25 milligram,  
20 topical testosterone, and placebo. Authors found  
21 testosterone increased in all active treatment  
22 groups. LH and FSH increased with enclomiphene and

1 decreased in the topical testosterone group. After  
2 cessation of treatment, testosterone levels in  
3 enclomiphene groups remained higher than baseline  
4 for 7 days.

5           If you recall on a previous slide, I  
6 mentioned that clomiphene is a mixture of  
7 enclomiphene and zuclomiphene. A review by Earl  
8 and Kim noted the following: that enclomiphene  
9 maintains the androgenic effect of clomiphene  
10 without the estrogen agonist effect of  
11 zuclomiphene, but the effects of zuclomiphene are  
12 not fully understood; that enclomiphene preserves  
13 sperm concentration compared to testosterone  
14 replacement, which I'll address on the next slide;  
15 although the evidence is weak, studies suggest that  
16 the side effect profile is not significantly worse  
17 than testosterone or clomiphene but needs further  
18 research to confirm this hypothesis; that  
19 enclomiphene achieved comparable testosterone  
20 levels to testosterone replacement while increasing  
21 LH and FSH; but that further studies are necessary  
22 to fully characterize the impact on subjective



1 symptoms of hypogonadism and to fully characterize  
2 its AE profile.

3 An FDA guidance in May 2018 provides  
4 recommendations for establishing clinical  
5 effectiveness for drugs intended to treat secondary  
6 hypogonadism attributed to nonstructural  
7 etiologies. It incorporates advice received at the  
8 Bone, Reproductive, and Urologic Drugs Advisory  
9 Committee December 2016 meeting.

10 The guidance states that it's unclear  
11 whether increasing testosterone in this population  
12 confers clinical benefit. Trials should show  
13 clinically meaningful improvement in at least one  
14 symptom or sign of secondary hypogonadism.

15 In addition, FDA does not consider that  
16 changes in semen parameters alone are sufficient to  
17 establish efficacy since the intent of the drug is  
18 to improve fertility, and improvement in semen  
19 parameters does not ensure fertility. The EMA also  
20 concluded that normalizing testosterone was not  
21 sufficient to conclude translation into clinically  
22 meaningful benefits. Note that enclomiphene trials

1 did not evaluate improvement in hypogonadal  
2 symptoms or quality of life.

3 In conclusion, while studies may suggest  
4 that enclomiphene may increase testosterone with an  
5 increase in LH and FSH, it's unclear whether  
6 increasing testosterone in secondary hypogonadism  
7 confers clinical benefit. Clinical trials did not  
8 demonstrate clinically meaningful improvement in  
9 symptoms or signs of hypogonadism. There are  
10 FDA-approved therapies with established efficacy.

11 Here's what we found on historical use in  
12 compounding. There's insufficient information on  
13 length of use. It's been studied in follicular  
14 development, ovulation induction, and secondary  
15 hypogonadism, but unclear whether the products used  
16 were compounded. Based on advertising information,  
17 it's discussed for treatment of male hypogonadism,  
18 but there are insufficient data on extent of use.  
19 It's not recognized in the European or Japanese  
20 pharmacopoeias.

21 After considering the information currently  
22 available, a balancing of the criteria weighs

1 against enclomiphene citrate being added to the  
2 503A Bulks List. Thank you very much. This  
3 concludes my presentation.

4 DR. VAIDA: Thank you, Dr. Wolfert.

5 We will now take clarifying questions for  
6 FDA presenters. Please use the raise-hand icon to  
7 indicate that you have a question, and remember to  
8 clear the icon after you have asked your question.  
9 When acknowledged, please remember to state your  
10 name for the record before you speak and direct  
11 your question to a specific presenter, if you can.  
12 If you wish for a specific slide to be displayed,  
13 please let us know the slide number, if possible.

14 Finally, it would be helpful to acknowledge  
15 the end of your question with a thank you and the  
16 end of your follow-up question with, "That is all  
17 for my questions," so we can move on to the next  
18 panel member. [Inaudible - audio lost.]

19 (No response.)

20 DR. VAIDA: I don't see any raised hands for  
21 the FDA presenter, so why don't we move on to the  
22 nominator presentations, and then we will have an

1 opportunity to ask questions of the FDA and the  
2 nominators.

3 We have two presentations from Drs. Elsaied  
4 and Masterson, who are speaking on behalf of  
5 Empower Pharmacy. Please proceed.

6 **Nominator Presentation - Marwa Elsaied**

7 DR. ELSAIED: Good morning. My name is  
8 Marwa Elsaied, and I'm the director of medical  
9 affairs for Empower Pharmacy. I'd like to take a  
10 moment to thank the FDA for hosting this virtual  
11 meeting.

12 I'd like to start by looking at the  
13 prevalence of hypogonadism. A cross-sectional  
14 survey conducted discovered that about 12 percent  
15 of the study population was diagnosed, indicated by  
16 low serum testosterone levels and non-elevated LH,  
17 as you can see on the image. The HIM study  
18 evaluated 2,000 men, and some, just under  
19 40 percent, were hypogonadal.

20 The FDA briefing document acknowledges that  
21 enclomiphene has been studied for several decades  
22 for secondary hypogonadism. Enclomiphene is the

1 main isomer making up about 62 percent of an  
2 already FDA-approved drug, clomiphene.

3 Enclomiphene is the estrogen antagonist with a  
4 half-life of hours, while zuclomiphene is the  
5 estrogen agonist with a half-life of weeks.

6 Clomiphene is actually a group for ovulatory  
7 dysfunction due to the estrogenic isomer, which is  
8 counter-intuitive for treatment in men. A  
9 preclinical study done on mice found that  
10 zuclomiphene disrupted sperm production, while  
11 enclomiphene preserved it. The same study found  
12 that testicles, epididymis, seminal vesicles, and  
13 mice overall had a decrease in weight in the  
14 zuclomiphene group when compared to enclomiphene.  
15 Another preclinical study done in baboons found  
16 that zuclomiphene did not impact testosterone  
17 levels and increased total cholesterol by  
18 22 percent, while enclomiphene decreased levels by  
19 8 percent.

20 The FDA briefing document mentions a  
21 preclinical study done on dogs where dose  
22 reductions were needed due to morbidity. It's

1       worth mentioning that the dose used here was very  
2       high at 40 milligrams per kilogram per day, while  
3       the average human dose is just 12.5 to  
4       25 milligrams daily.

5               As with all FDA-approved testosterone  
6       therapies, thromboembolic events are noted. The  
7       EMA report of 2018 mentioned the same facts in  
8       their document. At a presentation at the annual  
9       Sexual Medicine Society of North America,  
10      Pastuszak, et al. analyzed 11 prospective studies  
11      and found that hemoglobin and hematocrit were  
12      higher in men on testosterone gel than men on  
13      enclomiphene.

14              In the FDA briefing document, it states that  
15      it's unclear whether increasing testosterone  
16      concentrations equates to clinical effectiveness,  
17      however, the Endocrine Society guidelines define  
18      hypogonadism as a failure to produce a normal  
19      number of sperm, and goes on to mention that the  
20      recommended treatment is testosterone therapy.  
21      More importantly, testosterone therapy should not  
22      be used for men planning on fertility. So not only

1 does enclomiphene raised testosterone levels, it  
2 does not impair sperm production and can be used  
3 for men wishing to preserve their fertility.

4           The FDA also mentioned that parameters on  
5 semen analysis are not tests of fertility. The  
6 American Urological Association and American  
7 Society of Reproductive Medicine states that semen  
8 analyses are to be used for male fertility. The  
9 WHO laboratory manual states the importance of  
10 semen examination, as it helps to assess  
11 reproductive function and the appraisal of  
12 fertility function; so semen parameters are an  
13 important part of the ability to conceive, and  
14 therefore by extension fertility.

15           In 2021, Keihani, et al. conducted a study  
16 to determine what semen parameter thresholds were  
17 associated with an earlier time to conception in  
18 couples undergoing fertility evaluation. Over  
19 6,000 men from subfertile couples were followed for  
20 5 years to capture this conception data.

21 Improvement in semen concentration, progressive  
22 motility, and total sperm count were all associated

1 with a higher conception rate and with an earlier  
2 time to conception, about half the time for other  
3 patients with lower cut-off parameters.

4 The FDA mentions that human chorionic  
5 gonadotropin, or hCG, can be used for secondary  
6 hypogonadism, but this drug has been on allocation  
7 and back order for months, causing patient access  
8 issues. The other treatment option, exogenous  
9 testosterone, appears to have multiple risk factors  
10 that are not seen with enclomiphene, including  
11 supranormal testosterone levels, suppressed  
12 spermatogenesis, and suppressed testicular  
13 function.

14 Most important, patients are seeing  
15 improvement in their signs and symptoms of  
16 hypogonadism with enclomiphene therapy. Comments  
17 pulled from the FDA docket show these  
18 patient-reported outcomes.

19 Our first patient here states that he has  
20 seen incredible beneficial effects in his mental  
21 health, libido, physical well-being, and his  
22 cholesterol and blood pressure levels have also



1 improved since starting treatment. Our second  
2 patient mentions that enclomiphene therapy has  
3 provided him with a marked improvement in his mood  
4 and his energy, and a third patient thinks that he  
5 has had much more energy, will power, and sex drive  
6 since starting enclomiphene.

7 Enclomiphene is part of an already  
8 FDA-approved drug. Exogenous testosterone therapy  
9 impairs sperm production while enclomiphene does  
10 not; and most important, patients' comments on the  
11 docket illustrate the importance in signs and  
12 symptoms of hypogonadism. For the last two years,  
13 Empower Pharmacy has had over 400 providers write  
14 for enclomiphene citrate. Over 19,000  
15 prescriptions and about 727,000 capsules have been  
16 dispensed, and to our knowledge, no providers or  
17 patients have reported adverse events.

18 I will now hand it over to Dr. Masterson of  
19 the University of Miami to speak on clinical  
20 efficacy. Thank you.

21 **Nominator Presentation - Thomas Masterson**

22 DR. MASTERSON: Hi. Good morning. I'm

1 Dr. Thomas Masterson from the University of Miami.  
2 I'm a board-certified urologist and fellowship  
3 trained in male reproductive and sexual medicine.  
4 I do not have a financial relationship with Empower  
5 Pharmacy, however, I do prescribe their medication.

6 Clomiphene citrate is the selective estrogen  
7 receptor modulator and has two stereoisomers. The  
8 cis isomer is an estrogen receptor agonist with a  
9 long half-life, and we believe this is responsible  
10 for many of the undesirable side effects of  
11 clomiphene; whereas the trans isomer called  
12 enclomiphene has a shorter half-life and acts as an  
13 estrogen receptor antagonist, which increases  
14 endogenous LH and FSH production. This is the  
15 clinically useful isomer that has the effects on  
16 testosterone production and spermatogenesis.

17 The 25-milligram dose reaches its serum peak  
18 within 2 to 3 hours, and despite the short  
19 half-life, it leads to an increase in LH and FSH  
20 for nearly 7 days. In phase 1 animal studies  
21 comparing the isomers, enclomiphene had a  
22 significantly greater effect on serum testosterone

1 levels. In a phase 2 human trial of 12 hypogonadal  
2 men comparing the effect of testosterone to  
3 enclomiphene on sperm, exogenous testosterone  
4 decreased sperm count while enclomiphene increased  
5 sperm count.

6           These results were later supported by a  
7 second phase 2 study comparing exogenous  
8 testosterone to enclomiphene in 73 hypogonadal men.  
9 Again, both medications increased serum  
10 testosterone levels, but the enclomiphene  
11 maintained sperm count.

12           Lastly, in a phase 3 randomized-controlled  
13 trial of 265 overweight men comparing testosterone  
14 gel to enclomiphene, both medications increased  
15 serum testosterone levels, however, the  
16 testosterone gel significantly decreased sperm  
17 count; so in summary, enclomiphene increases serum  
18 testosterone while maintaining sperm count.

19 Unfortunately, as with any drug, there are side  
20 effects, and enclomiphene seems to be associated  
21 with abdominal discomfort, headaches, and increased  
22 estrogen.

1           Male factor infertility is common, and there  
2 are very few medications available to assist us.  
3 hCG is a direct analog of LH, and this increases  
4 endogenous testosterone production, however, this  
5 drug is becoming more difficult to obtain and  
6 expensive. We have therefore been using  
7 enclomiphene as a means to increase LH production  
8 since November of 2001. We've been using this in  
9 men with low testosterone, hypogonadal symptoms and  
10 oligospermia, meaning low sperm count, and to date  
11 we have treated around 160 patients.

12           Now, we have not had a chance to formally  
13 study enclomiphene in our clinics, and we were not  
14 really anticipating having this meeting, so we  
15 don't have the complete data on 160 patients, but I  
16 do have some of our clinical data available to  
17 support enclomiphene's effectiveness in increasing  
18 serum testosterone.

19           It also increases intratesticular  
20 testosterone, and this is represented by 17-OHP on  
21 the chart, and please note that there was no  
22 significant change in estrogen in our patient

1 group. We also observed that despite these  
2 increases in serum testosterone, sperm counts and  
3 motility also increased.

4 Now, we want to keep in mind that when  
5 giving exogenous testosterone, which is the  
6 approved treatment for low testosterone, sperm  
7 counts do decrease. When looking at symptom  
8 improvement, there are no AUA recommended validated  
9 surveys for monitoring hypogonadism, so this is  
10 clinical self-report. But clinically we found that  
11 nearly two-thirds of our patients had complete  
12 improvement in symptoms, 10 percent had improvement  
13 in two or less symptoms, and 20 percent had no  
14 improvement in symptoms.

15 Lastly, we observed that there were very few  
16 side effects of enclomiphene, and in fact when  
17 reviewing the charts of our last 69 patients, 22 of  
18 them were actually switched from clomiphene citrate  
19 to enclomiphene citrate due to treatment-related  
20 side effects. So in conclusion, enclomiphene  
21 appears to increase serum testosterone, preserve  
22 semen parameters, and has minimal side effects, and

1 thank you for your time.

2 **Clarifying Questions from the Committee**

3 DR. VAIDA: Thank you, Dr. Masterson.

4 We'll now take clarifying questions for the  
5 nominator presenters. Please use the raise-hand  
6 icon to indicate that you have a question, and  
7 remember to clear the icon after you've asked your  
8 question. When acknowledged, please remember to  
9 state your name for the record before you speak and  
10 direct your question to a specific presenter, if  
11 you can. If you wish for a specific slide to be  
12 displayed, please let us know the slide number, if  
13 possible.

14 Finally, it would be helpful to acknowledge  
15 the end of your question with a thank you, and the  
16 end of your follow-up question with, "That is all  
17 for my questions," so we can move on to the next  
18 panel member.

19 So far, we have one question from  
20 Dr. McElhiney.

21 DR. McELHINEY: Yes. This is for  
22 Dr. Masterson.

1           This enclomiphene, is it going to be a  
2 treatment that's used long term, or is it mainly  
3 used to increase infertility in males --

4           DR. MASTERSON: Thank you. That's a great  
5 question.

6           Dr. McELHINEY: -- short term?

7           DR. MASTERSON: Yes, a great question.

8           It depends on patient goals of care. Many  
9 of the patients that we're seeing come in with both  
10 problems, both low testosterone and have a  
11 fertility issue, and in that situation, this is  
12 where we use enclomiphene. We have another group  
13 of patients of younger hypogonadal men who, even  
14 though they may not be interested in fertility at  
15 this moment, if they have fertility concerns in the  
16 future, we will place them on enclomiphene long  
17 term.

18           DR. McELHINEY: Thank you.

19           DR. VAIDA: Alright.

20           Dr. Lewis?

21           DR. V. LEWIS: Hi. This is Dr. Lewis. I  
22 too am curious about the efficacy of using this

1 medication for enclomiphene for infertility, and  
2 I'm pretty surprised there really aren't any  
3 studies. When you talk about using it long term,  
4 what do you mean? And I guess, is there any  
5 evidence that it might be different than using  
6 clomiphene long term for infertility?

7 DR. MASTERSON: I'll answer that; Tom  
8 Masterson again.

9 For most patients, when we say long term,  
10 we're meaning generally longer than 6 months to  
11 1 year. Again, depending on the indication, if  
12 these are patients who have a fertility concern, it  
13 depends on their fertility plan on the side,  
14 meaning what's happening with the female partner.  
15 For some, it's trying to maintain sperm count at a  
16 level where they can do intrauterine insemination  
17 or IVF; for others, they're attempting naturally.

18 Again, the FDA-approved medication for the  
19 treatment of low testosterone would be to put them  
20 on testosterone. Not presented in this data, but  
21 men on injection therapy, men on gels, up to  
22 60 percent of them become azoospermic on those



1 therapies, so it's not just a decrease; it's  
2 actually making them infertile. So enclomiphene  
3 kind of fits into a niche where it can actually  
4 increase testosterone, improve some of those  
5 hypogonadal symptoms, and at least maintain sperm  
6 counts.

7 DR. V. LEWIS: Okay. Thank you.

8 Maybe for the FDA, just as a follow-up, I  
9 understand and agree that it's kind of a funny  
10 endpoint to say, well, it changes the labs  
11 parameters without some clinical indication.

12 For males then, you're talking about using  
13 this medication, or you want to consider using it  
14 for medication, has FDA discussed with any sponsors  
15 what kind of studies would be needed to show  
16 efficacy for treatment of male infertility when  
17 it's due to secondary hypogonadism with low  
18 testosterone levels, and what would that entail?  
19 How difficult would those studies be?

20 DR. VAIDA: Dr. Lewis, at the current time,  
21 we're just taking questions for the nominators, but  
22 in a few minutes we will have the opportunity to

1 take questions with the FDA also. So if you could  
2 hold that question for the next few minutes,  
3 please?

4 DR. V. LEWIS: Okay. Thank you.

5 DR. VAIDA: Yes. I have a question myself,  
6 first with Dr. Elsaied.

7 Did you comment that there were thousands of  
8 prescriptions that were compounded for  
9 enclomiphene?

10 DR. ELSAIED: Yes, correct. Those were  
11 internal reports, and we've dispensed over 19,000  
12 prescriptions in the last two years.

13 DR. VAIDA: Okay. And that was just from  
14 your pharmacy?

15 DR. ELSAIED: Correct.

16 DR. VAIDA: Alright.

17 For Dr. Masterson, do you use several  
18 compounding pharmacies or you just use one  
19 compounding pharmacy for your study group?

20 DR. MASTERSON: We have different providers  
21 within our institution. Several of us use Empower,  
22 but there are some other local compounding

1 pharmacies we use as well.

2 DR. VAIDA: Okay. Thank you.

3 I don't see any more raised hands, and I  
4 would like to state for the record that there are  
5 no open public hearing speakers for this topic. So  
6 we will now have the opportunity to take any  
7 remaining clarifying questions for all the  
8 enclomiphene citrate presenters.

9 Once again, please use the raise-hand icon  
10 to indicate you have a question, and remember to  
11 put your hand down after you have asked your  
12 question. Please remember to state your name for  
13 the record before you speak and direct your  
14 question to a specific presenter, if you can. If  
15 you wish for a specific slide to be displayed,  
16 please let us know the slide number, if possible.

17 As a gentle reminder, it will be helpful to  
18 acknowledge the end of your question with a thank  
19 you, and the end of your follow-up question with,  
20 "That is all for my questions," so we can move on  
21 to the next panel member.

22 We have a question from Dr. Patel.

1 DR. PATEL: Thank you, Dr. Vaida.

2 Can you hear me ok?

3 DR. VAIDA: Yes.

4 DR. PATEL: My question is for Dr. Masterson  
5 with regard to the data that was presented.

6 Dr. Masterson, you mentioned there were  
7 160 patients, I believe, that were looked at, that  
8 you've created thus far. Since it was a  
9 retrospective analysis, I was wondering how you or  
10 the team decided to select looking at 30 -- I think  
11 it was 30 patients that you looked at to collect  
12 data, and when I looked into the details, it looks  
13 like for the efficacy part, it may have been  
14 roughly 53 or so. Then for the safety, you had  
15 mentioned that 69 patients didn't have any side  
16 effects -- or the target review involved  
17 69 patients.

18 I was just wondering how the patient  
19 selection was determined and which specific charts  
20 to look at vs not. Since it's a retrospective  
21 analysis, there's obvious concern for variability  
22 in how patients that didn't get included, what may

1 have occurred with regard to efficacy or safety.

2 If you could just comment on that, I would  
3 appreciate it. Thank you.

4 DR. MASTERSON: Yes. Thank you for your  
5 question.

6 When we were asked to present, we did not  
7 start prescribing this medication with the intent  
8 of performing any sort of prospective study, so we  
9 basically went through all of the charts of  
10 patients who had prescribing data and saw who had  
11 follow-up information.

12 Our typical practice pattern is once we  
13 start patients on this medication, it takes a  
14 minimum of 3 months to have any effect on sperm  
15 count, so we usually see patients back within the  
16 3-to-6-month mark. Since we started using the  
17 medication, only last November 2001, we just don't  
18 have robust follow-up data to present here today.

19 So just to summarize that, we looked at all  
20 charts and really just presented here whoever had  
21 serum data that we could present, symptom data, and  
22 sperm count data. Thank you.

1 DR. PATEL: Thank you.

2 DR. VAIDA: Dr. Lewis?

3 DR. V. LEWIS: Hi. Thank you.

4 DR. VAIDA: Dr. Lewis?

5 DR. V. LEWIS: Yes. Can you hear me?

6 DR. VAIDA: Yes.

7 DR. V. LEWIS: Thank you. I'll just restate  
8 my question for the FDA.

9 What would it take to show clinical efficacy  
10 of enclomiphene for treating infertile men in this  
11 population; for example, numbers of patients and  
12 what endpoints would you be looking at? Thank you.

13 DR. KAUL: This Dr. Kaul. Can you hear me?

14 (No response.)

15 DR. KAUL: Hello?

16 DR. VAIDA: Yes.

17 DR. KAUL: This is Suresh Kaul. I'm the  
18 team leader for the Division of Urology,  
19 Obstetrics, and Gynecology. I would like to  
20 respond to Dr. Lewis' question, an excellent  
21 question.

22 We have a guidance from 2018, an FDA issued

1 guidance, that Dr. Madeline Wolfert earlier alluded  
2 to. That guidance clearly says changes in semen  
3 parameters are not sufficient alone for  
4 establishing efficacy of these drugs since the  
5 intent of the drug is to improve fertility and  
6 improvement of semen parameters does not ensure  
7 fertility.

8 I would further go and answer Dr. Lewis'  
9 question that this has come up many times, and this  
10 has been discussed with the sponsors. The ultimate  
11 endpoint of this clinical benefit would be clinical  
12 pregnancy, but no company so far has been willing  
13 to go for that study.

14 DR. V. LEWIS: Okay. Thank you. That  
15 answers my question.

16 DR. KAUL: Thank you.

17 DR. VAIDA: Dr. Lewis, do you have any  
18 further questions? Okay.

19 This is Allen Vaida. I just have a question  
20 of the FDA. I thought in your presentation you  
21 said that there were no reported compounded  
22 products from 2017 to 2021, yet Empower Pharmacy

1 said that they've compounded 19,000 prescriptions.

2 Is that just something that you may not have  
3 the correct information on?

4 DR. TAYLOR: This is Ann Taylor from the  
5 Office of Compounding Quality and Compliance. I  
6 think Tracy Rupp would like to respond to that  
7 question.

8 DR. RUPP: Yes. Thanks, Ann.

9 Hi, everyone. This is Tracy Rupp from the  
10 Office of Compounding Quality and Compliance, and  
11 you're correct that in the report, in the  
12 historical use section, we noted that we did not  
13 find any reports of compounded enclomiphene  
14 products.

15 This is from the Outsourcing Facility  
16 Product report, so this is specifically related to  
17 what 503B compounders report in their product  
18 reports, and it's voluntary. It's required  
19 reporting, but we can only report what is reported  
20 to us. In the reports that are submitted every  
21 6 months, we did not receive any reports of  
22 compounded products by outsourcing facilities for



1 enclomiphene.

2 DR. VAIDA: Okay. Thank you.

3 Rebecca McKinnon, do you have a question?

4 DR. MCKINNON: Rebecca McKinnon.

5 Chairman Vaida, Dr. Ganley from OND would  
6 like to be recognized for a comment.

7 DR. VAIDA: Yes. Sure. Go ahead.

8 DR. GANLEY: Hi. Thank you. I just wanted  
9 to follow up on a question that Dr. Lewis asked of  
10 Dr. Masterson with regard to the use of the  
11 FDA-approved drug vs the compounded drug. I don't  
12 think he answered that.

13 Does he use FDA-approved drugs to treat male  
14 infertility, and if not, why not?

15 DR. MASTERSON: Yes. Great question.  
16 Clomiphene citrate is FDA approved, though it is  
17 not FDA approved for the indication of male  
18 fertility. It's an off-label use. The reason we  
19 switched over to enclomiphene, enclomiphene  
20 citrate, was really due to side effects. We've  
21 observed that there were less side effects in the  
22 enclomiphene compared to clomiphene citrate.

1 DR. GANLEY: Thank you. I guess the other  
2 question, is the only route of administration  
3 orally?

4 DR. MASTERSON: Yes, clomiphene/enclomiphene  
5 are both oral drugs.

6 DR. GANLEY: So you're not aware of it being  
7 compounded in an injectable or a topical form?

8 DR. MASTERSON: I'm not aware, and we are  
9 not using.

10 DR. GANLEY: Thank you. I'm done.

11 DR. VAIDA: Okay.

12 Any further questions?

13 DR. CALHOUN: This is Dr. Bill Calhoun from  
14 University of Texas. I have a question for the  
15 agency, a broader question that relates to the  
16 issue of enantiomers.

17 There are two isomers of this compound.  
18 It's my understanding that the general guidance of  
19 the agency is that there are two isomers; that the  
20 active isomer is preferred, and that racemic  
21 mixtures, or mixtures of isomers, are generally --

22 DR. STEVENSON: Hello. I'm sorry to

1 interrupt. This is Takyiah Stevenson.

2 Dr. Calhoun, can you hear me?

3 DR. CALHOUN: Yes.

4 DR. STEVENSON: Yes. I do apologize. I  
5 just want to remind you that you are actually  
6 participating in the glutathione topic. You can  
7 certainly ask clarifying questions during that  
8 topic. We're still in the enclomiphene citrate  
9 topic. Sorry to interrupt.

10 DR. CALHOUN: Okay. Yes, this was just a  
11 more general question, but I understand. Thanks  
12 anyway.

13 DR. STEVENSON: Okay. You're welcome.  
14 Sorry.

15 DR. VAIDA: Dr. Patel, do you have another  
16 question?

17 DR. PATEL: I do. Thank you. This question  
18 is for Dr. Wolfert, so for the FDA.

19 From the data presented, there were two  
20 companies that conducted studies. My question was  
21 whether in both of those trials, where they ended  
22 up essentially demonstrating no clinical benefit,

1 what were the key endpoints that there were looking  
2 at? Was it clinical pregnancy or were they looking  
3 at markers?

4 DR. WOLFERT: Thank you for your question.  
5 In the EMA report, the markers that were discussed  
6 in phase 2 and phase 3 trials were similar to what  
7 I presented in the publications I shared; total  
8 testosterone, LH/FSH, and semen parameters.  
9 Clinical pregnancy was not discussed in the EMA  
10 report, to my knowledge. Thank you.

11 DR. PATEL: Thank you. That is all for my  
12 question.

13 DR. VAIDA: Dr. Lewis, do you have a  
14 follow-up question?

15 DR. V. LEWIS: Yes. This is Dr. Lewis. I  
16 do have another question. I'm not sure to whom I  
17 should direct it. It's probably the non-FDA  
18 presenters.

19 I'm a reproductive endocrinologist, and I've  
20 treated many, many infertile couples, and  
21 clomiphene citrate is extremely widely used  
22 off label. I'm just curious; what is the cost

1 differential between clomiphene citrate, generic  
2 form let's say, and enclomiphene?

3 Also, are there any offshore pharmacies that  
4 you're aware of that produce enclomiphene that are  
5 in use in the United States?

6 DR. TAYLOR: This is Ann Taylor from the  
7 Office of Compounding Quality and Compliance.  
8 Tracy Rupp would like to respond to this, as well  
9 as make a comment regarding the earlier statement.

10 DR. RUPP: Yes. Hi. This is Tracy Rupp  
11 from the Office of Compounding Quality and  
12 Compliance. I'd just like to note that we can't  
13 address cost issues, but we're not aware of  
14 facilities outside the United States producing  
15 compounded products for use in the United States.  
16 I believe that was your question.

17 The other point that I wanted to clarify was  
18 earlier Dr. Vaida asked about the comment in the  
19 evaluation about outsourcing facility product  
20 reporting data and how there were no reported  
21 compounded drug products containing enclomiphene  
22 citrate. So that is true; there were no product

1 reports for outsourcing facilities in that time  
2 period.

3 However, in the evaluation, we also do  
4 discuss that we did find reports of enclomiphene  
5 use on the websites of medical clinics and  
6 compounding pharmacies in the United States, so we  
7 are aware of certain 503A facilities, like  
8 pharmacies and so forth, producing compounded  
9 enclomiphene citrate. Thank you.

10 DR. VAIDA: Okay. One final question due to  
11 time.

12 Dr. McElhiney, did you have --

13 DR. McELHINEY: Yes. This is Linda  
14 McElhiney. They mentioned that hCG was the  
15 commercial approved product for infertility in  
16 place of enclomiphene. Dr. Masterson mentioned  
17 that it's on and off back order a lot. And I know  
18 there was a controversy about compounding hCG.

19 So compounding pharmacies, when hCG is on  
20 back order, are they allowed to compound hCG  
21 injections or would enclomiphene be the only  
22 alternative to that? Thank you.

1 DR. MASTERSON: Hi. This is Dr. Masterson.  
2 I'm not sure if that question was directed at me or  
3 not, but similarly, hCG is also not FDA approved  
4 for the treatment of male fertility. It's another  
5 off-label use of hCG.

6 DR. VAIDA: Any comment from the FDA?

7 DR. TAYLOR: Thank you. This is Ann Taylor.  
8 Gaby Cosel would like to respond to your question.

9 MS. COSEL: Yes. Can everyone hear me?

10 Just regarding the question about hCG, hCG  
11 is a biologic product. Section 503A and 503B,  
12 biological products are not eligible for the  
13 exemption for compounded drugs under Section 503A  
14 and 503B; so just to clarify that those sections  
15 don't provide a pathway for compounding with hCG.  
16 We do have a guidance on the mixing and/or  
17 repackaging of biological products outside the  
18 scope of an approved biologics license application,  
19 which provides certain pathways for manipulating  
20 approved products.

21 DR. VAIDA: Dr. Wolfert?

22 DR. WOLFERT: Yes. Thank you. This is

1 Dr. Wolfert. I just wanted to follow up on a  
2 previous comment and note that hCG was off label.  
3 One of the indications listed in the hCG label is  
4 selected cases of hypogonadotropic hypogonadism, in  
5 parentheses, hypogonadism secondary to a pituitary  
6 deficiency in male. But in terms of fertility,  
7 that's not included in the indications, but the  
8 hypogonadotropic hypogonadism is specified in that  
9 labeling. Thank you.

10 DR. VAIDA: Dr. McKinnon?

11 DR. MCKINNON: We don't have anything.

12 Thank you.

13 DR. VAIDA: Alright.

14 Although we're short on time, I'll just take  
15 one last question from Sandra Walker.

16 MS. FUSCO-WALKER: Yes. Thank you very  
17 much. It's Sandra Fusco-Walker. I just wanted to  
18 clarify one thing about the reporting.

19 The compounding companies who have  
20 registered as outsourcing facilities submit  
21 reports, but the rest of the compounding pharmacies  
22 in the country who have not registered do not



1 submit reports of what they're making; am I  
2 correct?

3 MS. COSEL: Yes, that is correct.

4 MS. FUSCO-WALKER: Thank you.

5 **Committee Discussion and Vote**

6 DR. VAIDA: Alright.

7 The committee will now turn its attention to  
8 address the task at hand, the careful consideration  
9 of the data before the committee, as well as public  
10 comments. We will proceed with the question to the  
11 committee, and I would like to remind public  
12 observers that while this meeting is open for  
13 public observation, public attendees may not  
14 participate except at the specific request of the  
15 panel.

16 Today's question is a voting question.  
17 Dr. Takyiah Stevenson will provide the instructions  
18 for the voting.

19 DR. STEVENSON: Question 1 is a voting  
20 question. Voting members will use the Adobe  
21 Connect platform to submit their vote for this  
22 meeting. After the chairperson has read the voting

1 question into the record and all questions and  
2 discussion regarding the wording of the vote  
3 question are complete, the chairperson will  
4 announce that voting will begin.

5 If you are a voting member, you will be  
6 moved to a breakout room. A new display will  
7 appear where you can submit your vote. There will  
8 be no discussion in the breakout room. You should  
9 select the radio button that is the round circular  
10 button in the window that corresponds to your vote,  
11 yes, no, or abstain. You should not leave the "no  
12 vote" choice selected.

13 Please note that you do not need to submit  
14 or send your vote. Again, you need only to select  
15 the radio button that corresponds to your vote.  
16 You will have the opportunity to change your vote  
17 until the vote is announced as closed.

18 Once all voting members have selected their  
19 vote, I will announce that the vote is closed.  
20 Next, the vote results will be displayed on the  
21 screen. I will read the vote results from the  
22 screen into the record. Next, the chairperson will

1 go down the roster, and each voting member will  
2 state their name and their vote into the record.  
3 You can also state the reason why you voted as you  
4 did, if you want to.

5 Are there any questions about the voting  
6 process before we begin?

7 (No response.)

8 DR. STEVENSON: Alright. Seeing none, I  
9 will hand it back to the chair to read the  
10 question.

11 DR. VAIDA: Thank you.

12 The question before the committee, the 503A  
13 bulk drug substance list, enclomiphene citrate, FDA  
14 is proposing that enclomiphene citrate not be  
15 included on the 503A Bulks List. Should  
16 enclomiphene citrate be placed on the list?

17 Are there any wording questions that the  
18 committee has, questions about the wording?

19 (No response.)

20 DR. VAIDA: Alright.

21 If you vote no, you are recommending FDA not  
22 place the bulk drug substance on the 503A Bulks

1 List. If the substance is not on the list when the  
2 final rule is promulgated, compounders may not use  
3 the drug for compounding under Section 503A unless  
4 it becomes subject to an applicable USP or  
5 NF monograph, or a component of an FDA-approved  
6 drug.

7 If there are no questions or comments  
8 concerning the wording of the question, we will now  
9 begin the voting on the question for enclomiphene  
10 citrate.

11 DR. STEVENSON: We will now move voting  
12 members to the voting breakout room to vote only.  
13 There will be no discussion in the voting breakout  
14 room.

15 (Voting.)

16 DR. STEVENSON: The voting has closed and is  
17 now complete. Once the vote results display, I  
18 will read the vote result into the record.

19 (Pause.)

20 DR. STEVENSON: The voting has closed and is  
21 now complete the vote results are displayed. I  
22 will read the vote totals into the record. The

1 chairperson will go down the list, and each voting  
2 member will state their name and their vote into  
3 the record. You can also state the reason why you  
4 voted as you did, if you want to.

5 There are 4 yeses, 8 noes, zero abstentions.

6 DR. VAIDA: Thank you.

7 We will now go down the list and have  
8 everyone who voted state their name and vote into  
9 the record. You may also provide justification for  
10 your vote, if you wish. We'll start with the first  
11 person on the list.

12 Dr. Gupta?

13 (No response.)

14 DR. VAIDA: Dr. Gupta, is your microphone  
15 on?

16 DR. GUPTA: Hello. This is Dr. Anita Gupta.  
17 I voted yes.

18 DR. VAIDA: Okay.

19 Dr. Serumaga?

20 DR. SERUMAGA: Yes. Hello. This is Brian  
21 Serumaga from USP. I did vote yes on this one, and  
22 the reason is, as was stated in the FDA

1 presentation, USP does have a monograph for  
2 clomiphene citrate, which is the  
3 recipe [indiscernible], mixture, that contains one  
4 of the entities that is being considered today,  
5 which is enclomiphene citrate. They did provide  
6 enough evidence to show that the entity can be  
7 physically and chemically characterized, so for  
8 those reasons, I voted yes.

9 DR. VAIDA: Thank you.

10 Dr. Rebello?

11 DR. REBELLO: This is Elizabeth Rebello, and  
12 I voted no.

13 DR. VAIDA: Dr. Gura?

14 DR. GURA: Hi. Kathleen Gura. I voted no.

15 DR. VAIDA: Dr. Patel?

16 DR. PATEL: Hi. This is Kuldip Patel. I  
17 voted no based on lack of convincing efficacy  
18 evidence for the agreeable, appropriate clinical  
19 endpoints.

20 DR. VAIDA: Dr. McElhiney?

21 DR. McELHINEY: This is Linda McElhiney. I  
22 voted yes.

1 DR. VAIDA: Dr. Bui?

2 DR. BUI: I'm a non-voting member.

3 DR. VAIDA: Dr. Bogner?

4 DR. BOGNER: This is Robin Bogner. I voted  
5 yes.

6 DR. VAIDA: Dr. Dmochowski?

7 DR. DMOCHOWSKI: Roger Dmochowski. I voted  
8 no.

9 DR. VAIDA: Sandra Fusco-Walker?

10 MS. FUSCO-WALKER: This is Sandra  
11 Fusco-Walker. I voted no.

12 DR. VAIDA: Dr. Fensky?

13 DR. FENSKY: This is Tim Fensky. I voted  
14 yes.

15 DR. VAIDA: Dr. Lewis?

16 DR. V. LEWIS: Yes. This is Dr. Lewis. I  
17 voted no. I also think there's not very much  
18 evidence about clinical efficacy, absolutely none,  
19 and I would like to see a better -- or some study  
20 looking at clinical endpoints with the drug also.  
21 Also, in terms of alternative hCG, it may be on  
22 back order, but there's certainly clomiphene

1       citrate. So for those reasons I voted no.

2               DR. VAIDA: Thank you.

3               Allen Vaida. I voted no. I went along with  
4       the FDA's recommendation, and also had some  
5       questions on the thousands of prescriptions that  
6       Empower Pharmacy wrote, although the only good  
7       presentation was, I felt, from Dr. Masterson.

8               With that --

9               DR. STEVENSON: I'm so sorry, Dr. Vaida.  
10       This is Takyiah speaking. May I interrupt real  
11       quick?

12              DR. VAIDA: Yes.

13              DR. STEVENSON: Yes. Hi.

14              Dr. Anita Gupta, I do see on the screen that  
15       you voted no, but into the record you stated yes.  
16       Could you please verify your vote for the record?

17              DR. VAIDA: Oh, I'm sorry. I voted no.

18              DR. STEVENSON: So sorry. Dr. Anita Gupta.

19              DR. VAIDA: Oh.

20              DR. GUPTA: Yes. Dr. Anita Gupta voted no.

21              DR. STEVENSON: Thank you so much.

22              Continue, Dr. Vaida. Thank you.



1 DR. VAIDA: Alright. Thank you.

2 Although we're short on time, still I'd like  
3 to take a short break. It's 11:21, so if we could  
4 reconvene at 11:30, and we'll reconvene at that  
5 time.

6 (Whereupon, at 11:21 a.m., a recess was  
7 taken.)

8 DR. VAIDA: Alright. If we have everyone  
9 back from the break, we will now proceed with the  
10 FDA presentation on glutathione, and we'll hear  
11 from Dr. Emily Kneeream.

12 DR. STEVENSON: Hello, Dr. Vaida. This is  
13 Takyiah Stevenson speaking. I'm so sorry. Before  
14 we begin, we have one panel member to introduce  
15 that is joining us for the glutathione session.

16 Dr. Brian Green, could you please state your  
17 name and introduce yourself for introductions,  
18 please, and affiliation?

19 DR. B. GREEN: Yes. I am Dr. Brian Green  
20 from Hershey Medical Center. I'm sorry. Was there  
21 anything else I was meant to add for my  
22 introduction?

1 DR. STEVENSON: No, that is it. Thank you,  
2 Dr. Green.

3 DR. B. GREEN: Okay. Thanks for having me,  
4 guys.

5 DR. STEVENSON: And I'll hand it back to  
6 you, Dr. Vaida. Thank you.

7 DR. VAIDA: Alright. We can proceed now  
8 with Dr. Kneeream.

9 **FDA Presentation - Emily Kneeream**

10 DR. KNEEREAM: Good morning. My name is  
11 Emily Kneeream. I'm a clinical analyst with the  
12 Pharmacy Compounding Review Team in the Office of  
13 New Drugs, and I will be presenting glutathione. I  
14 would like to recognize the entire evaluation team,  
15 as well as the contributions of many other FDA  
16 colleagues who helped in this effort, and our  
17 special thanks to the Division of Dermatology and  
18 Dentistry and Division of Pulmonary, Allergy, and  
19 Critical Care in OND.

20 Glutathione was nominated for inclusion on  
21 the 503A Bulks List. The proposed dosage forms are  
22 oral; sublingual; topical; ophthalmic; nasal spray;

1 inhalation preparations; rectal; and injection.  
2 Glutathione was evaluated for 24 uses. These are  
3 listed in the slide.

4 This slide lists the criteria we consider  
5 when conducting evaluations for the 503A Bulks  
6 List. Glutathione is an endogenous tripeptide  
7 comprised of the amino acids cysteine, glutamic  
8 acid, and glycine. The bulk drug substance can be  
9 synthesized in well-developed protocols.  
10 Impurities are unlikely to be toxic. It is stable  
11 at room temperature as a solid or in a solid dosage  
12 form. As an aqueous solution, it is stable with  
13 proper formulation techniques, including protection  
14 from oxygen, pH buffering, and controlled storage  
15 temperature.

16 In conclusion, glutathione is well  
17 characterized, likely to be stable with protection  
18 from oxygen and controlled temperature and pH.

19 Glutathione is synthesized from precursor  
20 amino acids in nearly all cells of the human body,  
21 but the liver is the main source. It exists in two  
22 forms, oxidized and reduced. The reduced form is

1 the subject of this nomination. Its main function  
2 is as an antioxidant. It is an essential cofactor  
3 for numerous enzymes to inactivate various  
4 substances. It affects regulation of cellular  
5 differentiation, proliferation, and apoptosis.  
6 Disturbances in glutathione homeostasis may be  
7 associated with human diseases.

8 In rats, oral dosing showed increase in  
9 plasma concentration, peaking at 2 hours and  
10 lasting for 3 hours. Administration of the amino  
11 acid precursors of glutathione did not impact  
12 plasma glutathione levels. Inhibition of  
13 glutathione synthesis resulted in an increase in  
14 plasma levels through absorption of intact  
15 glutathione rather than its constituents. Via  
16 injection, it accumulated in the liver and spleen  
17 in mice and in the liver, spleen, and kidneys in  
18 rats.

19 Acute toxicity studies showed glutathione is  
20 tolerated when given by oral and parenteral routes  
21 at high doses for short periods of time. In a  
22 26-week IV toxicity study in dogs, glutathione was

1 not associated with adverse effects on body weight  
2 or food consumption. No other data were captured  
3 from the study.

4 Genotoxicity data shows that it is not  
5 mutagenic in the absence of metabolic activation.  
6 In hamsters, it inhibited experimentally induced  
7 oral carcinogenesis. Insufficient nonclinical data  
8 exist to evaluate the toxicity profile of  
9 glutathione in reproductive or developmental  
10 toxicity studies.

11 Clinical pharmacokinetics of oral  
12 glutathione identified two studies. In one study  
13 in healthy volunteers, single doses up to 3 grams  
14 found a non-significant increase in plasma,  
15 suggesting negligible systemic availability.

16 A second study was oral glutathione  
17 250 [milligrams], 1000 milligrams, or placebo for  
18 6 months. Glutathione levels were measured at  
19 baseline and after 6 months. Levels in the  
20 1000-milligram group were increased vs placebo in  
21 erythrocytes, plasma, lymphocytes, and buccal  
22 cells; whereas whole blood levels were increased in

1 the 250-milligram group. Levels returned to  
2 baseline following a 1-month washout period.

3 Authors concluded that the extent to which  
4 direct absorption may be responsible for the  
5 present findings is not known. Hydrolysis in the  
6 intestine is considered a primary obstacle for oral  
7 glutathione absorption.

8 Intravenous glutathione given in healthy  
9 volunteers showed a half-life between 10 and  
10 15 minutes, and plasma levels returned to pre-dose  
11 values 30 minutes after dosing.

12 For clinical safety, we looked at FAERS  
13 reports and have broken down findings by route of  
14 administration. For IV route, reported AEs are two  
15 anaphylaxis with time to onset being from  
16 30 minutes to 24 hours. Both patients  
17 discontinued. One was rechallenged and then  
18 experienced anaphylaxis. Another AE is  
19 hepatotoxicity with liver enzymes measuring 22 to  
20 26 times normal. Infusion reactions and  
21 hypersensitivities were also noted. Both inhaled  
22 and oral routes reported hypersensitivity.

1 CAERS reports found 195 cases, and 194 of  
2 such cases involved multiple products, and the  
3 relationship to glutathione is confounded. One  
4 case listed glutathione as the sole ingredient and  
5 reported three skin-related AEs.

6 Clinical studies are also divided by route  
7 of administration. For IV, severe AEs, which  
8 warranted discontinuation, are deranged liver  
9 function tests and anaphylaxis. Other AEs are  
10 infusion site reactions; hair loss; GI  
11 disturbances; sleep difficulties; and dizziness.  
12 There are no studies on IV glutathione safety for  
13 chronic use.

14 For patients using IV glutathione for skin  
15 lightening, the switch from brown to red melanin  
16 production may increase the risk of sun-induced  
17 skin cancers in previously protected individuals.

18 For the oral and buccal route, AEs are  
19 nonspecific gastrointestinal. For the nasal  
20 inhalation route, AEs in the 600-milligram arm of a  
21 study, a patient withdrew due to tachycardia and  
22 cardiomyopathy, AEs resolved when glutathione

1 stopped; labored breathing, sore throat, and  
2 thirst. Oral inhalation route serious AEs include  
3 bronchoconstriction with severe wheezing, facial  
4 palsy, and hemoptysis.

5 Foreign regulatory authorities found the FDA  
6 of the Philippines warns against the use of  
7 IV glutathione for skin lightening and identified  
8 multiple AEs, including Steven Johnson syndrome;  
9 TEN, which may be serious and fatal; thyroid and  
10 kidney dysfunction; and severe abdominal pain.  
11 Thailand authorities also banned the use of  
12 IV glutathione for skin lightening for fear of  
13 severe adverse reactions, including anaphylaxis.

14 In conclusion, oral glutathione is minimally  
15 absorbed and appears to be associated primarily  
16 with local gastrointestinal AEs. IV glutathione  
17 has resulted in hepatotoxicity and life-threatening  
18 anaphylaxis, despite rapid elimination from the  
19 systemic circulation. Inhalation of glutathione  
20 identified significant safety concerns of  
21 bronchoconstriction. FDA has significant safety  
22 concerns, particularly IV and inhalation



1 formulations.

2 I will now discuss glutathione's  
3 effectiveness. FDA considered the available  
4 evidence of the substance's effectiveness or lack  
5 of effectiveness for a particular use, including  
6 reports in peer-reviewed medical literature. We  
7 evaluated 24 uses for glutathione. Please see FDA  
8 glutathione memo for our complete evaluation.  
9 Thirteen of these uses have clinical studies and  
10 will be discussed in the next slides.

11 First is on skin lightening. This refers to  
12 the use of depigmentation agents. Skin-lightening  
13 agents can be important tools in the management of  
14 disorders of hyperpigmentation such as melasma.  
15 The use of skin-lightening agents to lighten one's  
16 natural skin color is a global phenomenon, and a  
17 variety of substances have been used and been  
18 administered via topical, oral, or IV routes.

19 A study of oral buccal glutathione lozenge  
20 used for 8 weeks found a decrease in melanin  
21 indices. Authors recommended that a  
22 placebo-controlled randomized clinical trial with a

1 larger sample size and longer duration be  
2 undertaken.

3 An IV glutathione study in females using a  
4 visual assessment tool found the glutathione group  
5 showed a higher rate of skin lightening. This  
6 improvement was gradually lost after stopping  
7 treatment.

8 A review article on glutathione concluded  
9 there is little convincing evidence for glutathione  
10 as a therapy for hyperpigmentation. Efficacy  
11 remains questionable. The evidence of  
12 IV glutathione as a therapeutic modality for  
13 improving skin tone or pigmentation is minimum and  
14 contradictory. More evidence in the form of  
15 high-quality trials with better study design is  
16 vital.

17 In conclusion, a small IV glutathione  
18 clinical study appears to suggest it lightens the  
19 skin, but the effects seem to dissipate after  
20 discontinuation. Other studies failed to show a  
21 skin-lightening effect with glutathione or were  
22 inadequately designed. There are insufficient data

1 to support the effectiveness of oral glutathione  
2 for skin lightening. In addition, no data  
3 indicating any effect that glutathione may have to  
4 lighten the skin provides clinical benefit to  
5 address a disease or condition such as managing  
6 disorders of hyperpigmentation.

7           Next is on cystic fibrosis. One Cochrane  
8 review identified one trial comparing nebulized  
9 glutathione to saline, and found no evidence to  
10 recommend in CF, and that further research is  
11 required on improving outcomes. A second Cochrane  
12 review identified three studies. One was in oral  
13 glutathione, which found glutathione had positive  
14 effect on nutritional status and improvement in  
15 forced expiratory volume after 6 months treatment,  
16 however, imbalance of severe patients and a small  
17 sample size are potential biases.

18           In one study on inhaled glutathione, 3 of  
19 4 endpoints were not different, however, peak flow  
20 improved in the glutathione group. Limitations of  
21 this study included unknown optimal dose and a  
22 small sample size. Another study on inhaled

1 glutathione, primary efficacy endpoints were not  
2 different between groups. A large number  
3 prematurely withdrew, 28 percent from the  
4 glutathione group and 42 percent from placebo.

5 More on cystic fibrosis; a trial of inhaled  
6 glutathione vs placebo for 12 months did not  
7 achieve measured outcomes in FEV1. A study of oral  
8 glutathione vs placebo found no differences between  
9 the groups in 6 months. The Cystic Fibrosis  
10 Foundation and Pulmonary Clinical Practice  
11 Guidelines Committee published a guideline on  
12 chronic medications for maintenance of lung health,  
13 which stated, "Evidence is insufficient to  
14 recommend for or against the chronic use of inhaled  
15 glutathione to improve lung function and quality of  
16 life."

17 In conclusion, the beneficial effect of  
18 glutathione is difficult to assess in patients with  
19 chronic conditions without a very large population  
20 sample and a long-term study period. There is  
21 insufficient information to support its  
22 effectiveness for the treatment of CF.

1           Next, asthma will be discussed. A 3-arm  
2 crossover study was identified in 12 patients with  
3 asthma, and they received a single dose of inhaled  
4 glutathione, SCG, or placebo. This was followed by  
5 a fog challenge. After fog challenge, the placebo  
6 group had a decreased FEV1 of 20 percent, and  
7 glutathione and SCG groups both decreased around  
8 6 percent.

9           In conclusion, one single-dose small study  
10 in 12 patients provided insufficient information  
11 about population, exposure, or risk to support its  
12 use. Additional information provided on the effect  
13 that glutathione may have on the structure or  
14 function of the body does not provide evidence of  
15 any clinical benefit on the use of glutathione in  
16 asthma.

17           Next, I'll prevent oxidative stress, which  
18 is defined as a condition when the sum of free  
19 radicals in a cell exceeds the antioxidant capacity  
20 of the cell. A trial in healthy volunteers  
21 comparing oral glutathione to placebo found no  
22 change in the measures of oxidative stress. In a

1 study to evaluate prevention of contrast-induced  
2 nephropathy, or CIN, authors concluded glutathione  
3 may be a potential strategy against CIN, however,  
4 the most reliable markers of kidney damage were not  
5 evaluated.

6 In conclusion, scientific publications were  
7 not found that define a population, dose, or risk  
8 associated with glutathione for oxidative stress.  
9 Available data do not support the effectiveness of  
10 glutathione for oxidative stress.

11 Next is on reduction of side effects of  
12 chemotherapy. A trial to evaluate IV glutathione  
13 vs placebo in 185 patients undergoing chemo  
14 treatment did not reveal any evidence of benefit in  
15 any subgroup. Another study to evaluate  
16 IV glutathione vs placebo on prevention of  
17 neuropathy showed a lower incidence in the  
18 glutathione arm; and five small studies of various  
19 cancers with different chemotherapies, each lacking  
20 a control group that showed potential benefit in  
21 prevention or reduction.

22 In a study to evaluate the effect of

1 glutathione vs saline in colorectal cancer,  
2 although the glutathione group showed a reduction  
3 of neurotoxicity, they also showed a significantly  
4 lower level of the chemo agent. This is concerning  
5 as it may affect chemo's efficacy.

6 In summary, results of the studies for  
7 reduction of side effects of chemotherapy are  
8 mixed. Some show potential benefit, but they are  
9 small studies and lack a control arm. The largest  
10 placebo-controlled study showed no benefit of  
11 glutathione.

12 Regarding chemotherapy-induced peripheral  
13 neuropathy, or CIPN, the American Society of  
14 Clinical Oncology's Clinical Practice Guideline  
15 opines, "Due to a lack of high-quality, consistent  
16 evidence, no established agents are recommended for  
17 the prevention of CIPN," stating, "Specific agents,  
18 including glutathione, should not be offered for  
19 prevention of CIPN." The American Cancer Society  
20 states, "Study results are mixed and more research  
21 is needed."

22 In conclusion, available data are

1 insufficient to support the effectiveness of  
2 glutathione for reduction of side effects of  
3 chemotherapy. FDA concurs with health professional  
4 organizations that there is a lack of high-quality  
5 and consistent evidence to support the use of  
6 glutathione to prevent CIPN, and more research is  
7 needed.

8 Moving on to prevention of radiation injury,  
9 a study to decrease skin reactions caused by  
10 radiation in women undergoing radiation for breast  
11 cancer treatment received topical RayGel, which  
12 included glutathione or placebo. Skin reaction was  
13 lower in the glutathione group, but per authors,  
14 the substances that are absorbed could get into  
15 cancer cells and provide them with protection  
16 during radiation. This defeats the purpose of  
17 radiotherapy. Available data do not support the  
18 effectiveness to prevent radiation injury.

19 Next, I will discuss autism spectrum  
20 disorder or ASD. JHU CERSI identified one study in  
21 which children with autism spectrum disorder were  
22 randomized to receive either transdermal or oral



1 glutathione, however, the publication did not  
2 report efficacy outcomes. FDA did not identify any  
3 data to support the effectiveness in the treatment  
4 of ASD.

5           Next is on Parkinson's disease. A small  
6 study in 9 patients receiving IV glutathione showed  
7 decline of disability. Another IV glutathione vs  
8 placebo study of patients using the Unified  
9 Parkinson's Disease Rating Scale and motor skills  
10 produced no difference between the groups. A 3-arm  
11 intranasal glutathione in 2 doses or placebo study  
12 in patients resulted in mild clinical improvement  
13 in symptoms in both glutathione groups, but a  
14 follow-on phase 2B study receiving intranasal  
15 glutathione vs placebo resulted in neither  
16 treatment group being superior.

17           In conclusion, available data do not support  
18 the effectiveness for Parkinson's. Additional  
19 information on glutathione's use to affect the  
20 structure or function of the body does not provide  
21 evidence of clinical benefit.

22           HIV will now be discussed. A small study of

1 aerosolized glutathione did not show clinical  
2 efficacy. Another study of HIV infected  
3 individuals were given placebo or glutathione.  
4 Results for the glutathione group were an increase  
5 in some interleukin levels. A study noted that  
6 there is a significant decrease of glutathione  
7 levels in blood cells of HIV patients. Research  
8 has not yet shown glutathione is an effective  
9 treatment for HIV infection. While glutathione  
10 levels may be decreased in patients with HIV, no  
11 scientific literature was located that support its  
12 clinical efficacy in these patients.

13           Next is on otitis media. One trial of nasal  
14 aerosolized glutathione vs placebo on chronic  
15 otitis media in 60 children found that a 1-month  
16 follow-up noted improvement in two-thirds of the  
17 patients in the glutathione group. In conclusion,  
18 the minimum data indicating effectiveness for some  
19 study participants is insufficient to support  
20 effectiveness in treating otitis media.

21           Next is peripheral obstructive arterial  
22 disease. A trial of IV glutathione vs placebo on

1 walking-induced leg muscle pain relieved by rest  
2 showed the glutathione group had an increase in  
3 measuring blood flow in the leg after treadmill  
4 testing compared to rest measurements. In  
5 conclusion, the minimum data indicating  
6 effectiveness for some study participants is  
7 insufficient to support effectiveness in treating  
8 peripheral obstructive arterial disease.

9 Moving on to anemia, a small study of  
10 IV glutathione did not modify erythrocytes,  
11 platelets, or hemoglobin. Another uncontrolled  
12 study in dialysis patients saw improvement in red  
13 blood cells, hemoglobin, and reticular sites after  
14 IV glutathione was used to treat anemia.

15 A study of IV glutathione on the anemic  
16 status in patients with chronic renal failure  
17 showing anemia on hemodialysis received glutathione  
18 or placebo for 120 days. The glutathione group  
19 showed an increase in both hematocrit and  
20 hemoglobin on day 120 and a decline on days 150 and  
21 180.

22 In conclusion, the minimal data indicating

1 effectiveness for some study participants is  
2 insufficient to support effectiveness in treating  
3 anemia.

4           Lastly is septic shock. Two 3-arm studies  
5 administering IV glutathione in patients with  
6 septic shock showed peroxidative stress and  
7 indirect markers of protection against oxygen-free  
8 radicals were improved. However, for both studies,  
9 it is unclear whether measured laboratory endpoints  
10 were appropriate to adequately determine  
11 glutathione's effect to change disease course.  
12 Thus, we conclude the minimum data indicating  
13 effectiveness for some study participants are  
14 insufficient to support its effectiveness.

15           For the remaining 11 of the 24 uses, please  
16 see FDA's memo for our evaluation of the available  
17 evidence of effectiveness, or lack of  
18 effectiveness, of drug products compounded with  
19 glutathione. As described in the memo, there is  
20 either no available information or insufficient  
21 evidence of effectiveness of glutathione in  
22 association with these nominated uses.

1           Here's what we found on the historical use  
2 of glutathione in compounding. JHU CERSI evaluated  
3 the use of glutathione in ASD. They found less  
4 than one percent of parents use glutathione  
5 injections for a child with ASD. Use of  
6 glutathione was rare and endorsed in less than  
7 2 percent of responses in a sample of parents with  
8 children with autism.

9           Use of glutathione was less than 1 percent  
10 among children with and without autism in a sample  
11 of Medicaid claims from 2010 to 2014, and in phone  
12 interviews with some physicians and researchers  
13 little was known about glutathione, as it is rarely  
14 prescribed.

15           According to outsourcing facility reports,  
16 several facilities prepared single-ingredient drug  
17 products in a variety of dosage forms containing  
18 glutathione. They also reported preparing  
19 injection products containing glutathione and other  
20 drugs.

21           There have been published references to  
22 glutathione compounding since 2010, and the

1 International Journal of Pharmaceutical Compounding  
2 has published compounding formulations for several  
3 routes.

4           Glutathione is listed in the Japanese and  
5 European pharmacopoeias. Online promotion for  
6 compounding pharmacies and treatment clinics in the  
7 U.S. were found to promote use of glutathione in a  
8 wide variety of conditions and diseases. Use of  
9 IV glutathione for skin lightening is prevalent at  
10 medical spas across the country, offering  
11 glutathione injection and infusion skin-lightening  
12 treatments. In 2019, FDA issued a compounding risk  
13 alert for glutathione powder due to potentially  
14 high levels of endotoxins in the bulk drug  
15 substance and reported adverse events.

16           In conclusion, glutathione is promoted in  
17 the U.S. to treat a wide variety of conditions in  
18 various dosage forms. It is used in many regions  
19 around the world, and certain authorities have  
20 issued warnings against IV glutathione. It is  
21 rarely used to treat ASD.

22           Now, for our evaluation summary, glutathione

1 is well characterized and likely to be stable when  
2 compounded as solid or liquid products with proper  
3 formulation and storage conditions. Serious safety  
4 issues with glutathione use include anaphylaxis,  
5 hypersensitivities, hepatotoxicities, severe  
6 wheezing, and breathlessness. Glutathione  
7 injection and inhalation, in particular, raise  
8 safety concerns.

9           There is either no available information or  
10 insufficient evidence of effectiveness of  
11 glutathione with any of the proposed uses.  
12 Bioavailability of oral dosage form is minimal, and  
13 systemic exposure from injection formulation is  
14 associated with rapid metabolism. Approved drugs  
15 are available to treat several of the conditions  
16 that the glutathione is proposed to treat, many of  
17 which are serious or life-threatening, and  
18 available literature indicates that glutathione has  
19 been used since at least 1965, and compounding can  
20 be traced back to at least 2010.

21           After considering the information currently  
22 available, a balancing of the criteria weighs

1 against glutathione being added to the 503A Bulks  
2 List. Thank you very much. This concludes my  
3 presentation.

4 **Clarifying Questions from the Committee**

5 DR. VAIDA: Thank you.

6 We will now take clarifying questions for  
7 the FDA presenter. Please use the raise-hand icon  
8 to indicate that you have a question, and remember  
9 to clear the icon after you have asked your  
10 question. When acknowledged, please remember to  
11 state your name for the record before you speak and  
12 direct your question to a specific presenter, if  
13 you can. If you wish for a specific slide to be  
14 displayed, please let us know the slide number, if  
15 possible.

16 Finally, it will be helpful to acknowledge  
17 the end of your question with a thank you, and any  
18 follow-up questions with, "That is all for my  
19 questions," so we can move on to the next panel  
20 member

21 The first question, Dr. Margolis?

22 DR. MARGOLIS: Yes. Hi. My name is David



1 Margolis. The question I had, I couldn't find any  
2 information in your presentation, nor in the  
3 package that we received, about whether or not it's  
4 ever been compounded topically for use in  
5 dermatologic conditions like post-inflammatory  
6 hyperpigmentation.

7 DR. KNEERAM: Thank you for your question.

8 Can one of my colleagues in the OCQC  
9 potentially have this information? I'm not sure if  
10 that information is available to us.

11 DR. RUPP: Hi. This is Tracy Rupp with the  
12 Office of Compounding Quality and Compliance. We  
13 did find evidence of a compounding formulation  
14 published in the International Journal of  
15 Pharmaceutical Compounding for a transdermal cream.  
16 We also found information online on various  
17 websites and internet searches for transdermal  
18 products and topical products.

19 DR. MARGOLIS: Thank you.

20 Was there any information on successful  
21 treatment? I would assume it would be used for  
22 post-inflammatory hyperpigmentation in some of

1 those cases.

2 DR. RUPP: Thank you. I'll turn that  
3 question back regarding whether it's effective, and  
4 I'll turn that back to Emily.

5 DR. KNEEREAM: Thank you for your question.  
6 I'm not sure if Dr. Lewis in our Division of  
7 Dermatology would like to take this one, or I can  
8 refer back to our slides that we reviewed if you'd  
9 like.

10 DR. MARGOLIS: I didn't see anything in your  
11 slides. Was it there and I missed it? I  
12 apologize.

13 DR. F. LEWIS: This is Dr. Felisa Lewis in  
14 the Division of Dermatology and Dentistry. To my  
15 knowledge, there is no literature that exists about  
16 the efficacy of topical glutathione.

17 DR. MARGOLIS: Thank you.

18 DR. VAIDA: Thank you.

19 Dr. Calhoun?

20 DR. CALHOUN: Thank you. This is Bill  
21 Calhoun. I have a question regarding the adverse  
22 events, particularly the adverse events related to

1 the inhalational formulations.

2 The question turns on, really, what the  
3 population was in which those AEs were reported.  
4 You talked about bronchospasm, cough, and  
5 hemoptysis. So those would be common events to  
6 occur following inhalation of anything, even  
7 saline, in patients who have cystic fibrosis, or  
8 asthma, or COPD, et cetera, et cetera.

9 So the question for you, Dr. Kneeream, is  
10 whether it's the agency's position that it is the  
11 glutathione molecule per se that's responsible for  
12 bronchoconstriction, cough, and hemoptysis?

13 Thanks.

14 DR. KNEEREAM: Thank you for your question.  
15 Let me go back to that slide for us.

16 I'm sorry. My slides aren't projected. Is  
17 there a way we can switch back to my slide deck?

18 DR. CALHOUN: It had been summarized on  
19 slide 39, I believe.

20 DR. KNEEREAM: We have them here, and we  
21 also talk about them earlier on. Let me find those  
22 slides for us.

1 (Pause.)

2 DR. KNEEREAM: This is our slide that we  
3 were referring to. In our clinical safety  
4 evaluation, we did identify four studies with  
5 safety assessments using the inhalation routes in a  
6 variety of patients.

7 DR. CALHOUN: So the question is actually  
8 pretty simple. Is it the position of the agency  
9 that the bronchoconstriction, severe wheezing,  
10 breathlessness, and hemoptysis are specifically  
11 causally related to the glutathione molecule, or  
12 are they a consequence of the population that was  
13 studied that might have had wheezing, cough,  
14 bronchospasm, and hemoptysis as part and parcel of  
15 the disease that was under study? Thanks.

16 DR. KNEEREAM: I appreciate your question.  
17 Unfortunately, we don't have the information to  
18 identify between patient concerns or drug-related  
19 concerns. It's just information that was provided  
20 to us in the literature. I don't think we can  
21 determine that. I don't know if Dr. Ganley would  
22 like to add any more information.

1 DR. GANLEY: Yes. Hi. I think, as you are  
2 well aware, it's very difficult to assess  
3 causality, even for approved drugs and when we're  
4 receiving adverse events. So I think in this  
5 context we're reporting this out as potentially  
6 related to it. It's just not a lot of data in the  
7 literature, and there's not a lot of exposure data  
8 where we could make an adequate assessment of that.

9 DR. CALHOUN: Alright. Thank you very much.  
10 That ends my question.

11 DR. VAIDA: Alright.

12 Dr. Evans?

13 DR. EVANS: Hi. This is Scott Evans. I  
14 have a question for the agency regarding our  
15 assessments of efficacy.

16 It's my understanding that, historically,  
17 the FDA has not emphasized changes in pulmonary  
18 function testing values alone as critical readouts  
19 in lung diseases, but that position seems to have  
20 moderated in recent years with the approval of  
21 certain antifibrotic agents based almost  
22 exclusively on effects on FVC. So when we look at

1 effects of glutathione, or the studies of  
2 glutathione, in cystic fibrosis, the agency's  
3 presentation indicates that there may be some  
4 effects, at least short-term, on FEV1 and maybe on  
5 peak flow as well.

6 So my question is, does the agency have any  
7 guidance regarding how to weigh pulmonary function  
8 testing and effects in the absence of clear  
9 indications of functional or survival changes?  
10 Thank you.

11 DR. KNEEREAM: Thank you very much for your  
12 question. I'm not sure if Dr. Lan from pulmonary  
13 would like to address this.

14 DR. LAN: Hi. This is Jennifer Lan from the  
15 Division of Pulmonology, Allergy, and Critical  
16 Care.

17 Can you repeat the last part of your  
18 question, sir? Thank you.

19 DR. EVANS: Certainly. The last part of my  
20 question was, when considering efficacy of this  
21 agent, does the agency offer any guidance on how  
22 to weigh pulmonary function values alone in the

1 absence of any evidence of functional or survival  
2 advantages?

3 DR. LAN: I --

4 DR. PATERNITI: Hi. This is Miya  
5 Paterniti -- oh, go ahead, sorry -- from the  
6 Division of Pulmonology, Allergy and Critical Care.  
7 Generally speaking, I think you touch on an  
8 important point, which is that we do consider lung  
9 function as part of an overall effectiveness  
10 assessment.

11 So on its own, especially given the issues  
12 with the trial design and other bias that may have  
13 occurred, we weigh the totality of all of those  
14 considerations in terms of how we weigh pulmonary  
15 function alone. There have been situations where  
16 we have approved drug based on pulmonary function  
17 alone, but this is indication-specific and, again,  
18 is considered within the totality of the  
19 effectiveness information.

20 DR. EVANS: Okay. Thank you.

21 DR. VAIDA: Dr. Bui?

22 (No response.)

1 DR. VAIDA: Dr. Bui, do you have a question?

2 DR. BUI: Yes. This is Dr. Bui here, and a  
3 clarification for the agency.

4 You mentioned that in slide 16, the  
5 Philippines and Thai health authorities had some  
6 concern about IV glutathione, and in slide 38, you  
7 mentioned that certain authorities have issued a  
8 warning against IV. I'm just wondering, besides  
9 those two health authorities, what other health  
10 authorities have concern about IV glutathione, and  
11 if they have specific concerns about certain  
12 conditions.

13 DR. KNEEREAM: Thank you for your question.

14 DR. RUPP: Hi. This is --

15 DR. KNEEREAM: Oh, go ahead. Sorry, Tracy.

16 DR. RUPP: Thank you, Emily. I can take  
17 this.

18 This is Tracy Rupp with the Office of  
19 Compounding Quality and Compliance. Regarding your  
20 question about health authorities in other  
21 countries, we're also aware that authorities in the  
22 Philippines, Asawanonda had issued warnings against



1 the use of IV glutathione for skin lightening,  
2 citing lack of safety and efficacy data, and some  
3 concerns regarding side effects.

4           There's a link in the evaluation with more  
5 information about those warnings that have been  
6 issued. Then, Emily also mentioned the compounding  
7 risk alerts that FDA issued for -- it was related  
8 to the use of high levels of endotoxins related to  
9 the use of glutathione powder that contained  
10 potentially high levels of endotoxins leading to  
11 AEs. Then you already mentioned the Thailand issue  
12 with banning IV glutathione because of adverse  
13 reactions, including anaphylaxis. Those are those  
14 are some of the ones that we are aware of  
15 currently.

16           DR. BUI: So nothing from Europe or Japan  
17 health authorities having concern about  
18 IV glutathione?

19           DR. RUPP: I don't think we're aware of  
20 information from Japan at this point, but if any of  
21 my colleagues would like to add, feel free to do  
22 so.

1 (No response.)

2 DR. RUPP: Did we answer your question or  
3 did you have any additional questions?

4 DR. BUI: I was just waiting for your  
5 follow-up. I'm not sure you have an answer  
6 regarding Japan, but it sounds like -- or Europe.  
7 It sounds like you don't have an answer for that.

8 DR. RUPP: If there are any warnings?

9 DR. BUI: Yes, in Japan or Europe.

10 DR. RUPP: I'm not aware of warnings in the  
11 EU or in Japan. If any of my colleagues would like  
12 to add any additional information about anything  
13 that they're aware of, feel free to do so. But I'm  
14 hearing that they're not aware of other warnings in  
15 EU or Japan as well.

16 DR. BUI: Okay. Thank you. I have no  
17 follow-up questions.

18 DR. VAIDA: Thank you.

19 Dr. Margolis, did you have a follow-up  
20 question?

21 DR. MARGOLIS: I did not, and I realize I  
22 didn't take my hand down. I apologize.

1 DR. VAIDA: Okay.

2 Ann Taylor from the FDA, did you have a  
3 comment or question?

4 DR. TAYLOR: Yes, sir. Dr. Ganley would  
5 like to be recognized for a comment.

6 DR. GANLEY: Yes. This is Charley Ganley  
7 from FDA. Just in reference to the previous  
8 questions on cystic fibrosis patients, I think one  
9 of the reasons that we had a discussion today for  
10 INDs is not just for this ingredient but for some  
11 of the other ingredients as to whether certain  
12 populations who have serious diseases should be  
13 evaluated under INDs as opposed to putting  
14 glutathione on a 503A list and permitting the  
15 treatment of patients with a variety of diseases,  
16 as evidenced from the vast list that we reviewed in  
17 the list that's available online to which it's  
18 being marketed, and whether some of these patients  
19 should be evaluated under INDs, either under  
20 expanded access or under a regular IND, because of  
21 the lack or paucity of data that supports its  
22 effectiveness. That's all I had to say. Thanks.

1 DR. VAIDA: Thank you.

2 We will now proceed with the nominator  
3 presentation. We have one presentation from  
4 Dr. A.J. Day, who's speaking on behalf of the  
5 Professional Compounding Centers of America and  
6 National Community Pharmacists Association.

7 Dr. Day?

8 **Nominator Presentation - A.J. Day**

9 DR. DAY: Good day, ladies and gentlemen.  
10 Thank you for the privilege of speaking to you  
11 today. We have a lot of material to discuss and  
12 very limited time, so let's jump right into it. My  
13 name is A.J. Day, vice president of Clinical  
14 Services with PCCA. My presentation today is on  
15 behalf of PCCA, Professional Compounding Centers of  
16 America, and NCPA, the National Community  
17 Pharmacists Association.

18 Page 4 of FDA's evaluation shows that the  
19 agency does not have concerns over the stability of  
20 compounded glutathione in solid, semi-solid, or  
21 liquid dosage forms, including injections. From a  
22 formulations perspective, the stability evaluation

1 would apply to sterile solutions, which includes  
2 inhalations.

3 Page 5 identifies likely impurities from the  
4 manufacturing process and states plainly that, "The  
5 impurities mentioned above are unlikely to be  
6 present at a highly toxic level." No concerns were  
7 raised about the particle size or polymorphisms.

8 Moving to the safety evaluation, glutathione  
9 is an endogenous peptide which is well understood.  
10 FDA's evaluation states, "Available acute toxicity  
11 studies in animals show that high levels of  
12 glutathione are tolerated. Glutathione is not  
13 mutagenic in the absence of metabolic activation."

14 Page 24 of FDA's analysis states that,  
15 "IV glutathione has resulted in hepatotoxicity and  
16 life-threatening anaphylaxis." In fact,  
17 hepatotoxicity is only cited by FDA in the  
18 literature in a single case study, which was a  
19 letter to the editor and does not show causality  
20 for glutathione. Anaphylaxis is not cited in any  
21 clinical trials.

22 The letter to the editor in the Japanese

1 case study states, quote, "He was anxious  
2 concerning disease progression and consulted  
3 another private clinic that he found on an internet  
4 service, from which he received intravenous GSH,  
5 1200 milligram daily injection per week."

6 No information is provided about the  
7 quality, stability, and source of the glutathione  
8 use. The patient was on three other Parkinson's  
9 medications and nine additional concomitant  
10 medications. DLST was equivocally positive for  
11 glutathione, meaning it is uncertain. FDA  
12 specifically states, "False positives and false  
13 negatives results may occur from this test."

14 The first report of anaphylaxis is in a  
15 latex-sensitive patient. No details are provided  
16 as to the patient's exposure to latex or latex  
17 derivatives. The patient also experienced  
18 anaphylaxis to ceftriaxone a day later. The source  
19 and dose of glutathione are unknown. Based on the  
20 limited information available, we cannot conclude  
21 that glutathione was the cause of the issues this  
22 patient experienced.

1           The second report of anaphylaxis that FDA  
2 sites is a cancer patient in China who developed  
3 pharyngeal edema, cyanosis, and airway whistling  
4 during his first infusion of glutathione. The  
5 patient was started on oral capecitabine the same  
6 day. Timing related to anaphylaxis event is  
7 unclear. This patient's other health conditions  
8 and medications are not discussed. Known adverse  
9 events for oral capecitabine include dyspnea,  
10 pharyngeal disorder, and respiratory distress.  
11 Again, based on the limited information, we cannot  
12 conclude that glutathione was the cause of the  
13 issues this patient experienced.

14           In that same paragraph on page 24 of their  
15 evaluation, FDA points to the Marrades study from  
16 1997, planting significant safety concern for  
17 inhaled glutathione for patients with asthma. This  
18 trial enrolled 8 patients. The study was conducted  
19 in Barcelona, Spain, and the glutathione was  
20 provided by a physician's office in New York in  
21 vials. No identity or potency testing was  
22 conducted on the glutathione use. pH was checked

1 and reported to be 3.0.

2           The authors provide plausible explanations  
3 for the bronchoconstriction their patients  
4 experienced. As reduced glutathione is oxidized,  
5 it releases sulfites. Several studies have shown  
6 that patients with asthma are, quote, "exquisitely  
7 sensitive to the bronchomotor effects of sulfites,  
8 concentrations below 1 part per million. The  
9 finding that all patients tested showed a  
10 significant bronchoconstrictive response to  
11 metabisulfite challenge, correlated inversely with  
12 the threshold of responsiveness to GSH, lends  
13 further support to the mechanism of  
14 bronchoconstriction induced by sulfite formation."

15           As previously stated, the glutathione used  
16 in this trial was shipped from a physician's  
17 office, and no information is known about the  
18 original source or how it was prepared and stored.  
19 The pH of 3.0 would lead to increased oxidation of  
20 the formulation, accelerating the production of  
21 sulfites, which may increase the issues observed in  
22 these patients.



1           As FDA's own stability evaluation states,  
2       "pH protection from oxygen and proper formulation  
3       techniques are important for the stability of this  
4       compound." We do not know the details of  
5       formulation used, though it is highly likely that  
6       the pH of their formulation led to an increase in  
7       oxidized glutathione, which creates disulfides, and  
8       asthma patients are known to be sensitive to these  
9       molecules. FDA's stability evaluation identified a  
10      pH of 6.4 in refrigerated temperature, yielding a  
11      shelf life of 112 days for reduced glutathione.

12           Other clinicians have evaluated this same  
13      data from this Marrades study, which was, again,  
14      limited to 8 patients, and have come to much less  
15      alarming conclusions than FDA. While acknowledging  
16      that bronchoconstriction in asthmatic patients is  
17      worrisome and potentially life-threatening,  
18      Prousky's 2008 review article -- which evaluated  
19      11 studies which met the inclusion criteria,  
20      including 159 patients -- states that, quote, "GSH  
21      inhalation is very safe."

22           Specifically about the Marrades study, he

1 states, quote, "If proper precaution such as  
2 sulfite testing are done prior to testing, this  
3 serious side effect should be avoidable."

4 I would add that the pH of the formulation  
5 should be considered along with the qualitative  
6 attributes of starting material and appropriate  
7 end-product testing, such as sterility and  
8 endotoxins.

9 Additional data from Borok and colleagues  
10 was not evaluated by FDA, despite being provided in  
11 other nominating materials. Twenty-nine patients  
12 were enrolled, and researchers stated, quote,  
13 "Detailed safety evaluations were done throughout  
14 the study," end quote. Authors conclude that  
15 aerosol therapy of IPF with glutathione is safe.  
16 Unfortunately, more details about the specific  
17 safety measures are not available. This was  
18 published as a, quote, "short report."

19 In the review of glutathione for HIV, FDA  
20 evaluated this study by Holroyd and colleagues.  
21 This study does provide details for the safety  
22 evaluation of inhaled aerosolized glutathione.

1 Quote, "To evaluate possible toxicity of the  
2 glutathione aerosol, symptoms, physical  
3 examination, routine blood studies, chest  
4 radiographs, electrocardiogram, renal function,  
5 arterial blood gases, and test of pulmonary  
6 function were followed carefully throughout the  
7 study. In addition, visual examination of the  
8 respiratory mucosa and analysis of differential  
9 cell count in bronchoalveolar lavage fluids were  
10 performed before the first and after the last  
11 aerosol doses."

12 They obtained purified glutathione powder  
13 and addressed potency, sterility, and pyrogens.  
14 The authors state that, quote, "No symptoms  
15 referable to the aerosol administration of  
16 glutathione were noted, and the physical  
17 examination and all clinical measurements remain  
18 stable following treatment with glutathione."

19 Most recently, a meta-analysis by Wang and  
20 colleagues was published in 2021. While focused on  
21 Parkinson's disease, the review of 450 patients  
22 through 7 randomized-controlled trials concludes

1 that, quote, "The pooled results of these studies  
2 revealed that the therapeutic dose of GSH is safe.  
3 Further patient studies also indicate that when GSH  
4 was repeatedly administered at doses of up to  
5 5 grams per day, both orally or IV, no toxicity was  
6 observed."

7 We have ample evidence to show that inhaled,  
8 injected, and oral glutathione is safe. Let us now  
9 shift our focus to the efficacy evaluation for  
10 glutathione and cystic fibrosis.

11 FDA evaluated the study by Clark Bishop and  
12 colleagues from 2005. This is a randomized,  
13 double-blind, placebo-controlled, parallel-designed  
14 clinical trial. A quick note, that I contacted  
15 Dr. Bishop to discuss his research and invited him  
16 to speak at this meeting. He was out of the  
17 country for a few days. Other researchers were  
18 also contacted, and all were unable to clear their  
19 schedules on such short notice.

20 If FDA would give us more than 5 business  
21 days to review the briefing packet before speaker  
22 names are due, they may receive more valuable

1 stakeholder engagement. This is a consistent issue  
2 with these PCAC meetings.

3 Bishop and colleagues report that small  
4 airway functions improved in the GSH group with,  
5 quote, "significant improvement in peak flows and  
6 the tendency toward significance of the FEF 25 to  
7 75 in ancillary compliance analysis." Because  
8 2 subjects in the GSH group did not record peak  
9 flow data, the peak flow comparison is comparable  
10 to the compliance analysis.

11 They go on to state, "While the effect size  
12 in peak flow analysis is relatively small,  
13 improvement in small airway function is noteworthy  
14 because research in CF pathophysiology suggests  
15 that the changes in peripheral airflow proceed  
16 changes in FEV1 and FVC in this disease."

17 Subjective sense of improvement and subjective  
18 assessment of cost frequency are secondary  
19 indicators, which also had significant improvement  
20 in this study. None of the outcomes significantly  
21 favored the control group over the GSH group.

22 Also in 2005, Dr. Bryan Day published an

1 evaluation of literature on glutathione for cystic  
2 fibrosis. Dr. Day notes the small size of the  
3 Bishop trial and states, "The results are  
4 encouraging." In describing the results of studies  
5 by Roum, Griese, and Bishop, Dr. Day states, quote,  
6 "Inhaled glutathione was well tolerated and  
7 efficacious in improving a variety of clinical  
8 indicators in all three studies reported."

9 With three small clinical trials with  
10 positive findings now published, it seems clear  
11 that the next logical step is a large multicentered  
12 clinical trial. Several obstacles remain to be  
13 overcome. These include the cost of safety  
14 studies, agreement on dosages, primary indicators,  
15 and support from the pharmaceutical industry for an  
16 orphan indication.

17 Bishop had another study published in 2013  
18 with 44 patients, and FDA notes the conclusion that  
19 oral glutathione should be considered in pediatric  
20 cystic fibrosis patients. Another 2013 study by  
21 Griese enrolled 153 cystic fibrosis patients.  
22 Primary efficacy endpoints were not different

1 between the two groups in this study. This is the  
2 only clinical trial cited which does not show  
3 benefit from the glutathione therapy.

4 This trial was plagued by patient  
5 withdrawal, including 29 percent of the GSH group  
6 in interest only, and 42 percent of the placebo  
7 group, suggesting not a failure of the GSH therapy  
8 but other issues with the protocol.

9 As noted in FDA's evaluation, the 2013  
10 cystic fibrosis pulmonary guidelines do not  
11 recommend for or against the chronic use of inhaled  
12 glutathione. The group making these guidelines  
13 only evaluated one study on GSH specifically. The  
14 other study cited in this part of the guidelines  
15 use acetylcysteine, not glutathione.

16 FDA's evaluation of the 2015 study by  
17 Calabrese and colleagues notes the author's comment  
18 that "most enrolled children had a normal  
19 spirometry at baseline with no room for  
20 improvement." Looking at that study, researchers  
21 confirmed a significant decrease in FEV1 in the  
22 adult placebo group that did not occur in the

1 glutathione group. A decline of functional  
2 parameters in the placebo group, although not  
3 statistically significant, was also observed by  
4 Griese.

5 Back to the acknowledgement of no room for  
6 the children enrolled, when the researchers mixed  
7 the results of adults and children sharing an FEV1  
8 below 81 percent, they confirmed a significant  
9 improvement of the FEV1 in the glutathione arm  
10 compared to the placebo. Nevertheless, pediatric  
11 patients that assumed glutathione showed a  
12 significant improvement of the distance walked in  
13 6 minutes that is considered a marker of disease  
14 severity, according to previous data.

15 Quote, "Based on the result of this clinical  
16 trial, the treatment with inhaled glutathione is  
17 assumed to lead to an almost immediate improvement  
18 in FEV1 in patients with moderate lung disease, a  
19 stabilization of BMI in adult population, and an  
20 improvement of the 6-minute walking test in  
21 children." This data was not evaluated in the 2013  
22 guideline statement previously discussed, which,



1 again, did not take a position against inhaled  
2 glutathione.

3 FDA's evaluation of the 2015 study by Visca  
4 identified the various parameters in which  
5 glutathione was of benefit to patients. Again,  
6 this data was not evaluated for the previously  
7 stated 2013 guidelines. Per the authors, patients  
8 in the GSH group showed significantly improved  
9 results on a repeated measure analysis of variance  
10 compared with the placebo group on all four primary  
11 outcome measures.

12 No adverse events were noted in this study,  
13 except for one single adverse event in the placebo  
14 group. This is further evidence of the safety of  
15 oral glutathione. Dosing was weight-based, which  
16 also underscores the need for compounding. No  
17 patient in the GSH group worsened on any of the  
18 11 subjective measures of GI symptoms during the  
19 course of the 6-month trial, and there was a  
20 statistically significant trend towards the  
21 improvement in symptoms in the GSH group over time  
22 compared with the placebo group, except for nausea,

1 heartburn, and fewer than 2 bowel movements per  
2 week.

3 FDA acknowledges the severity of cystic  
4 fibrosis and states that there are many approved  
5 therapies for the treatment of CF, which weigh  
6 against inclusion of glutathione on the 503A Bulks  
7 List. Let's take a quick look at the items the FDA  
8 lists in this section.

9 The various anti-infective agents are  
10 indicated for infections associated with CF, not to  
11 treat CF. Dornase alfa and sodium chloride are to  
12 thin the mucus, not to treat CF. Albuterol and  
13 levalbuterol are to treat coughing and shortness of  
14 breath in patients with CF; they do not treat CF.  
15 There are FDA-approved products to treat CF.  
16 Ivacaftor, or monotherapy, or in fixed combinations  
17 with one or two other agents, is on the market and  
18 available under brand names only.

19 According to these reports, which include  
20 FEC pricing data, the cost for these medications  
21 ranges from \$311,503 per patient/per year for this  
22 first one, or \$23,896 per 28-day pack, to \$300,000

1 and \$259,000 per patient/per year for these two on  
2 the right of your screen.

3           These wildly expensive FDA-approved  
4 treatment options have limited benefit and limited  
5 patient populations to serve. Again, according to  
6 the same articles, patient benefit was seen as  
7 3 percent improved lung function for Orkambi to  
8 14 percent improved lung function for Trikafta.  
9 The manufacturer cited that only 2,600 patients  
10 globally had the specific genetic mutation that  
11 made them eligible for Kalydeco's first approved  
12 indication. The manufacturer for these drugs also  
13 has another similarly priced combination drug.

14           These drugs also carry significant risks.  
15 Adverse events from these drugs include neurologic  
16 adverse events; respiratory infections;  
17 conjunctivitis; elevated LFTs; liver injury;  
18 elevated bilirubin; GI events; various rashes and  
19 dermatologic events; increased blood creatine  
20 phosphokinase more than 5 times the upper limit of  
21 normal; increased blood pressure; and many others.

22           FDA states that the beneficial effects of

1 glutathione are very difficult to assess in  
2 patients with chronic infection without very large  
3 population samples and a long term, at least  
4 6 months, study period. This brings us back to the  
5 article from Dr. Bryan Day, where he identifies  
6 several obstacles to larger studies for  
7 glutathione, including the cost of studies and  
8 support from the pharmaceutical industry.

9           Glutathione is a natural endogenous molecule  
10 available through compounding for at least  
11 32 years. These factors make it very unattractive  
12 for pharmaceutical companies generally. Even when  
13 they have the prospect of patents and market  
14 exclusivity, pharmaceutical companies identify such  
15 small patient populations, that they feel they must  
16 charge \$300,000 per patient/per year for their  
17 product.

18           Another issue with FDA's statement about  
19 very large population samples and long-term study  
20 periods is raised by the advisory.com article.  
21 They state that the branded product Trikafta was  
22 shown to be effective in two clinical trials. The

1 first was a 24-week trial in 403 patients; the  
2 second was a 4-week trial in 107 patients. Those  
3 are for novel molecules seeking FDA approval. We  
4 are talking about a well-known, endogenous molecule  
5 that has been around for over 30 years.

6 To wrap up our discussion on glutathione for  
7 cystic fibrosis, the overwhelming majority of data  
8 suggest that inhaled IV and oral glutathione is  
9 safe at doses up to 600 milligrams per day or  
10 65 milligrams/per kilogram per day. Efficacy data  
11 supports glutathione as an option for patients with  
12 cystic fibrosis. It suggest positive subjective  
13 outcomes. The majority of studies show positive  
14 objective outcomes. No studies show inferiority to  
15 placebo. No studies report serious adverse events  
16 in treatment groups.

17 This chart summarizes the clinical trials  
18 for cystic fibrosis I've presented here: seven  
19 trials, five of them placebo-controlled,  
20 double-blind, and randomized. None of the trials  
21 identified significant safety signals in the  
22 glutathione arm. Here are the references for the

1 cystic fibrosis clinical efficacy discussion.

2           Now let's discuss glutathione in reducing  
3 side effects of chemotherapy. FDA mentioned the  
4 2014 ASCO practice guidelines and that they don't  
5 recommend glutathione for the prevention of CIPN.  
6 Reading the ASCO guidelines, they state that six  
7 small randomized trials evaluated the protective  
8 effects of GSH against platinum-based  
9 neurotoxicity. Five of these trials reported a  
10 statistically significant reduction in  
11 neurotoxicity in one form or another with  
12 administration of GSH compared to placebo.  
13 Benefits included reduction in incidence and  
14 severity of neuropathy and improvements in nerve  
15 conduction and quality of life.

16           They then point to a single trial with a  
17 different chemotherapeutic regimen which did not  
18 prove efficacy of GSH in the prevention of CIPN,  
19 and conclude that they do not recommend using  
20 glutathione for CIPN. While the results of this  
21 one study suggest that glutathione may not be an  
22 effective agent for carboplatin-induced CIPN, these

1 results may not be applicable for cisplatin- or  
2 oxaliplatin-induced neurotoxicity.

3           Let's take a quick look at some of these  
4 studies. Cozzaglio and colleagues enrolled  
5 11 patients, 10 of whom were evaluable at the end  
6 of the study. The study was designed to evaluate  
7 the use of high-dose cisplatin with 5-fluorouracil,  
8 utilizing glutathione to protect patients from  
9 neurotoxicity associated with high doses of  
10 cisplatin. The authors concluded that the lack of  
11 incidence of severe neurotoxicity supports the role  
12 of reduced glutathione as a potential protective  
13 agent against cisplatin toxicity.

14           My slide did not advance for that; my  
15 apologies.

16           A study by Di Re and colleagues enrolled  
17 79 patients with up to 5 courses of high-dose  
18 cisplatin. While peripheral neurotoxicity and  
19 ototoxicity were the most significant long-term  
20 toxicities, as FDA states, the researchers also  
21 state that the severity of these side effects were  
22 apparently less than has been reported with other

1 high-dose cisplatin regimens.

2           They state, quote, "The efficacy and  
3 tolerability of the regimen confirmed the  
4 feasibility of this new approach for including  
5 glutathione in order to increase cisplatin dose  
6 intensity." The researchers state that the value  
7 of patients tolerating these doses with the aid of  
8 glutathione, quote, "the main advantage of the  
9 high-dose cisplatin regimen with GSH is that the  
10 dosage of 160 milligrams per meter squared, per  
11 course, can be maintained for 5 cycles of  
12 treatment, thus allowing the 100 percent delivery  
13 of planned doses in most patients."

14           A study of 50 patients by Bohm and  
15 colleagues --

16           DR. VAIDA: Dr. Day?

17           DR. DAY: Yes?

18           DR. VAIDA: Dr. Day, we are running on time  
19 here. If you could try to wrap it up so we'll be  
20 able to take some clarifying questions, please.

21           DR. DAY: I will move as quickly as  
22 possible.



1           A study of 50 patients by Bohm and  
2 colleagues shows that toxicity was moderate when  
3 using glutathione protection with the lack of  
4 significant nephrotoxicity. Neurotoxicity and  
5 ototoxicity were acceptable, and in no patient was  
6 treatment discontinued for these side effects.  
7 They say, quote, "The impressive efficacy suggests  
8 a possible contribution of reduced glutathione  
9 itself in improving the outcome as reported by  
10 preclinical studies."

11           FDA and ASCO mentioned the Leal study and  
12 the failure for glutathione to provide benefits in  
13 CIPN. The authors themselves have a different  
14 perspective on the results. This trial enrolled  
15 185 patients. There were no significant  
16 differences on cancer outcome, indicating that  
17 glutathione does not interfere with the  
18 chemotherapy, and additionally, no statistically  
19 significant or clinically apparent toxicity  
20 differences between the glutathione and control  
21 arms with regard to multiple evaluated toxicities.

22           Note that this Leal study was the first

1 trial involving carboplatin with glutathione. The  
2 authors state that while the results of this study  
3 support that glutathione is not an effective agent  
4 in the prevention of taxane-induced CIPN when given  
5 in combination with carboplatin, the current  
6 results may not be applicable for cisplatin- or  
7 oxaliplatin-induced neurotoxicity.

8 They also cite other research with therapies  
9 where chemo-induced neuropathy may be different for  
10 different chemotherapeutic agents. They state this  
11 may explain the differences between the findings  
12 from the present study and what has previously been  
13 suggested in other trials looking at oxaliplatin-  
14 or cisplatin-based therapies.

15 Reviewing more literature, FDA states that  
16 Bohm's 1991 publication showed that treatment was  
17 well tolerated with no nephrotoxic or neurotoxic  
18 events with the regimen of cisplatin and  
19 cyclophosphamide. Gebbia and colleagues showed in  
20 1992 that cisplatin and 5FU plus folinic acid and  
21 glutathione showed that glutathione appeared to be  
22 able to reduce, at least partially,

1 cisplatin-related nephrotoxicity, thus delivering  
2 higher cisplatin doses.

3 In 1995, Cascinu showed that in a 15-week,  
4 50-patient, double-blind, placebo-controlled  
5 randomized trial, no patient showed clinically  
6 evident neuropathy in the glutathione arm;  
7 16 patients in the placebo arm did. After  
8 15 weeks, 4 of the 24 assessable patients in the  
9 glutathione arm suffered from neurotoxicity versus  
10 16 of 18 in the placebo arm; P, a value of 0.0001.  
11 The chemotherapy response rate was 76 percent in  
12 the glutathione arm; 20 percent complete response  
13 versus 52 percent in the placebo arm; and  
14 12 percent complete response.

15 In a follow-up study in '97, Cascinu showed  
16 that with 105 patients on cisplatin-based therapy,  
17 only 3 subjects complained of neurotoxicity, one of  
18 WHO grade 1; two of WHO grade 2. Soon after,  
19 Boehm's 1999 trial showed that 50 patients on  
20 IV glutathione with cisplatin therapy had  
21 acceptable neurotoxicity and ototoxicity, and no  
22 patient discontinued treatment due to toxicity.

1           In 2002, Cascinu and colleagues published  
2 another study, this time utilizing glutathione or  
3 placebo with oxaliplatin in 52 patients. At the  
4 fourth cycle of treatment, 7 patients showed  
5 clinically evident neuropathy in the glutathione  
6 arm, whereas 11 patients in the placebo arm did.  
7 After the eighth cycle, those numbers were 9 of 21  
8 assessable patients in the glutathione arm versus  
9 15 of 19 in the placebo arm.

10           A neurophysiologic investigation showed a  
11 statistically significant reduction of the values  
12 in the placebo arm, but not the glutathione arm,  
13 showing patients were better off with glutathione  
14 in this trial. The response rates of the  
15 chemotherapy was 26.9 percent glutathione,  
16 23 percent in the placebo arm, showing no reduction  
17 in activity of oxaliplatin with glutathione  
18 utilization.

19           On page 39 of their glutathione evaluation,  
20 FDA states that the Milla study showed  
21 statistically significant reduction in  
22 neurotoxicity in the glutathione arm compared to

1 the placebo arm. However, the lower total area  
2 under the plasma concentration time curve in the  
3 glutathione arm was lower than in the placebo arm.

4 FDA goes on to conclude that one study  
5 showed that glutathione significantly lowered the  
6 level of a chemotherapeutic agent, which may affect  
7 efficacy. To be clear, the authors addressed the  
8 implications of this measurement directly. Even  
9 the abstract states that the platinum DNA adduct  
10 formation shows no statistically significant  
11 differences between the glutathione and placebo  
12 arms.

13 This study indicates that co-administration  
14 of glutathione is an effective strategy to reduce  
15 the oxaliplatin-induced neurotoxicity without  
16 impairing neither the pharmacokinetics of  
17 oxaliplatin, nor the platinum DNA adduct formation.  
18 This study was designed --

19 DR. VAIDA: Dr. Day --

20 DR. DAY: -- to study pharmacokinetics.

21 DR. VAIDA: -- could you please wrap it up  
22 so we could get some questions, and also have the

1 open public hearing, please?

2 DR. DAY: Certainly, Dr. Vaida. There's an  
3 abundance of data and clinical trials that were  
4 presented, and I'm simply trying to respond to  
5 those.

6 Can you give me a specific amount of time  
7 that you would like me to wrap up in?

8 DR. VAIDA: Yes, like three minutes.

9 DR. DAY: Three minutes; noted.

10 This study was designed to study  
11 pharmacokinetics. The authors also do not consider  
12 the area under the concentration time curve to be  
13 a, quote, "main pharmacokinetic parameter." The  
14 authors offer a more in-depth discussion on this  
15 point in the full article, even showing that there  
16 is no glutathione influence on platinum DNA adduct  
17 formation in tumor cells as well. Quote, "The  
18 ability of GSH to prevent the oxaliplatin-induced  
19 neurotoxicity without impairing platinum DNA adduct  
20 formation in tumor cells, or in white blood cells  
21 taken as a model, could be explained by the  
22 pharmacokinetics of this model."

1           Quote, "The lack of toxicity and  
2 interference of pharmacokinetics and effects of  
3 oxaliplatin suggest that GSH may be a promising  
4 drug for the prevention or delay of  
5 oxaliplatin-induced neuropathy in colorectal cancer  
6 patients."

7           Now, FDA acknowledges the severity of the  
8 side effects of chemotherapy. They go on to state  
9 that there are alternative drugs with FDA approval  
10 to reduce the side effects of chemotherapy,  
11 including palifermin injection, amifostine,  
12 dexrazoxane, and mesna. They conclude by saying  
13 that the existence of approved drugs to treat the  
14 disease weigh against the addition of including  
15 glutathione on the list, particularly in light of  
16 adverse events.

17           As previously shown in these slides,  
18 glutathione is safe. Looking specifically at the  
19 list of approved products FDA cites, palifermin is  
20 not approved for neuropathy; it is approved for  
21 mucositis. Dexrazoxane, mesna, and amifostine are  
22 not indicated for neuropathy. They have

1 alternative indications, indicated on this slide.  
2 Even the 2014 article by Leal and colleagues states  
3 that there is, quote, "no recommended agents for  
4 preventing chemo-induced neuropathy."

5 It is important to note that glutathione  
6 injection is approved by the Italian Medicines  
7 Agency for the prevention of neuropathy from  
8 cisplatin and related analogs. At the recommended  
9 doses, glutathione injection does not interfere  
10 with therapeutic activity of the chemotherapeutic  
11 agent. This product information was obtained in  
12 Italian and then translated to English. Copies of  
13 the original and translated material were sent to  
14 the FDA along with my slides. I had requested that  
15 they be sent to the committee as well.

16 The Italian labeling also addresses use in  
17 pregnancy and lactation. They also address the side  
18 effects, which are consistent with the data  
19 presented here, stating that they are generally  
20 mild and infrequent with a likelihood of injection  
21 site reactions. The dosing guidelines are also  
22 consistent with the data presented here.



1 I've presented here 932 patients evaluated  
2 in 15 published trials from 1994. Only one study  
3 failed to show GSH benefits, using a different  
4 chemo regimen from all other studies. The authors  
5 note zero safety issues, no interference with the  
6 chemo regimen, and provide a plausible hypothesis  
7 for the unexpected results; 15 trials showing no  
8 clinically meaningful interaction with chemotherapy  
9 or clinically meaningful patient safety concerns.  
10 It is an approved product in Italy for CIPN. There  
11 are zero FDA-approved products for this serious  
12 condition, and removing glutathione as an option  
13 for patients does nothing to serve public health.  
14 It harms public health.

15 I have occupied the three minutes that  
16 Dr. Vaida had granted me, though I do have further  
17 slides and data to present. In the interest of  
18 time, I will defer to the committee.

19 **Clarifying Questions from the Committee**

20 DR. VAIDA: Okay. Thank you, Dr. Day.

21 We will now take clarifying questions for  
22 the nominator presenter. Please use the raise-hand

1 icon to indicate that you have a question, and  
2 remember to clear the icon after you have asked  
3 your question. When acknowledged, please remember  
4 to state your name for the record before you speak  
5 and direct your question to a specific presenter,  
6 if you can. If you wish for a specific slide to be  
7 displayed, please let us know the slide number, if  
8 possible.

9 Finally, it will be helpful to acknowledge  
10 the end of your question with a thank you and end  
11 of your follow-up question with, "That is all for  
12 my questions," so we can move on to the next panel  
13 member.

14 Dr. Lewis?

15 DR. F. LEWIS: Yes. This is Dr. Felisa  
16 Lewis, a dermatologist in the Division of  
17 Dermatology and Dentistry. I did want to make a  
18 few clarifying comments.

19 First, I wanted to correct my earlier  
20 statements in response to Dr. Margolis' question  
21 about topical glutathione in the literature. I had  
22 said that there was no literature, but in fact

1 there are very few articles about the topical use  
2 of glutathione, and none of them were specifically  
3 for the purpose of treating a medical condition  
4 such as melasma. They were primarily for photo  
5 aging or for skin lightening.

6 I did want to emphasize that while there are  
7 some of those recognized medical treatments that  
8 have localized hyperpigmentation such as melasma,  
9 glutathione is not a typical substance that is used  
10 for compounding in topical products. Instead,  
11 glutathione has been more widely used globally, and  
12 its IV formulation is for overall skin  
13 lightening -- [inaudible - feedback].

14 Can you hear me? Because I'm getting some  
15 feedback.

16 (Pause.)

17 DR. F. LEWIS: Okay. I think the feedback  
18 is gone. Sorry.

19 To continue -- this is Dr. Lewis again -- I  
20 believe I was saying that glutathione has been more  
21 widely used globally and as IV formulation for  
22 overall skin lightening. I wanted to emphasize

1 that there is no recognized medical condition where  
2 the first-line treatment is overall skin  
3 hypopigmentation or depigmentation.

4 Besides the immediate potential for systemic  
5 adverse reactions, we should also consider the  
6 long-term implications of changing a person's  
7 overall skin tone because any reported degree of  
8 skin lightening achieved is temporary, and the  
9 maintenance of this hypopigmentation would require  
10 the regular and chronic administration of  
11 IV glutathione.

12 While the purpose of this advisory committee  
13 is to weigh the scientific evidence of the use of  
14 glutathione, we should not ignore that the desire  
15 for overall skin lightening is driven by cultural  
16 standards that are deeply rooted in some  
17 ethnicities of skin of color, i.e., Fitzpatrick's  
18 skin types 3 through 6 such as in Asia and Africa,  
19 where the fairness of one's skin tone is equated  
20 with higher social status and beauty.

21 So despite the widespread messages of  
22 diversity acceptance that are currently prevalent

1 in the United States, these cultural stereotypes  
2 and beliefs persist in U.S. populations of these  
3 ethnic groups, and for this reason, there is also a  
4 body of literature authored by prominent board  
5 certified dermatologists that speak unequivocally  
6 against the use of glutathione for skin lightening.  
7 Thank you.

8 **Open Public Hearing**

9 DR. VAIDA: Alright.

10 If there are no questions for Dr. Day, we  
11 will move on to our open public hearing session.

12 Both the Food and Drug Administration and  
13 the public believe in a transparent process for  
14 information gathering and decision making. To  
15 ensure such transparency at the open public hearing  
16 session of the advisory committee meeting, FDA  
17 believes that it is important to understand the  
18 context of an individual's presentation.

19 For this reason, FDA encourages you, the  
20 open public hearing speaker, at the beginning of  
21 your written or oral statement to advise the  
22 committee of any financial relationship you may

1 have with the product and if known, its direct  
2 competitors.

3 For example, this financial information may  
4 include the payment by a bulk drug supplier or  
5 compounding pharmacy of your travel, lodging, or  
6 other expenses in connection with your attendance  
7 at the meeting. Likewise, FDA encourages you at  
8 the beginning of your statement to advise the  
9 committee if you do not have any financial  
10 relationships. If you chose not to address this  
11 issue of financial relationships at the beginning  
12 of your statement, it will not preclude you from  
13 speaking.

14 The FDA and this committee place great  
15 importance on the open public hearing process. The  
16 insights and comments provided can help the agency  
17 and this committee in their consideration of the  
18 issues before them.

19 That said, in many instances and for many  
20 topics, there will be a variety of opinions. One  
21 of our goals today is for this open public hearing  
22 to be conducted in a fair and open way where every

1 participant is listened to carefully and treated  
2 with dignity, courtesy, and respect. Therefore,  
3 please speak only when recognized by the chair.  
4 Thank you.

5 Speaker number 1, your audio is connected  
6 now. Will speaker number 1 begin and introduce  
7 yourself? Please state your name and any  
8 organization you are representing for the record.

9 DR. ANDERSON: Hello. My name is Dr. Paul  
10 Anderson from Seattle Washington. I have no  
11 financial relationships to disclose in regard to  
12 this meeting or this topic.

13 I am formerly one who testified on behalf of  
14 nominations by AANP, and today I'm just giving  
15 clinical background for the use of glutathione. I  
16 am a physician and researcher in Seattle,  
17 Washington, and was formerly the director of  
18 interventional medicine in a five-year NIH-funded  
19 oncology trial in collaboration with the University  
20 of Washington Seattle Cancer Care Alliance, Seattle  
21 Children's Hospital, and Bastyr University.

22 In this trial, in addition to in my private

1 practice, we used a great deal of compounded  
2 glutathione in the integrative oncology center. In  
3 addition, I'm a co-author of the textbook, A  
4 Scientific Reference for Intravenous Nutrition  
5 Therapy, from CAO Medical Publishing, and the focus  
6 of my practice and research is oncology patients,  
7 supporting them through standard of care and  
8 mitigating side effects after standard of care.

9 We have used glutathione in a compounded  
10 form as a modality for 20 years-plus at this point.  
11 The scope of the glutathione use of my clinics that  
12 I was supervising over those 20 years, I estimate  
13 that I've ordered and monitored over 20,000 doses  
14 of compounded glutathione. Those doses were  
15 intravenous, as well as respiratory administration.

16 In relationship to the NIH trial, we used  
17 glutathione in a number of settings. One was in  
18 referral from the University of Washington  
19 Radiation Oncology Center, after radiation therapy  
20 was completed, to assist patients in nerve repair  
21 due to radiation nerve injury. Other uses were for  
22 general quality of life and also co-administration



1 with platinum drugs to decrease CIPN, as both of  
2 the prior presenters have already talked about.

3 I do want to point out that I would agree  
4 with Dr. Day regarding his interpretation of the  
5 glutathione and oncology data that he had a chance  
6 to present, part of anyway. In our trials and in  
7 looking at all of these doses administered  
8 anecdotally, I would also affirm that we not only  
9 have efficacy in the oncology uses, but also a  
10 great margin of safety. The people and indications  
11 that we primarily used were, as I mentioned,  
12 post-treatment recovery, nerve damage, CIPN, acute  
13 and chronic respiratory conditions, and other  
14 activities of daily living, quality-of-life issues.

15 Retrospectively -- and this is anecdotally,  
16 not published anywhere, but in those 20,000-plus  
17 doses, we've had no grade 3, 4, or 5 adverse  
18 events. Grade 2 is estimated at under 20 total in  
19 the 20,000, and grade 1 adverse events are  
20 estimated under 100 in that 20,000 dose range, and  
21 this is using the standard adverse event grading by  
22 a cancer therapy evaluation program.

1           In closing, thank you for your time,  
2           committee, and in the nearly 20-year span, we've  
3           been able to clinically use compounded glutathione.  
4           I and colleagues have found it to be incredibly  
5           safe and effective therapy in support of patients  
6           with cancer and other chronic conditions. I  
7           believe it would be a travesty to remove  
8           glutathione compounded by licensed pharmacies from  
9           public medical access. Thank you very much. I'm  
10          Dr. Paul Anderson.

11                   (Pause.)

12           DR. STEVENSON: Hello. This is Takyiah  
13           speaking. Dr. Vaida, if you're speaking, you may  
14           be on mute.

15                   **Committee Discussion and Vote**

16           DR. VAIDA: I'm sorry. I was on mute.  
17           The open public hearing portion of this  
18           meeting has now concluded, and we will no longer  
19           take comments from the audience. The committee  
20           will now turn its attention to address the task at  
21           hand, the careful consideration of the data before  
22           the committee, as well as the public comments.

1           We will proceed with the question to the  
2 committee and panel discussion for glutathione. I  
3 would like to remind public observers that while  
4 this is open for public observation, public  
5 attendees may not participate, except at the  
6 specific request of the panel.

7           Today's question is a voting question.  
8 Dr. Takyiah Stevenson will provide the instructions  
9 for the voting.

10           DR. STEVENSON: Question 2 is a voting  
11 question. Voting members will use the Adobe  
12 Connect platform to submit their vote for this  
13 meeting. After the chairperson has read the voting  
14 question into the record and all questions and  
15 discussion regarding the wording of the vote  
16 question are complete, the chairperson will  
17 announce that voting will begin.

18           If you are a voting member, you will be  
19 moved to a breakout room. A new display will  
20 appear where you can submit your vote. There will  
21 be no discussion in the breakout room. You should  
22 select the radio button that is the round circular

1 button in the window that corresponds to your vote,  
2 yes, no, or abstain. You should not leave the "no  
3 vote" choice selected. Please note that you do not  
4 need to submit or send your vote. Again, you need  
5 only to select the radio button that corresponds to  
6 your vote.

7           You will have the opportunity to change your  
8 vote until the vote is announced as closed. Once  
9 all voting members have selected their vote, I will  
10 announce that the vote is closed. Next, the vote  
11 results will be displayed on the screen. I will  
12 read the vote results from the screen into the  
13 record. Next, the chair person will go down the  
14 roster, and each voting member will state their  
15 name and their vote into the record. You can also  
16 state the reason why you voted as you did, if you  
17 want to.

18           Are there any questions about the voting  
19 process before we begin?

20           (No response.)

21           DR. STEVENSON: Alright. Seeing none, I  
22 will hand it back to the chair to read the

1 question.

2 DR. VAIDA: Thank you.

3 For Section 503A bulk drug substances list  
4 for glutathione -- the vote -- FDA is proposing  
5 that glutathione not be included on the 503 Bulks  
6 List. Should glutathione be placed on the list?

7 If you vote no, you are recommending that  
8 FDA not place the bulk drug substance on the 503A  
9 Bulks List. If the substance is not on the list  
10 when the final rule is promulgated, compounders may  
11 not use the drug for compounding under Section 503A  
12 unless it becomes the subject of an applicable USP,  
13 or NF monograph, or a component of an FDA-approved  
14 drug.

15 If there are no questions or comments  
16 concerning the wording of the question, we will now  
17 begin voting on the question for glutathione.

18 (No response.)

19 DR. STEVENSON: We will now move voting  
20 members to the voting breakout room to vote only.

21 There will be no discussion.

22 (Voting.)

1 DR. STEVENSON: The voting has closed and is  
2 now complete. Once the vote results display, I  
3 will read the vote result into the record.

4 (Pause.)

5 DR. STEVENSON: Voting has closed and is now  
6 complete. The vote results are displayed. I will  
7 read the vote totals into the record. The  
8 chairperson will go down a list, and each voting  
9 member will state their name and their vote into  
10 the record. You can also state the reason why you  
11 voted as you did, if you wish to.

12 There are 8 yeses, 5 noes, 1 abstention.

13 DR. VAIDA: Okay. Thank you.

14 We will now go down the list and have  
15 everyone who voted state their name and vote into  
16 the record. You may also provide justification for  
17 your vote, if you wish. We'll start with the first  
18 person on the list.

19 Allen Vaida. I voted yes because I felt  
20 that it was not compelling evidence against its use  
21 or even with the safety aspect.

22 Dr. Gupta?

1 DR. GUPTA: Thank you. This is Dr. Anita  
2 Gupta, and I voted no. I felt that although  
3 glutathione is known to be stable, endogenous, and  
4 it's well characterized, there is unclear evidence,  
5 reproductive evidence, and developmental evidence  
6 in women, and toxicology studies that created some  
7 reasons for further evidence that was required. In  
8 addition, the information regarding lightning, I  
9 felt that there was need for further evidence.  
10 Therefore, I voted no. Thank you.

11 DR. VAIDA: Thank you.

12 Dr. Green?

13 DR. B. GREEN: This is Brian Green. I voted  
14 yes, and while I don't think that anyone's proven  
15 it necessarily does anything that they're saying it  
16 does, I don't think that there's a whole lot of  
17 harm there compared to a lot of already other  
18 available medications and supplements.

19 DR. VAIDA: Thank you.

20 Dr. Serumaga?

21 DR. SERUMAGA: Yes. This is Brian Serumaga  
22 from USP. I voted yes because there was sufficient

1 evidence presented that shows that glutathione can  
2 be physically and chemically characterized, and  
3 stable preparations can actually be made in a  
4 compounding pharmacy.

5 DR. VAIDA: Dr. Margolis?

6 DR. MARGOLIS: Yes. This is David Margolis.  
7 I voted no. I thought that there were ample  
8 opportunities for more compelling effectiveness or  
9 even efficacy data, and there just seemed to be  
10 just way too many indications and uses for  
11 something, and that it would be nice to know that  
12 it actually worked well in those indications.

13 DR. VAIDA: Dr. Rebello?

14 DR. REBELLO: Elizabeth Rebello. I voted  
15 no. I'm not convinced that the evidence was  
16 present in terms of efficacy, given the data that  
17 was presented.

18 DR. VAIDA: Dr. Gura?

19 DR. GURA: Hi. Kathleen Gura. I voted yes.

20 DR. VAIDA: Dr. Patel?

21 DR. PATEL: Hi. This is Kuldip Patel. I  
22 was on the fence on this one. I voted to abstain



1 so that I'm not swaying the decision one way or  
2 another. My concern was less so about the safety  
3 implications, but more about the mixed evidence of  
4 efficacy, especially among the variety of  
5 indications it is being considered for.  
6 Furthermore, once you make a decision to add an  
7 item to the list, or take it off -- in particular,  
8 adding it to the list -- there's no way for the  
9 used to be controlled as far as how, or when, or in  
10 what form it would be used, so I voted to abstain.  
11 Thank you.

12 DR. VAIDA: Thank you.

13 Dr. McElhiney?

14 DR. McELHINEY: This is Linda McElhiney. I  
15 voted yes. In my opinion, there are few serious  
16 and/or life-threatening conditions that would  
17 significantly benefit from glutathione therapy, and  
18 I think it should continue to be available to the  
19 compounders.

20 DR. VAIDA: Dr. Bogner?

21 DR. BOGNER: This is Robin Bogner. I voted  
22 yes to maintain patient access.

1 DR. VAIDA: Sandra Fusco-Walker.

2 MS. FUSCO-WALKER: This is Sandra  
3 Fusco-Walker. I voted no due to the lack of  
4 evidence.

5 DR. VAIDA: Dr. Evans?

6 DR. EVANS: This is Scott Evans. I voted  
7 no. I am concerned about the extremely broad range  
8 of indications for which this agent has been used  
9 without clear evidence of efficacy, and feel that  
10 it fails to address the point of lack of  
11 alternative therapies. Many of these conditions  
12 have well-established alternate therapies.

13 DR. VAIDA: Dr. Fensky?

14 DR. FENSKY: This is Tim Fensky. I voted  
15 yes.

16 DR. VAIDA: Dr. Calhoun?

17 DR. CALHOUN: This is Bill Calhoun. I voted  
18 yes. It's clear that the physical/chemical  
19 stability of this compound is fine. It's safe.  
20 There's really no risk to public health. It's an  
21 endogenous substance. Personally, I found the  
22 agency's review of the efficacy superficial and

1 narrow, and I found their conclusions dismissive of  
2 the positive data that existed because the outcome  
3 wasn't something that they were interested in  
4 looking at, or sometimes because of relatively  
5 small sample size. But I think the weight of  
6 evidence suggests that there are at least some uses  
7 for glutathione, so in order to maintain  
8 availability, I voted yes.

9 **Adjournment**

10 DR. VAIDA: Thank you.

11 It seems like we did have a mix vote on this  
12 one. I know that personally I feel that the  
13 indications are very broad, but I don't believe  
14 that there was enough data against the use of this.

15 We will now break for lunch. We will  
16 shorten our lunch, and let's try to reconvene at  
17 1:45 Eastern time. Panel members, remember that  
18 there should be no chatting or discussion of the  
19 meeting topics with other panel members.  
20 Additionally, those panel members participating in  
21 the remaining topic discussion should plan to  
22 rejoin at 1:45 to ensure you are connected before

1 we reconvene. I meant to say 1:40. Thank you.

2 (Whereupon, at 1:15 p.m., the morning  
3 session was adjourned.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22