

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PSYCHOPHARMACOLOGIC DRUGS ADVISORY
COMMITTEE MEETING (PDAC)

Virtual Meeting

Friday, June 17, 2022

8:45 a.m. to 3:05 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Joyce Frimpong, PharmD

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE

MEMBERS (Voting)

Walter S. Dunn, MD, PhD

Assistant Clinical Professor

Department of Psychiatry

University of California Los Angeles

Director, Mood Disorders Section

Director, Interventional Psychiatry Service

West Los Angeles Veterans Affairs

Medical Center

Los Angeles, California

1 **Jess G. Fiedorowicz, MD, PhD**

2 Head and Chief, Department of Mental Health
3 The Ottawa Hospital
4 Professor and Senior Research Chair in Adult
5 Psychiatry, Department of Psychiatry
6 University of Ottawa
7 Ottawa, Ontario

8

9 **Satish Iyengar, PhD**

10 Chair and Professor of Statistics
11 Department of Statistics
12 University of Pittsburgh
13 Pittsburgh, Pennsylvania

14

15 **Sonia L. Krishna, MD, FAPA, DFAACAP**

16 Affiliate Faculty
17 Department of Psychiatry
18 Dell Medical School
19 The University of Texas at Austin
20 Austin, Texas

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Rajesh Narendran, MD

(Chairperson)

Attending Psychiatrist

resolve Crisis Services

UPMC Western Psychiatric Hospital

Professor in Radiology and Psychiatry

University of Pittsburgh School of Medicine

Psychiatric Molecular Imaging Program

Pittsburgh, Pennsylvania

Kim O. Witczak

(Consumer Representative)

Co-Founder, Executive Director

Woodymatters

Minneapolis, Minnesota

1 **PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2 **(Non-Voting)**

3 **Robert W. Baker, MD**

4 *(Industry Representative)*

5 Deputy Chief Medical Officer

6 Vice President, Clinical Program Design and

7 Exploratory Medicine and Pharmacology

8 Eli Lilly and Company

9 Lilly Corporate Center

10 Indianapolis, Indiana

11
12 **TEMPORARY MEMBERS (Voting)**

13 **Liana G. Apostolova, MD, MSc, FAAN**

14 Distinguished Professor in Neurology

15 Barbara and Peer Baekgaard Chair in

16 Alzheimer's Disease Research

17 Professor in Radiology and Medical and

18 Molecular Genetics

19 Indiana University School of Medicine

20 Indiana Alzheimer's Disease Center

21 Indianapolis, Indiana

22

1 **Merit E. Cudkowicz, MD, MSC**

2 Julieanne Dorn Professor of Neurology
3 Chair, Department of Neurology and
4 Director of the Sean M. Healey and AMG Center
5 for ALS at Mass General Hospital
6 Harvard Medical School
7 Boston, Massachusetts

8

9 **Dean Follmann, PhD**

10 Assistant Director for Biostatistics
11 National Institute of Allergy and Infectious
12 Diseases
13 National Institutes of Health (NIH)
14 Bethesda, Maryland

15

16 **Colette Johnston**

17 *(Patient Representative)*

18 Moab, Utah

19

20

21

22

1 **Paul Stander, MD, MBA**

2 Associate Chief of Staff

3 Geriatrics and Extended Care

4 Phoenix Veterans Affairs Health System

5 Clinical Professor of Medicine

6 University of Arizona - Phoenix College of

7 Medicine

8 Phoenix, Arizona

9

10 **Madhav R. Thambisetty, MD, PhD**

11 Senior Investigator

12 National Institute on Aging, NIH

13 Adjunct Professor of Neurology

14 Johns Hopkins University School of Medicine

15 Baltimore, Maryland

16

17 **FDA PARTICIPANTS (Non-Voting)**

18 **Billy Dunn, MD**

19 Director

20 Office of Neuroscience (ON)

21 Office of New Drugs (OND), CDER, FDA

22

1 **Tiffany R. Farchione, MD**

2 Director

3 Division of Psychiatry (DP)

4 ON, OND, CDER, FDA

5

6 **Bernard Fischer, MD**

7 Deputy Director

8 DP, ON, OND, CDER, FDA

9

10 **Paul Bossie, MD**

11 Clinical Reviewer

12 DP, ON, OND, CDER, FDA

13

14 **Xiang Ling, PhD**

15 Statistical Reviewer

16 Division of Biometrics I (DBI)

17 Office of Biostatistics (OB)

18 Office of Translational Sciences (OTS)

19 CDER, FDA

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Rajesh Narendran, MD	11
5	Introduction of Committee	
6	Joyce Frimpong, PharmD	11
7	Conflict of Interest Statement	
8	Joyce Frimpong, PharmD	16
9	FDA Opening Remarks	
10	Tiffany Farchione, MD	20
11	Applicant Presentations - Acadia Pharmaceuticals	
12	Introduction	
13	Daryl DeKarske, MPH	31
14	Unmet Need	
15	Pierre Tariot, MD	39
16	Efficacy	
17	Clive Ballard, MD	48
18	Suzanne Hendrix, PhD	66
19	Overview of Safety	
20	Mary Ellen Turner, MD, MPH	76
21	Benefit-Risk	
22	Serge Stankovic, MD	81

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions to Applicant	84
FDA Presentations	
Pimavanserin (Nuplazid) for the Treatment of Hallucinations and Delusions Associated with Alzheimer's Disease Psychosis	
Paul Bossie, MD	140
Xiang Ling, PhD	169
Paul Bossie, MD	177
Clarifying Questions to FDA	180
Open Public Hearing	223
Questions to the Committee and Discussion	293
Adjournment	344

P R O C E E D I N G S

(8:45 a.m.)

Call to Order

DR. NARENDRAN: Good morning and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Raj Narendran, and I will be chairing this meeting. I will now call the June 17, 2022 Psychopharmacologic Drugs Advisory Committee Meeting to order. Dr. Joyce Frimpong is the designated federal officer for this meeting and will begin with the introductions.

Introduction of Committee

DR. FRIMPONG: Good morning. My name is Joyce Frimpong, and I'm the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Robert Baker?

DR. BAKER: Good morning. This is Robert

1 Baker. I'm the deputy chief medical officer at Eli
2 Lilly and Company, so I'm the industry
3 representative.

4 DR. FRIMPONG: Dr. Walter Dunn?

5 DR. W. DUNN: Hi. This is Walter Dunn,
6 assistant clinical professor at UCLA and the
7 Greater Los Angeles VA.

8 DR. FRIMPONG: Dr. Jess Fiedorowicz?

9 DR. FIEDOROWICZ: Yes. Hello. This is Jess
10 Fiedorowicz, professor at University of Ottawa.

11 DR. FRIMPONG: Dr. Satish Iyengar?

12 DR. IYENGAR: My name is Satish Iyengar.
13 I'm from the University of Pittsburgh, where I am
14 professor and chair of the statistics department.

15 DR. FRIMPONG: Dr. Sonia Krishna?

16 DR. KRISHNA: Good morning. This is
17 Dr. Sonia Krishna. I'm affiliate faculty at
18 UT Austin, Dell Medical School.

19 DR. FRIMPONG: Dr. Rajesh Narendran?

20 DR. NARENDRAN: Hi. This is Raj Narendran.
21 I'm a psychiatrist at UPMC, professor of psychiatry
22 and radiology at the University of Pittsburgh

1 Medical Center.

2 DR. FRIMPONG: Ms. Kim Witczak?

3 MS. WITCZAK: Good morning. Kim Witczak,
4 Woodymatters, a drug safety organization out of
5 Minneapolis.

6 DR. FRIMPONG: Dr. Liana Apostolova?

7 DR. APOSTOLOVA: Good morning. This is
8 Liana Apostolova. I am the Barbara and Peer
9 Baekgaard Professor in Alzheimer's Disease
10 Research, and professor in neurology from Indiana
11 University.

12 DR. FRIMPONG: Dr. Merit Cudkowicz?

13 DR. CUDKOWICZ: Hi. Merit Cudkowicz. I am
14 chair of neurology at Mass General Hospital and
15 professor of neurology at Harvard Medical School.

16 DR. FRIMPONG: Dr. Dean Follmann?

17 DR. FOLLMANN: Yes. Hi. I'm Dean Follmann,
18 head of biostatistics at the National Institute of
19 Allergy and Infectious Diseases.

20 DR. FRIMPONG: Ms. Colette Johnston?

21 MS. JOHNSTON: Colette Johnston. I'm a
22 patient advocate and caregiver.

1 DR. FRIMPONG: Dr. Madhav Thambisetty?

2 DR. THAMBISETTY: Good morning. This is
3 Madhav Thambisetty. I'm a senior investigator at
4 the National Institute of Aging and chief of the
5 clinical and translational neuroscience section.
6 I'm also an adjunct professor of neurology at the
7 Johns Hopkins School of Medicine.

8 DR. FRIMPONG: Dr. Billy Dunn?

9 DR. B. DUNN: This is Dr. Billy Dunn. I'm
10 the director of the Office of Neuroscience at the
11 FDA.

12 DR. FRIMPONG: Dr. Tiffany Farchione?

13 DR. FARCHIONE: Hi. This is Tiffany
14 Farchione. I'm the director of the Division of
15 Psychiatry at FDA.

16 DR. FRIMPONG: Dr. Bernard Fischer?

17 DR. FISCHER: Hi. This is Bernie Fischer.
18 I'm the deputy for psychiatry at the FDA.

19 DR. FRIMPONG: Dr. Paul Bossie?

20 DR. BOSSIE: Hi. I'm the clinical reviewer
21 at the Division of Psychiatry for the FDA.

22 DR. FRIMPONG: And Dr. Xiang Ling?

1 DR. LING: Hi. This is Xiang Ling, the
2 statistical reviewer at the FDA.

3 DR. NARENDRAN: For topics such as those
4 being discussed at this meeting, there are often a
5 variety of opinions, some of which are quite
6 strongly held. Our goal is that this meeting will
7 be a fair and open forum for discussion of these
8 issues and that individuals can express their views
9 without interruption. Thus, as a gentle reminder,
10 individuals will be allowed to speak into the
11 record only if recognized by the chairperson. We
12 look forward to a productive meeting.

13 In the spirit of the Federal Advisory
14 Committee Act and the Government in the Sunshine
15 Act, we ask that the advisory committee members
16 take care that their conversations about the topic
17 at hand take place in the open forum of the
18 meeting. We are aware that members of the media
19 are anxious to speak with the FDA about these
20 proceedings, however, FDA will refrain from
21 discussing the details of this meeting with the
22 media until its conclusion. Also, the committee is

1 reminded to please refrain from discussing the
2 meeting topic during breaks or lunch. Thank you.

3 Dr. Joyce Frimpong will read the Conflict of
4 Interest Statement for the meeting.

5 **Conflict of Interest Statement**

6 DR. FRIMPONG: The Food and Drug
7 Administration is convening today's meeting of the
8 Psychopharmacologic Drugs Advisory Committee under
9 the authority of the Federal Advisory Committee Act
10 of 1972. With the exception of the industry
11 representative, all members and temporary voting
12 members of the committee are special government
13 employees or regular federal employees from other
14 agencies and are subject to federal conflict of
15 interest laws and regulations.

16 The following information on the status of
17 this committee's compliance with federal ethics and
18 conflict of interest laws, covered by but not
19 limited to those found at 18 U.S.C. Section 208, is
20 being provided to participants in today's meeting
21 and to the public.

22 FDA has determined that members and

1 temporary voting members of this committee are in
2 compliance with federal ethics and conflict of
3 interest laws. Under 18 U.S.C. Section 208,
4 Congress has authorized FDA to grant waivers to
5 special government employees and regular federal
6 employees who have potential financial conflicts
7 when it is determined that the agency's need for a
8 special government employee's services outweighs
9 his or her potential financial conflict of
10 interest, or when the interest of a regular federal
11 employee is not so substantial as to be deemed
12 likely to affect the integrity of the services
13 which the government may expect from the employee.

14 Related to today's discussion, members and
15 temporary voting members of this committee have
16 been screened for potential financial conflicts of
17 interest of their own as well as those imputed to
18 them, including those of their spouses or minor
19 children and, for purposes of 18 U.S.C.
20 Section 208, their employers. These interests may
21 include investments; consulting; expert witness
22 testimony; contracts, grants, CRADAs; teaching,

1 speaking, writing; patents and royalties; and
2 primary employment.

3 Today's agenda involves the discussion of
4 supplemental new drug applications 210793-008 and
5 207318-011, efficacy supplement resubmission for
6 Nuplazid, pimavanserin, tablets, submitted by
7 Acadia Pharmaceuticals, Incorporated, for the
8 proposed treatment of hallucinations and delusions
9 associated with Alzheimer's disease psychosis.
10 This is a particular matters meeting during which
11 specific matters related to Acadia Pharmaceuticals,
12 Incorporated supplemental new drug applications
13 will be discussed.

14 Based on the agenda for today's meeting and
15 all financial interests reported by the committee
16 members and temporary voting members, a conflict of
17 interest waiver has been issued in accordance with
18 18 U.S.C. Section 208(b)(1) to Dr. Walter Dunn.
19 Dr. Dunn's waivers include stock holdings in four
20 competing firms. The aggregate market value of his
21 financial interest in the common stock of the four
22 firms is between \$17,500 and \$37,500. The waiver

1 allows Dr. Dunn to participate fully in today's
2 deliberations. FDA's reasoning for issuing this
3 waiver are described in the waiver document, which
4 is posted on FDA's website.

5 A copy of the waiver may also be obtained by
6 submitting a written request to the agency's
7 Freedom of Information Division, 5630 Fishers Lane,
8 Room 1035, Rockville, Maryland, 20857, or requests
9 may be sent via fax to 301-827-9267.

10 To ensure transparency, we encourage all
11 standing committee members and temporary voting
12 members to disclose any public statements that they
13 have made concerning the product at issue.

14 With respect to FDA's invited industry
15 representative, we would like to disclose that
16 Dr. Robert Baker is participating in this meeting
17 as a non-voting industry representative, acting on
18 behalf of regulated industry. Dr. Baker's role at
19 this meeting is to represent industry in general
20 and not any particular company. Dr. Baker is
21 employed by Eli Lilly and Company.

22 We would like to remind members and

1 temporary voting members that if discussions
2 involve any other products or firms not already on
3 the agenda for which an FDA participant has a
4 personal or imputed financial interest, the
5 participants need to exclude themselves from such
6 involvement, and their exclusion will be noted for
7 the record. FDA encourages all other participants
8 to advise the committee of any financial
9 relationships that they may have with the firm at
10 issue. Thank you.

11 DR. NARENDRAN: We will proceed with the
12 FDA's opening remarks from Dr. Tiffany Farchione.

13 **FDA Opening Remarks - Tiffany Farchione**

14 DR. FARCHIONE: Good morning, and welcome to
15 the Psychopharmacologic Drugs Advisory Committee
16 meeting. My name is Tiffany Farchione, and I'm the
17 director of the Division of Psychiatry here at FDA.
18 Today, we will be discussing Acadia
19 Pharmaceuticals' supplemental new drug application
20 for pimavanserin for the treatment of
21 hallucinations and delusions associated with
22 Alzheimer's disease psychosis.

1 The application under review here is a
2 resubmission after a complete response action. In
3 other words, the agency reviewed and did not
4 approve a previous version of this application. I
5 want to emphasize that the committee should not
6 assume that the prior action reflects the agency's
7 position on the current application.

8 The applicant was previously seeking a
9 general indication for the treatment of all
10 dementia-related psychosis, regardless of the
11 underlying disease responsible for dementia, but
12 the current application has narrowed the proposed
13 indication for the treatment of Alzheimer's related
14 psychosis and has submitted a number of new
15 analyses in an attempt to address the concerns
16 outlined by the agency with the earlier decision.

17 There are no new studies with this
18 submission, but the agency has agreed to consider
19 the additional analyses in the context of the
20 indication the sponsor now seeks. It's important
21 to acknowledge that the applicant's resubmission
22 for this revised indication focused only on

1 Alzheimer's disease and was discussed with us in
2 multiple presubmission meetings, and we
3 prospectively agreed that their current approach
4 was reasonable and reviewable.

5 Today, our team's presentations will briefly
6 describe the regulatory history, including relevant
7 aspects of the complete response decision and
8 post-action discussions with the applicant,
9 followed by our evaluation of the current
10 application.

11 The applicant is now seeking an indication
12 for the treatment of hallucinations and delusions
13 associated with Alzheimer's disease psychosis.
14 Alzheimer's disease is the most common form of
15 dementia in the United States. The latest estimate
16 puts its prevalence at 6.5 million individuals.
17 The pathological hallmarks of Alzheimer's disease
18 include extracellular deposits of amyloid beta,
19 known as plaques, and intracellular aggregates of
20 hyperphosphorylated tau or neurofibrillary tangles.

21 Although cognitive decline is the
22 predominant symptom, neuropsychiatric symptoms,

1 including hallucinations and delusions, are common
2 and severe. These neuropsychiatric symptoms cause
3 profound distress for patients and their
4 caregivers, are severely debilitating, and are
5 associated with a higher risk of rapid progression
6 to severe dementia, death, and out-of-home
7 placement.

8 I think that I advanced one too early. I
9 apologize.

10 Currently, there are no approved
11 pharmacologic treatments for hallucinations and
12 delusions associated with Alzheimer's disease
13 psychosis. Off-label use of antipsychotic
14 medications approved for other conditions occurs,
15 however, the American Psychiatric Association
16 practice guideline on the use of antipsychotics to
17 treat agitation or psychosis in patients with
18 dementia notes that the benefits of antipsychotic
19 medications are small at best. There is a
20 significant and pressing unmet need for the
21 treatment of hallucinations and delusions
22 associated with Alzheimer's disease psychosis.

1 Pimavanserin, the product currently under
2 review, is a serotonin-selective inverse agonist
3 that preferentially targets the 5-HT2A receptor
4 subtype. It is an approved product indicated for
5 the treatment of hallucinations and delusions
6 associated with Parkinson's disease psychosis or
7 PDP. With the current submission, the applicant is
8 seeking a second indication, this time for the
9 treatment of hallucinations and delusions
10 associated with Alzheimer's disease psychosis or
11 ADP.

12 The applicant cites three sources of
13 evidence to support this new indication. First,
14 the prior approval of pimavanserin for the
15 treatment of hallucinations and delusions
16 associated with PDP, making the case that ADP and
17 PDP are closely related conditions. Second,
18 Study 019, which was a phase 2, 12-week,
19 double-blind, placebo-controlled study in subjects
20 with Alzheimer's disease psychosis. The primary
21 endpoint in this study was the change from baseline
22 to day 43 on the Neuropsychiatric Inventory Nursing

1 Home Version Psychosis Score, and the study was
2 positive on the prespecified primary endpoint. And
3 finally, Study 045, which was a phase 3 relapse
4 prevention study, comprising a 12-week, open-label
5 period, followed by a 26-week randomized
6 withdrawal, double-blind period. This study
7 included subjects with multiple subtypes of
8 dementia, including a large Alzheimer's disease
9 subgroup. The primary endpoint was time from
10 randomization to relapse in the double-blind
11 period, and the study was positive, based on the
12 prespecified primary endpoint.

13 A note on the approved indication, the prior
14 approval on PDP was based on Study 020, a phase 3,
15 randomized, double-blind, placebo-controlled,
16 6-week study of pimavanserin versus placebo in
17 subjects with Parkinson's disease and psychosis
18 that developed after the diagnosis of Parkinson's.

19 Of the 185 subjects in the
20 intention-to-treat analysis set, 46 had an MMSE
21 score less than 25 and were considered a
22 Parkinson's disease dementia subset. The primary

1 endpoint was the change from baseline to day 43 on
2 the Scale for Assessment of Positive Symptoms
3 Parkinson's Disease, or SAPS-PD, total score, which
4 is a 9-item scale derived from the 20-item SAPS
5 Hallucinations Plus Delusions, or SAPS-H+D,
6 subscales. The study was positive on its
7 prespecified primary endpoint.

8 For the current submission, the applicant
9 presented Study 019 as the primary evidence to
10 support the Alzheimer's disease psychosis
11 indication. Although the agency raised concerns
12 about the design and conduct of this study in the
13 complete response letter to the original
14 submission, the applicant has successfully
15 addressed these concerns with this submission. As
16 previously noted, the study was positive on the
17 primary endpoint at day 43. The agency seeks the
18 committee's input on the overall persuasiveness of
19 the data from Study 019.

20 The applicant presents Study 045 as
21 additional supportive evidence. This study was
22 positive on the prespecified primary endpoint in a

1 population consisting of subjects with several
2 dementia subtypes. Primary endpoint results by
3 dementia subgroup were strongest in subjects with
4 Parkinson's disease dementia or PDD. The applicant
5 has conducted a series of post hoc analyses
6 intended to show that pimavanserin's effect in the
7 ADP subgroup is consistent with that in the PDD
8 subgroup.

9 As previously noted, the applicant is citing
10 the prior approval of pimavanserin for the
11 treatment of hallucinations and delusions
12 associated with Parkinson's disease psychosis as
13 evidence to support this application. It is common
14 for companies to seek additional related
15 indications following an initial approval. The
16 agency considers that related initial indication as
17 a source of evidence for subsequent supplemental
18 applications, so often requires only a single
19 additional study in the new population. The
20 applicant asserts that ADP and PDP should be
21 considered closely related conditions.

22 The design of Study 045 was based on the

1 a priori assumption that this approach was
2 reasonable, and the agency agreed with that
3 approach. Although there are differences in the
4 pathophysiology of Alzheimer's and Parkinson's
5 disease, psychotic symptoms are present in both.
6 However, the physiological underpinnings of
7 psychosis in each condition are unknown.
8 Nonetheless, the efficacy of pimavanserin in
9 Parkinson's disease psychosis contributes to a
10 prior expectation of benefit in a related condition
11 such as Alzheimer's disease psychosis.

12 On face, the subgroup results of Study 045
13 may suggest differences in treatment response;
14 however, the successful outcome of Study 019 may
15 also suggest that these observed subgroup
16 differences in Study 045 are not indicative of a
17 lack of efficacy in Alzheimer's disease psychosis.

18 The issues I've outlined thus far are all
19 related to the evidence supporting effectiveness.
20 Safety will not be a focus of today's discussion.
21 The findings from the supplemental new drug
22 application development program are largely

1 consistent with the known safety profile of
2 pimavanserin, and we do not have any concerns
3 related to safety that would preclude approval.

4 So the charge to the committee today is to
5 discuss the evidence supporting the effectiveness
6 of pimavanserin for the treatment of hallucinations
7 and delusions in Alzheimer's disease psychosis,
8 including the strengths, limitations, and potential
9 contribution of Study 019, Study 045, and the prior
10 approval of pimavanserin for the treatment of
11 hallucinations and delusions associated with
12 Parkinson's disease psychosis.

13 Following that discussion, we will ask for
14 your vote on the question, does the available
15 evidence support a conclusion that pimavanserin is
16 effective for the treatment of hallucinations and
17 delusions in Alzheimer's disease psychosis. Thank
18 you.

19 (Pause.)

20 DR. NARENDRAN: I apologize. There's
21 another section I have to read.

22 Both the FDA and the public believe in a

1 transparent process for information gathering and
2 decision making. To ensure such transparency at
3 the advisory committee meeting, FDA believes that
4 it is important to understand the context of an
5 individual's presentation.

6 For this reason, FDA encourages all
7 participants, including the applicant's
8 non-employee presenters, to advise the committee of
9 any financial relationships that they may have with
10 the sponsor such as consulting fees, travel
11 expenses, honoraria, and interest in the sponsor,
12 including equity interests and those based upon the
13 outcome of this meeting.

14 Likewise, FDA encourages you at the
15 beginning of your presentation to advise the
16 committee if you do not have any such financial
17 relationships. If you choose not to address this
18 issue of financial relationships at the beginning
19 of your presentation, it will not preclude you from
20 speaking.

21 We will now proceed with presentations from
22 Acadia Pharmaceuticals.

1 **Applicant Presentation - Daryl DeKarske**

2 MR. DeKARSKE: Members of the
3 Psychopharmacologic Drugs Advisory Committee and
4 members of the FDA, my name is Daryl DeKarske, and
5 I'm the head of Regulatory Affairs and
6 Translational Sciences at Acadia. Thank you for
7 the opportunity to introduce the pimavanserin
8 development program supporting our resubmission
9 application for pimavanserin for the treatment of
10 hallucinations and delusions associated with
11 Alzheimer's disease psychosis.

12 Unlike currently available multireceptor
13 acting antipsychotic drugs that primarily act by
14 dopamine receptor blockade, pimavanserin
15 selectively targets serotonergic 5-HT2A receptors
16 as an inverse [inaudible - audio gap].

17 Acadia studied pimavanserin for the
18 potential to treat psychosis in patients with
19 Parkinson's disease, or PDP, without adversely
20 impacting their motor function, a core symptom of
21 Parkinson's disease. This profile was demonstrated
22 in a phase 3 study in patients with PDP, and based

1 on these results, the FDA granted pimavanserin a
2 breakthrough therapy designation.

3 In April 2016, FDA approved pimavanserin
4 34 milligrams once daily for the treatment of
5 hallucinations and delusions associated with PDP
6 under the trade name Nuplazid. Today, we'll
7 discuss the data supporting a proposed indication
8 for pimavanserin 34 milligrams once daily for the
9 treatment of the hallucinations and delusions
10 associated with Alzheimer's disease psychosis or
11 ADP.

12 Evidence of pimavanserin's effectiveness for
13 the newly proposed ADP indication comes from three
14 independent placebo-controlled clinical studies:
15 Positive Study 019, which demonstrated a clinically
16 meaningful benefit in patients with ADP;
17 confirmatory evidence of effectiveness from
18 Positive Study 020 in patients with PDP, a closely
19 related indication, and Study 020 was the basis of
20 the FDA approval of pimavanserin for the treatment
21 of PDP, and the pimavanserin treatment effect in
22 ADP patients will be evidenced in the presented

1 clinical study data as being consistent with that
2 observed in PDP patients; and supportive evidence
3 from Positive Study 045 in patients with
4 dementia-related psychosis, or DRP, in which
5 pimavanserin-treated patients showed a highly
6 statistically significant reduction of risk of
7 psychosis relapse.

8 Patients with ADP [inaudible - audio
9 gap] -- subgroup evaluated, and although not
10 statistically significant, showed a clinically
11 meaningful reduction in the risk of psychosis
12 relapse. We also saw consistent evidence of
13 efficacy across multiple support of analyses in the
14 ADP subgroup.

15 Relevant FDA guidance states that
16 effectiveness can be established by one adequate
17 and well-controlled clinical study in a new
18 indication for an approved drug supported by
19 confirmatory evidence that comes from existing
20 adequate and well-controlled clinical study data
21 that demonstrated the effectiveness of that same
22 drug for another closely related approved

1 indication.

2 Historical context helps to put into
3 perspective the path taken for the proposed ADP
4 indication. Shortly after the approval of
5 pimavanserin for PDP, Positive Study 019
6 [inaudible] results in patients with ADP became
7 available. Importantly, we also observed that
8 pimavanserin's treatment effect did not negatively
9 impact a core symptom in these Alzheimer's disease
10 patients' cognition.

11 Acadia aligned with the FDA on a development
12 plan to support a broad indication for the
13 treatment of DRP, specifically a randomized
14 withdrawal Study 045. The goal of Study 045 was to
15 demonstrate pimavanserin's efficacy for treating
16 psychosis regardless of the underlying dementia
17 diagnosis, consistent with the clinical
18 understanding of overlapping pathology and
19 psychotic symptoms among dementia subgroups. The
20 study design also had the benefit of mimicking the
21 way patients with DRP are treated in the real
22 world. It would limit the duration of potentially

1 ineffective therapy and would also assess
2 pimavanserin's maintenance of efficacy.

3 The primary analysis evaluated risk of
4 relapse in the overall DRP population. The
5 percentage of patients among the dementia subgroups
6 was targeted to be representative of their
7 epidemiological prevalence. As a result of a
8 prespecified interim efficacy analysis that showed
9 a highly statistically significant reduction of the
10 risk of relapse, the independent data monitoring
11 committee recommended stopping Study 045 early.
12 Acadia shared the study results with FDA at a
13 [inaudible].

14 In April 2021, FDA's complete response
15 letter described concerns regarding a potential
16 differential pimavanserin treatment effect among
17 the dementia subgroups in Study 045. Although the
18 study was not designed to evaluate the risk of
19 relapse in individual dementia subgroups, a robust
20 treatment effect in the Parkinson's disease
21 dementia subgroup was noted, along with a lack of
22 statistical separation in the other dementia

1 subgroups. Concerns regarding the design and
2 conduct of Study 019 were also raised.

3 Following the complete response letter,
4 Acadia presented to FDA sensitivity analyses for
5 Study 019 that confirmed the primary endpoint
6 conclusions, as well as the consistency of
7 treatment effect observed in Study 020. We note
8 that FDA has indicated in their briefing document
9 that Study 019 was designed with features that
10 could allow it to be considered adequate and well
11 controlled, and that the sensitivity analyses
12 Acadia conducted would allow for FDA to rely on the
13 data for regulatory decision making.

14 With respect to Study 045, Acadia also
15 presented to FDA new analyses that supported both a
16 consistent and clinically meaningful pimavanserin
17 treatment effect across the dementia subgroups,
18 including in the ADP subgroup. Further, these
19 analyses indicated a basis for their robust
20 findings in the Parkinson's disease dementia
21 subgroup. FDA expressed a readiness to review a
22 resubmission in support of a treatment of ADP

1 indication, and in February of this year, Acadia
2 resubmitted.

3 The pimavanserin clinical study efficacy
4 data we provided in our resubmission, and which we
5 will present today, demonstrate that pimavanserin
6 is a [inaudible], and the risk of relapse,
7 according to multiple clinical studies and
8 measures, without adversely [indiscernible]
9 impacting cognition or motor function.

10 Importantly, since the approval [inaudible]
11 of pimavanserin for the treatment of PDP, an
12 expanded clinical safety data set is now available
13 and corroborates a favorable and differentiated
14 safety profile. Further, six years of Nuplazid
15 postmarketing experience and greater than
16 44,000 patients with PDP provides continued
17 reassurance of its favorable safety profile.
18 Although safety is not a focus of today's meeting,
19 it is important to consider pimavanserin's
20 differentiated safety profile to inform the overall
21 positive benefit-risk in the context of the current
22 treatment landscape and high unmet medical need of

1 patients with ADP.

2 You will hear later in our presentation how
3 much distress psychosis can cause patients with ADP
4 and the result in accelerated nursing home
5 placement and increased risk of morbidity and
6 mortality. Unfortunately, there remains no FDA
7 approved treatment for patients with ADP. While
8 Nuplazid is the only drug approved in the U.S. to
9 treat PDP, payors require a strict diagnosis of PD
10 for insurance coverage. Consequently, we see
11 virtually no off-label prescriptions for uses
12 outside of PDP.

13 Today, healthcare providers are left to
14 consider off-label use in available multireceptor
15 acting antipsychotics, which have not demonstrated
16 efficacy in ADP and are associated with potentially
17 serious safety issues, including adverse impacts on
18 cognition and motor function.

19 With this introduction in mind, here is the
20 agenda for our presentation. Dr. Tariot will
21 discuss the urgent unmet medical need for effective
22 treatment of patients with ADP. Dr. Ballard and

1 Dr. Hendrix will describe the pimavanserin clinical
2 study efficacy results, as well as the supportive
3 analyses across these studies. Dr. Turner will
4 then briefly review key aspects of pimavanserin's
5 safety profile; and Dr. Stankovic will present the
6 benefit-risks in [indiscernible - audio gap].

7 I will now invite Dr. Tariot to review the
8 unmet medical need.

9 **Applicant Presentation - Pierre Tariot**

10 DR. TARIOT: Thank you. Good morning. I'm
11 Pierre Tariot. I'm an internist and geriatric
12 psychiatrist, and director of the nonprofit Banner
13 Alzheimer's Institute. I was also closely involved
14 in the 045 trial, the randomized withdrawal study
15 in dementia-related psychosis.

16 It's a privilege to speak with you today to
17 share some of the background on Alzheimer's disease
18 psychosis and the current unmet need that these
19 patients, their families, and their loved ones
20 face. I have been caring for and studying patients
21 with Alzheimer's disease and other dementias for
22 more than 30 years, and I can tell you very simply

1 that if left untreated, psychosis has significant
2 and sometimes devastating consequences for our
3 patients; but as you heard, there are no approved
4 treatments for Alzheimer's disease psychosis, and
5 current off-label options are woefully inadequate
6 and often cause [inaudible].

7 Let me begin with a little level setting.
8 Quote, "Dementia is a common clinical syndrome that
9 involves crippling cognitive impairment and adverse
10 effects on social, occupational, and even basic
11 aspects of functioning." Dementia affects at least
12 7.9 million Americans and, as you've heard, there
13 are various subtypes of dementia, with Alzheimer's
14 being the most common, accounting for nearly
15 70 percent of cases.

16 The other common forms of dementia are
17 vascular dementia, Lewy bodies dementia,
18 Parkinson's disease, and frontotemporal dementia.
19 Bear in mind that the different dementias can have
20 overlapping pathologies, including Alzheimer's and
21 Parkinson's disease, and that older persons with
22 advanced dementia can have more than one pathology.

1 So these conditions are closely related to one
2 another, and my own experience conforms with the
3 epidemiological data.

4 Next, neuropsychiatric signs [inaudible] at
5 some point in the course of illness, although their
6 frequency time to onset, pattern, and severity do
7 vary from patient to patient. Among these
8 neuropsychiatric features, psychosis is
9 particularly important. This term refers
10 specifically to hallucinations or delusions, which
11 occurs secondary to the underlying disease.

12 Now let's focus just on Alzheimer's. About
13 30 percent of these patients experience psychosis
14 at any given time. This psychosis injures with
15 generally waxing and waning symptoms that gradually
16 increase in severity over time, leading to loss of
17 independence, as well as increased distress and
18 burden to the patient, the family, and caregivers.

19 The distortion of reality, a core feature of
20 psychosis, can further compound the disorientation
21 that patients experience as their cognitions
22 declines, leading to further distress. These and

1 other neuropsychiatric signs and symptoms also lead
2 to decreased quality of life, and as you've heard,
3 earlier progression to nursing home care, severe
4 dementia, and even death.

5 The takeaway is that there is a close
6 relationship between the clinical manifestations of
7 Alzheimer's disease psychosis and morbidity, and
8 mortality. However, despite this close
9 relationship, as you've heard, there are currently
10 no approved drugs in the U.S. for the treatment of
11 patients with Alzheimer's disease psychosis.

12 Now, moving on to management, it's
13 considered best practice to begin with
14 non-pharmacological interventions, which I do
15 always attempt. But such methods fail commonly,
16 leaving us clinicians with little choice other than
17 to deploy pharmacological interventions; namely
18 antipsychotic agents.

19 Based on clinical indications, antipsychotic
20 agents are used for patients whose symptoms are
21 frequent, severe, dangerous, and cause significant
22 distress. One review of Medicare claims data from

1 2008 to '16 showed that roughly two-thirds of
2 patients with dementia-related psychosis were
3 prescribed an antipsychotic off label. But these
4 agents come with significant limitations. Imagine
5 feeling that you must choose a medication with
6 limited [indiscernible] efficacy at best, and an 80
7 to 90 percent chance of toxicity because there are
8 no alternatives.

9 The toxicities are due, in part, to the
10 blockade of dopaminergic, histaminergic, and
11 muscarinic receptors contributing to cognitive
12 impairment and increased mortality, among many
13 other adverse effects. Let me briefly discuss the
14 risk-benefit data for these agents.

15 To highlight an example of the limited
16 efficacy and high discontinuation rate we see with
17 atypical antipsychotics, I show here the key
18 findings from the CATIE-AD trial published in the
19 New England Journal. It was the largest
20 NIH-funded, randomized, placebo-controlled trial of
21 the effectiveness of atypical antipsychotics for
22 psychosis, agitation, and aggression in persons

1 clinically diagnosed with Alzheimer's disease.

2 Three antipsychotics and placebo were
3 compared. There were no significant differences
4 among treatments with regard to time to
5 discontinuation of treatment for any reason, which
6 was the primary [indiscernible] outcome, shown on
7 the left here. Likewise, a key secondary outcome,
8 the time to discontinuation of treatment due to
9 adverse event, intolerability, or death, favored
10 placebo, as shown on the right.

11 Together with Dr. Lon Schneider, I was the
12 co-principal investigator of the study, as well as
13 the site principal investigator. The first patient
14 that I enrolled was an aged woman being cared for
15 at home by her son. She gradually developed a
16 fixed and increasingly frightening delusion that he
17 was going to harm her. This came to a crisis one
18 day when she pushed him through a plate glass
19 window and attacked him with a fireplace poker.
20 The ambulance brought her to our clinic at the
21 family's request.

22 This case is not an exaggeration; it is an

1 example of what clinicians, patients, and families
2 in the real world are struggling with. This is why
3 we sometimes feel that we have no choice but to
4 prescribe atypical antipsychotics, but you can see
5 how limited their effectiveness is.

6 The CATIE-AD study also showed that these
7 drugs were associated with significant decline in
8 cognition, shown by worsening on the Mini-Mental
9 Status Examination. The participants on drug
10 declined an average of 2.4 points more than those
11 on placebo over the 6-week study, equivalent to the
12 decline typically seen over one year in dementia.
13 I also want to point out that treating physicians
14 in the trial were likely to switch medications
15 quickly due to lack of efficacy or to adverse
16 effects.

17 So as this trial illustrates, despite the
18 hope that [indiscernible] antipsychotic drugs would
19 be more [inaudible] their use, only a small
20 fraction of patients had both benefit and few or no
21 side effects. The data from this study illustrate
22 what's seen in the rest of the literature

1 [inaudible] high.

2 In addition, antipsychotic drugs increased
3 the risk of death in elderly patients with
4 dementia-related psychosis. The mortality data
5 here comes from the 2005 meta-analysis by Lon
6 Schneider and colleagues, showing increased risk in
7 all-cause mortality. [Inaudible].

8 The FDA had previously conducted its own
9 meta-analysis and came to the same conclusion.
10 These pronounced negative impacts of [inaudible]
11 and cognitive function are reflected in product
12 labeling, as well as our use in clinical practice.

13 [Indiscernible], the American Psychiatric
14 Association guidelines recommend judicious use of
15 antipsychotics when non-pharmacological therapy
16 alone has been effective. An individualized
17 treatment plan involving a full discussion of
18 benefits and risks is developed with each patient
19 and family. The medication is started off label,
20 and if no significant response is seen after
21 4 weeks, it's to be withdrawn. Even if the patient
22 does respond, we make the decision with the patient

1 and family to attempt to taper and ideally withdraw
2 the medication as soon as possible because of its
3 known toxicities, hopefully within 4 months of
4 treatment initiation.

5 So this is the clinical context for today's
6 presentation. We constantly are faced with the
7 predicament of not treating patients who are
8 desperately in need [inaudible] -- and marginal,
9 noting the APA, marginal efficacy at
10 best -- [indiscernible] available and highly
11 problematic options. Their condition is serious,
12 and the symptomatic consequences can be life
13 altering.

14 Effective and safe treatment of these
15 symptoms means relief of distress, restoration of
16 dignity, and allowing our patients to remain home
17 instead of being institutionalized. This is what
18 is at stake. It would be [inaudible] of our
19 patients and their families, even to the healthcare
20 system at large, in that therapeutic option
21 [inaudible] efficacious, if not more so, than
22 available antipsychotics, and one that was not

1 associated with their significant toxicities. It
2 would also be immensely valuable if that safe and
3 effective [inaudible] recognized by health
4 authorities as appropriate for clinical use. Thank
5 you for your attention, I'll now invite Dr. Clive
6 Ballard to speak to Studies 019 and 020.

7 **Applicant Presentation - Clive Ballard**

8 DR. BALLARD: Thank you. Good morning. I'm
9 Clive Ballard, an academic, old-age psychiatrist
10 from the UK. I've been researching dementias for
11 most of my career and have led many of the pivotal
12 randomized clinical trials, focusing on
13 pharmacological and non-pharmacological treatments
14 for psychosis and other neuropsychiatric symptoms
15 in people with dementia. I've also led much of the
16 work demonstrating the limited benefit and
17 significant harms of atypical antipsychotics in
18 these individuals.

19 I know firsthand, from my work with
20 patients, that the related psychosis across
21 dementias can be devastating and debilitating, and
22 I'm passionate about the development of safer and

1 more effective treatment for this most vulnerable
2 group of patients.

3 Whilst I treat patients in the United
4 Kingdom, much of the practice is the same, and we
5 experience the same treatment gaps that are evident
6 in the United States. I was the principal
7 investigator of Study 019, chaired the steering
8 committee, and was closely involved in the design
9 of Study 020. The evidence of efficacy for
10 pimavanserin in ADP is primarily derived from those
11 positive studies. Further supportive evidence
12 comes, for efficacy, from Study 045 in patients
13 with dementia-related psychosis and additional
14 post hoc analyses of the Alzheimer's disease
15 psychosis subgroup, supporting consistent benefit
16 of pimavanserin treatment.

17 I will discuss biological and clinical
18 evidence regarding the close relationship between
19 Alzheimer's disease psychosis and Parkinson's
20 disease psychosis, and data from Studies 019 and
21 020, providing evidence for the efficacy of
22 pimavanserin in Alzheimer's disease psychosis.

1 Additionally, I would like to address some
2 pertinent discussion points raised by FDA
3 colleagues, namely the validity and reliability of
4 the neuropsychiatric inventory as the primary
5 outcome measure in Study 019; the meaningfulness,
6 relevant and consistent pattern of the treatment
7 effect; the durability of the effect when
8 controlling for the natural fluctuations in
9 Alzheimer's disease psychosis severity; and the
10 lack of separation on the secondary outcomes for
11 symptoms other than hallucinations or delusions.

12 Firstly, let me discuss the relationship
13 between Alzheimer's disease psychosis and
14 Parkinson's disease psychosis. Mechanistically,
15 there are substantial similarities between
16 Parkinson's disease psychosis and Alzheimer's
17 disease psychosis. Common brain regions are
18 involved in both conditions. For example, visual
19 hallucinations are associated with hypometabolism
20 or greater atrophy in the occipital cortex and
21 visual association areas of the temporal cortex in
22 both disorders, based on neuroimaging and

1 post-mortem studies.

2 The serotonergic system has been highlighted
3 as a key neurochemical underpinning in both
4 disorders, based on neuroimaging, post-mortem, and
5 genetic polymorphism studies. In addition, there
6 is considerable pathological overlap. More than
7 90 percent of people with Parkinson's disease
8 dementia have significant Alzheimer's pathology,
9 and even in the absence of dementia, almost all
10 people with Parkinson's disease have at least some
11 amyloid plaque pathology.

12 The clinical picture is also similar in
13 regard to the psychotic symptoms experienced by
14 people with Alzheimer's disease and Parkinson's
15 disease, which are clearly distinct from major
16 psychotic disorders such as schizophrenia. In both
17 conditions, most hallucinations are in the visual
18 modality usually of people, animals, or strangers;
19 the latter often accompanied by the delusional
20 belief that strangers are living in the house.

21 Although the presentation is extremely
22 similar, visual hallucinations do have a higher

1 frequency and are less likely to spontaneously
2 recover in people with Parkinson's disease. In
3 both Alzheimer's disease psychosis and Parkinson's
4 disease psychosis, delusions are simple with common
5 themes such as theft, harm, and infidelity.

6 Much of my work is focused on understanding
7 the natural history of psychosis in people with
8 Alzheimer's disease, which shows a fluctuating
9 pattern of recovery followed by relapse or the
10 emergence of new psychotic symptoms. We found that
11 68 percent of people recover from their psychotic
12 symptoms by week 12, but the majority of these
13 individuals experience a relapse or the emergence
14 of new psychotic symptoms over the subsequent
15 6 to 12 months.

16 Typically across clinical trials in this
17 area, there is approximately a 50 percent
18 short-term improvement in the placebo group,
19 probably driven by a Hawthorne effect. These two
20 effects combined make designing trials for
21 Alzheimer's disease psychosis challenging, and to
22 measure acute treatment response, 6 weeks is the

1 optimal time point for primary assessment.

2 Study 019 in patients with Alzheimer's
3 disease psychosis was conducted in 133 nursing care
4 homes with 20 medical subinvestigators in the UK.
5 Brief psychosocial therapy was administered during
6 the screening period to mirror clinical guidelines
7 and ensure they're only patients requiring a
8 pharmacological treatment and to the randomized
9 period of the study.

10 Patients were randomized 1 to 1 to
11 pimavanserin 34 milligrams once daily or placebo.
12 The primary endpoint was changed from baseline to
13 week 6. In the Neuropsychiatric-Nursing Home
14 Version Psychosis Score, 6 weeks was chosen as the
15 optimal time point to assess pimavanserin's effect
16 on the speed of symptom recovery. Further
17 assessments from weeks 6 through week 12 were
18 included principally to address adverse cognitive
19 and global effects with treatment, an important
20 objective given the well-documented adverse impact
21 of dopaminergic atypical antipsychotics on
22 cognition, mobility, and motor function.

1 The Neuropsychiatric Inventory was chosen as
2 the primary measure, based on extensive reliability
3 and validation studies and its use in more than
4 300 clinical studies in Alzheimer's disease. Of
5 particular note, the Neuropsychiatric Inventory has
6 good concurrent validity with other measures of
7 Alzheimer's disease psychosis.

8 The scale has 12 domains, two of which
9 measure psychosis, hallucinations, and delusions,
10 respectively. Each domain assesses both symptom
11 severity and frequency to produce a total maximum
12 score of 24 for delusions and hallucinations.
13 Whilst the total score is a multiple of frequency
14 and severity, the frequency and severity scores can
15 be examined separately to give a clear picture of
16 the benefit for specific individuals.

17 Raters were thoroughly trained on the
18 Neuropsychiatric Inventory. To mitigate any
19 potential for expectancy bias, different raters
20 were utilized at consecutive visits for the same
21 patient. Neuropsychiatric Inventory raters were
22 centrally trained by MedAvante. More than 200 NPI

1 assessments were audio recorded to check and ensure
2 high quality. Regular calibration assessments were
3 performed to confirm adherence to standardized
4 procedures with feedback to raters. The raters
5 also received regular refresher training.

6 In addition, the informants were all care
7 staff who knew the individual participants well,
8 and all care staff were trained in the
9 Neuropsychiatric Inventory to improve the quality
10 of the informant information. These thorough
11 procedures produced high inter-rater reliability
12 while in excess of 0.9, which is exceptional for a
13 measure of neuropsychiatric symptoms.

14 Now turning to the results, the study
15 involved an elderly and frail population with a
16 mean age of 86 years and a modest ethnic diversity
17 representative of UK nursing homes. The patients
18 included a representative of a care home population
19 with severe dementia and reflect those patients
20 most in need of treatment. These patients suffered
21 from many comorbidities, and this is in fact one of
22 only four studies that has focused on psychosis

1 treatment in people with severe dementia.

2 The study met its primary endpoint,
3 demonstrating a statistically significant greater
4 mean reduction from baseline to week 6 in the
5 Neuropsychiatric Inventory Psychosis Score for
6 pimavanserin compared to placebo. The standardized
7 effect size versus placebo was a Cohen's d of 0.32,
8 which is clinically meaningful and compares
9 favorably with previous studies of atypical
10 antipsychotics, where effect sizes are less than
11 0.2.

12 We also evaluated the number of people
13 achieving 30 percent and 50 percent improvement on
14 the Neuropsychiatric Inventory Psychosis Score.
15 The threshold is usually considered to represent
16 meaningful benefit in studies of neuropsychiatric
17 symptoms in people with Alzheimer's disease. The
18 study demonstrated statistically significant
19 benefit at week 6 for participants with Alzheimer's
20 disease psychosis treated with pimavanserin
21 compared to those receiving placebo for both
22 thresholds, with the numbers needed to treat, or

1 NNTs, of 6 and 7 at 30 percent and 50 percent,
2 respectively.

3 For context, the 18 percent greater number
4 of people improving by 30 percent relative to
5 placebo compares favorably with an 11 percent
6 advantage from a meta-analysis in studies of
7 atypical antipsychotics, and importantly, benefits
8 compared to placebo are also seen across the full
9 spectrum of improvement.

10 To further put these data into context and
11 help illustrate the tangible benefits for patients
12 and their caregivers, I've split the NPI data to
13 show the frequency and severity scores for
14 improvement. First in delusions, 25 percent of
15 people treated with pimavanserin improved by 3 or
16 4 points on frequency, representing a change from
17 multiple times a day to less than once a week, and
18 30 percent of people treated with pimavanserin
19 improved by 2 or 3 points on severity, representing
20 a change from severe distress to no distress.

21 For both frequency and severity, these are
22 highly impactful changes that give patients and

1 caregivers significant relief from the terrible
2 burden of psychosis, moving patients towards fewer
3 weekly symptoms and less severe distress.

4 Less participants experienced hallucinations
5 at baseline, and they were generally less severe
6 than the delusions. Nevertheless, we see
7 meaningful improvement in both frequency and
8 severity of hallucinations with tangible benefits
9 for patients and caregivers.

10 Now let's review the subgroup analyses. All
11 subgroups favor pimavanserin and support the
12 primary analysis, including by dementia severity
13 and Alzheimer's disease psychosis that has
14 previously required treatment with atypical
15 antipsychotics. Whilst the benefit observed in the
16 less severe group was modest, there was a
17 particularly favorable response in people with
18 severe psychosis, those patients most in need.

19 Eighty-nine of participants with severe
20 psychosis at baseline achieved clinically
21 meaningful benefit on pimavanserin compared to
22 43 percent on placebo. The effect size was

1 substantially higher, greater than 0.7, a large
2 effect size representing a 4.43-point advantage for
3 the pimavanserin-treated patients compared to
4 placebo, with an NNT of 3. Importantly, these data
5 suggest that those individuals with the most
6 frequent and most distressing symptoms are the
7 individuals who benefit the most from pimavanserin.

8 Assessments after 6 weeks were all
9 exploratory in nature and focused on safety. As
10 noted earlier, there's a substantial placebo effect
11 up to week 4, and we know from studies focusing on
12 the natural history of psychosis in people with
13 Alzheimer's disease that many patients
14 spontaneously recover over 12 weeks.

15 It is not, therefore, surprising that whilst
16 the benefits of pimavanserin for the treatment of
17 Alzheimer's psychosis were maintained to week 12,
18 there was also improvement in the placebo-treated
19 group by the week 12 time point, and there was no
20 significant difference between pimavanserin and
21 placebo at that later time.

22 To further address questions around the

1 12-week outcomes, we assessed time to improvement
2 for responders who improved by at least 30 percent
3 from baseline. The figure on the left shows
4 patients with symptom improvement at a single
5 time point. The figure on the right shows patients
6 with improvement confirmed for two consecutive
7 time points, indicating sustained improvement.

8 This analysis reduces the influence of
9 fluctuations in symptoms occurring as part of the
10 natural course of Alzheimer's disease psychosis and
11 highlights the individuals with a meaningful and
12 durable treatment response. This confirms the
13 significant acceleration of treatment response and
14 the extended benefit for pimavanserin over placebo
15 for the full 12 weeks of the study. Similar
16 results were also observed for responders who
17 experienced 50 percent or more symptom relief, with
18 even greater benefit over the full 12 weeks of the
19 study.

20 Now let's review secondary and exploratory
21 endpoints. The FDA briefing document highlighted
22 the absence of benefit on secondary outcomes, but

1 it's important to emphasize that none of the
2 secondary outcomes measured hallucinations and
3 delusions. Agitation as a commonly co-occurring
4 neuropsychiatric symptom was measured as a
5 secondary outcome, and no significant benefit was
6 identified overall.

7 It is interesting to note that in a
8 subsequent publication, we demonstrated a
9 significant benefit in agitation amongst people
10 with a 50 percent improvement in psychosis. I
11 would interpret this as an additional benefit in
12 treating psychosis rather than a primary effect on
13 agitation.

14 In the context of this study, the Clinical
15 Global Impression Scale was a completely global
16 outcome, encompassing cognition and function, as
17 well as psychosis and other neuropsychiatric
18 symptoms. This was mainly undertaken to evaluate
19 whether there were any detrimental outcomes on
20 overall function, and importantly, no detrimental
21 impact was observed.

22 Now let's look at cognitive function. As

1 Dr. Tariot pointed out, a decline in cognitive
2 function is a known side effect of currently used
3 off-label antipsychotics. Pimavanserin treatment
4 had no negative impact on cognitive function. In
5 Study 019, the Mini-Mental Status Examination, or
6 MMSE, was used to measure cognitive function at
7 baseline and throughout treatment. Here are the
8 results, demonstrating no decline in mean MMSE in
9 pimavanserin-treated patients or difference from
10 placebo-treated patients.

11 We also observed no negative effect on motor
12 function as measured by the Unified Parkinson's
13 Disease Rating Scale or UPDRS Part III. Please
14 note that on this scale, a decrease in score
15 signifies improvement. Scores remain consistent
16 over time, demonstrating no negative impact on
17 motor function, a significant benefit compared to
18 the impact observed with atypical antipsychotics.

19 To conclude, Study 019 demonstrated positive
20 and meaningful efficacy of pimavanserin in
21 Alzheimer's disease psychosis. The study met its
22 primary endpoint with clinically meaningful

1 treatment response; accelerated time to symptom
2 improvement; a consistent pattern of benefit across
3 subgroups and sensitivity analyses; and
4 importantly, severe patients experienced the
5 greatest benefits. Additionally, prespecified
6 safety endpoints demonstrated no impact on
7 cognition or motor function.

8 Now turning to efficacy evidence from
9 Study 020, which led to pimavanserin's FDA approval
10 in patients with Parkinson's disease psychosis,
11 briefly, Study 020 was a randomized, double-blind,
12 placebo-controlled outpatient study in patients
13 with Parkinson's disease psychosis, which as noted
14 is a closely related condition to Alzheimer's
15 disease psychosis, with similar clinical symptoms,
16 similar treatment response, and similar underlying
17 mechanisms for psychosis, with significant
18 pathological overlap.

19 Patients had a mean age of about 72 years.
20 Brief psychosocial treatment was, again, utilized
21 during this screening period. Participants meeting
22 eligibility criteria at the end of screening were

1 randomized 1 to 1 to either placebo or pimavanserin
2 34 milligrams once daily for the duration of the
3 double-blind treatment period.

4 The primary efficacy endpoint was the mean
5 change in the SAPS-PD score from baseline to
6 week 6. The SAPS-PD is derived from the
7 well-established scale for the assessment of
8 positive symptoms to evaluate hallucinations and
9 delusions. Its assessment approach is similar to
10 the Neuropsychiatric Inventory used in Study 019.

11 The treatment difference, based on all
12 randomized patients, was 3.06 points with a
13 Cohen's d effect size of 0.50. Of note, patients
14 with cognitive impairment at baseline experienced
15 an even greater pimavanserin treatment effect
16 compared to placebo.

17 Now let's review Study 020 results in
18 relation to Study 019. The outcomes from
19 Studies 019 and 020 show a consistent treatment
20 effect. In both studies, patients treated with
21 pimavanserin experienced about 2 times greater
22 improvement in symptoms at 6 weeks as compared to

1 placebo groups. In addition, it is important to
2 note the similarity in placebo response in both
3 studies, reinforcing the discussion earlier about
4 the consistent and substantial placebo response
5 over the first 4 weeks in randomized-controlled
6 trials focusing on Parkinson's disease psychosis
7 and Alzheimer's disease psychosis.

8 The similarity in treatment benefit is
9 further illustrated in the responder analysis,
10 examining clinically meaningful improvement.
11 Pimavanserin-treated patients experienced more
12 symptom reduction compared to placebo at both
13 30 and 50 percent improvement cutoffs in both
14 Alzheimer's psychosis and Parkinson's disease
15 psychosis.

16 To conclude, Studies 019 and 020 provide
17 evidence of efficacy, supporting pimavanserin for
18 the treatment of patients with Alzheimer's disease
19 psychosis. Study 019 was an adequate and
20 well-controlled study that greatly informed our
21 understanding of patients with Alzheimer's disease
22 psychosis. It met its endpoint, demonstrating

1 statistically significant, but more importantly,
2 clinically meaningful reductions in psychosis
3 symptoms for elderly patients with substantial
4 comorbidities, and was especially effective in
5 those with severe symptoms.

6 Study 020 provides confirmatory evidence in
7 the closely related condition of Parkinson's
8 disease psychosis. The consistent treatment
9 response between these two studies supports a
10 common clinical presentation of psychosis and a
11 similar response to effective antipsychotic
12 treatment. Pimavanserin would be a substantial
13 advance in the treatment of our patients with
14 Alzheimer's disease psychosis and would address a
15 critical unmet need.

16 Thank you for your time. I'll now turn the
17 presentation to Dr. Hendrix.

18 **Applicant Presentation - Suzanne Hendrix**

19 DR. HENDRIX: Thank you, and good morning.
20 I'm Suzanne Hendrix, a statistical consultant who
21 has specialized in neurodegeneration for the past
22 19 years. I will first describe data from

1 Study 045, and then review exploratory analyses
2 that provide additional supportive evidence of
3 pimavanserin's effect in patients with ADP.

4 Study 045 evaluated the durability of effect
5 in pimavanserin in patients with dementia-related
6 psychosis or DRP. The study was a double-blind,
7 placebo-controlled, randomized withdrawal design,
8 treating all patients first in a 12-week open-label
9 period, and then randomizing patients to continue
10 treatment or switch to placebo. This mirrors
11 clinical practice and assesses durability of
12 effects.

13 All patients began on pimavanserin 34 mg
14 once daily with the possibility of an early
15 adjustment to 20 mg. Patients who exhibited a
16 response at both weeks 8 and 12 were then
17 randomized in the double-blind period. The primary
18 efficacy endpoint was time from randomization to
19 relapse of psychosis, based on blinded independent
20 adjudication in the double-blind period.

21 Aligned with FDA, a prespecified interim
22 efficacy analysis was performed after 40 relapse

1 events, using an O'Brien-Fleming stopping boundary
2 of a one-sided. alpha 0.0033. All analyses were
3 prespecified for the ITT analysis set in all DRP
4 patients.

5 This study was conducted in an elderly
6 population, two-thirds of whom had Alzheimer's
7 disease. The SAPS-H+D and MMSE scores reflect
8 moderate dementia and moderate to severe psychosis.
9 The baseline demographic and disease
10 characteristics were similar at randomization and
11 were also balanced between the pimavanserin and
12 placebo groups prior to the double-blind period.

13 During the open-label period, pimavanserin
14 treatment resulted in substantial improvement in
15 psychotic symptoms, as shown in this figure, with a
16 mean reduction of nearly 20 points on the SAPS-H+D
17 score. Additionally, the improvements observed in
18 the ADP and PDD subgroups were very similar to the
19 overall DRP population. Approximately 60 to
20 70 percent of patients experienced sustained
21 response and were randomized into the double-blind
22 period. Complete symptom resolution was achieved

1 in approximately 20 percent of patients overall.

2 The study met the primary endpoint at the
3 interim efficacy analysis with a 2.8-fold risk
4 reduction and a p-value of 0.002. The hazard ratio
5 was 0.35, showing pimavanserin significantly
6 reduced the risk of relapse of psychosis in the
7 overall DRP population, meeting the prespecified
8 stopping criteria. The independent data monitoring
9 committee recommended stopping the study due to
10 this robustly positive efficacy finding.

11 Additionally, the study met the key
12 secondary endpoint of time to all-cause
13 discontinuation, a measure of both efficacy and
14 tolerability, demonstrating significantly lower
15 discontinuation with pimavanserin versus placebo.

16 The study was positive overall in DRP, and
17 we additionally explored the contribution of
18 dementia subgroups although the study wasn't
19 powered to show statistical differences. In
20 patients with ADP, we observed a hazard ratio of
21 0.62, consistent with a clinically meaningful
22 40 percent reduction in risk that was not

1 statistically significant. For patients with PDD,
2 which represented about 18 percent of the overall
3 population, the hazard ratio was 0.05,
4 corresponding to a remarkably high 95 percent
5 reduction in risk.

6 Based on these results, the FDA questioned
7 the originally planned broad indication for the
8 treatment of DRP. We also wanted to understand why
9 PDD performed better than the other dementia
10 subgroups.

11 Due to the exploratory nature of these
12 analyses, all p-values are nominal and used for
13 descriptive purposes only. The Kaplan-Meier plot
14 on the left shows the placebo group, which has
15 clear heterogeneity between PDD and the other
16 subgroups, but on the right for pimavanserin, we
17 see homogeneous maintenance of response across
18 dementia subgroups.

19 These results suggest that the dementia
20 subgroups differ in pattern of response only when
21 pimavanserin is withdrawn, resulting in the larger
22 treatment difference observed for PDD. This faster

1 relapse rate after treatment withdrawal for
2 patients with PD is likely due to the use of
3 dopaminergic medication such as levodopa, which are
4 known to contribute to the psychosis symptoms.
5 Non-PDD patients taking these medications showed
6 consistent results with PDD.

7 Finally, the next several slides will focus
8 on support of exploratory results just within the
9 ADP subgroup. In addition to the ADP subgroup,
10 I'll present the ADP 34-mg subgroup, as 34 mg is
11 the dose that was used in the 019 and 020 studies,
12 and is the FDA-approved dose for the treatment of
13 PDP.

14 In Study 045, all patients who were
15 stabilized on pimavanserin 34 mg prior to
16 randomization were either randomized to stay on
17 pimavanserin 34 mg or switch to the corresponding
18 placebo arm. Nearly all patients were stabilized
19 on pimavanserin 34 mg; in fact, only 7 patients, or
20 6 percent, received pimavanserin 20 mg.

21 The full ADP subgroup demonstrated a nearly
22 40 percent reduction in the hazard of relapse,

1 corresponding to the Kaplan-Meier curve shown here
2 on the left; and on the right, the ADP 34-mg group
3 is shown with a 53 percent reduction in relapse
4 risk.

5 An exposure-response analysis was performed
6 to assess the relationship between PK exposure and
7 the risk of relapse, based on DRP and ADP
8 populations. In the ADP subgroup specifically,
9 this analysis gives a hazard ratio of 0.47,
10 corresponding to a 53 percent reduction in risk of
11 relapse at the median AUC.

12 We agree with the FDA's conclusion in their
13 briefing document that within ADP, higher PK
14 exposures were associated with a higher
15 relapse-free probability. This analysis indicates
16 that the treatment effect in the ADP subgroup is
17 due to a pharmacological effect of pimavanserin,
18 consistent with the original effects seen in the
19 prespecified primary analysis for overall DRP,
20 providing another source of evidence that supports
21 the effectiveness of pimavanserin in ADP patients.

22 In order to explore different responder

1 rates, we started by comparing the percentage of
2 patients within each treatment arm who did or did
3 not experience symptom worsening. You can see that
4 60 percent of pimavanserin patients did not worsen
5 compared to 48 percent on placebo. Among those who
6 did worsen, the opposite relationship is observed
7 on the right, with fewer patients on pimavanserin
8 experiencing any worsening.

9 When we further break down those who
10 worsened by additional thresholds of worsening, we
11 see that at all levels of worsening on the SAPS-H+D
12 scale, pimavanserin shows less worsening than
13 placebo; and in fact, at a 6, 9, or 12-point
14 threshold, pimavanserin has half as many patients
15 experiencing those higher levels of worsening,
16 consistent with a clinically meaningful effect.

17 Similarly, we observed this pattern of
18 response with continued pimavanserin treatment
19 versus placebo when assessing the CGI-I, a global
20 clinical assessment of psychosis, with the majority
21 of patients improving or remaining stable; again,
22 avoiding the clinically impactful worsening

1 observed in the placebo arm.

2 Exploratory endpoints also show consistent
3 benefit in Study 045 and reflect several
4 perspectives of patient well-being in addition to
5 the primary relapse criterion, which was assessed
6 by blinded raters. The SAPS-H+D is based on a
7 clinician's direct assessment of symptoms and the
8 CGI-I is a clinician's global assessment.

9 The Zarit Burden Inventory reflects the
10 caregiver's burden, and the Quality-of-Life Scale
11 on the bottom assesses quality of life of the
12 patient. The exploratory variables of SAPS-H+D and
13 CGI-I both achieve statistical significance for the
14 ADP subgroup. The last two exploratory outcomes,
15 Zarit Burden Inventory and Quality-of-Life Scale,
16 also show directionally consistent effects. All of
17 these perspectives show consistent and meaningful
18 effects supporting pimavanserin in ADP.

19 Realizing that this study wasn't powered to
20 assess dementia subgroups, and knowing the
21 potential for imbalances in subgroups, we conducted
22 a covariate adjusted model to correct for potential

1 confounding factors known to be clinically
2 important. Here I show the results of that model,
3 as well as several models proposed by my FDA
4 colleague.

5 It is important to note that regardless of
6 the approach used for covariate adjustment, the
7 resulting point estimates for hazard ratios are
8 consistent, and all fall within a clinically
9 meaningful range of 0.48 to 0.64 for the ADP
10 subgroup overall, and 0.35 to 0.49 for the 34-mg
11 dose, which is our target.

12 To summarize, the evidence of efficacy is
13 consistent and clinically meaningful. This
14 efficacy has been observed across studies,
15 including Study 019 in the target population of
16 ADP; Study 020 in a closely related condition of
17 PDP; and from Positive Study 045 in DRP, with
18 exploratory analyses in the large ADP subgroup that
19 positively inform our understanding of treatment
20 effect for pimavanserin.

21 The totality of efficacy data presented by
22 Dr. Ballard and myself support a true and

1 meaningful benefit of pimavanserin for patients
2 with ADP. Thank you. I'd now like to turn the
3 presentation to Dr. Turner to present the safety
4 data.

5 **Applicant Presentation - Mary Ellen Turner**

6 DR. TURNER: Thank you, Dr. Hendrix.

7 My name is Mary Ellen Turner, and I'm senior
8 vice president of pharmacovigilance and corporate
9 safety officer at Acadia. While we agree with the
10 FDA there are no safety issues to discuss, I'd like
11 to provide information regarding a favorable
12 tolerability and safety profile of pimavanserin to
13 inform the benefit-risk discussions.

14 Pimavanserin has a well-characterized and
15 favorable safety profile. Across the clinical
16 development program, more than 3,500 patients have
17 been exposed to pimavanserin. This expanded safety
18 data set includes the largest clinical program in
19 patients with neurodegenerative disease or NDD.
20 Pimavanserin's postmarketing experience spans more
21 than 6 years and 44,000 PDP patients. The safety
22 profile in the Alzheimer's disease population is

1 favorable and consistent with the known safety
2 profile of pimavanserin.

3 I will present the key safety and
4 tolerability features that differentiate
5 pimavanserin from the current standard of care.
6 These include favorable mortality trends, as well
7 as no negative impact on cognitive or motor
8 function.

9 Let's review mortality findings, which
10 included over 1,500 elderly patients from our
11 clinical trial program in NDD, comparing
12 pimavanserin with placebo patients. In the first
13 line, you will see the incident rate ratio of 1.02
14 for deaths within 30 days of last treatment
15 received.

16 For deaths within the study intended
17 treatment period plus 30 days, the incident rate
18 ratio is 1.28. For clarity, the difference between
19 these two analyses is 2 patient deaths that
20 occurred more than 30 days after discontinuing
21 therapy, but still within the intended study
22 period. Both show wide confidence intervals due to

1 small sample size relative to our postmarketing
2 experience.

3 These mortality rates are lower than the
4 mortality rates in the original PDP safety data
5 set, and as our clinical safety data set increases
6 in size, the mortality point estimate has become
7 more precise and trends towards placebo.
8 Additionally, since pimavanserin's approval in
9 2016, Acadia has closely monitored the safety
10 profile in the postmarketing setting.

11 Large observational studies comparing
12 pimavanserin mortality rates with antipsychotics
13 used off-label provide real-world evidence in
14 populations that complement the mortality analysis
15 of pimavanserin from clinical trials.

16 Here we present two recent large Medicare
17 claims data studies of mortality in patients with
18 Parkinson's disease and in patients with PDP
19 treated with pimavanserin or other antipsychotics.
20 Mosholder and colleagues evaluated all-cause
21 mortality in patients with Parkinson's disease and
22 reported a statistically significant hazard ratio

1 of 0.78, favoring pimavanserin. A subsequent
2 Acadia-sponsored Medicare safety study by Layton
3 and colleagues demonstrated identical findings with
4 a hazard ratio of 0.78.

5 As previously noted, in our clinical studies
6 we've observed that pimavanserin has no negative
7 impact on cognitive function. Here I share data
8 from the open-label period of Study 045. The mean
9 change from baseline to week 12 in MMSE score was
10 1.0. During the double-blind period, there was no
11 decline in mean MMSE in pimavanserin-treated
12 patients.

13 Here you can see the full picture of the
14 Study 045 completers, starting from open-label
15 baseline to the 26-week double-blind period, again
16 showing stability in the mean MMSE. Additionally,
17 here are the MMSE findings for pimavanserin
18 compared to those for other antipsychotics used in
19 elderly dementia patients, taken from the Schneider
20 meta-analysis that Dr. Tariot presented earlier.
21 Again, we see no negative impact on cognitive
22 function with pimavanserin in contrast to other

1 antipsychotics.

2 Additionally, in Study 045, there was no
3 observed worsening in motor function in
4 pimavanserin-treated patients, as measured by the
5 change in Extrapyrarnidal Symptoms Rating Scale-A or
6 ESRS-A. During the open-label period, the ESRS-A
7 was measured at baseline and at week 12, and showed
8 a trend towards improvement and no worsening of
9 motor function. Again, here the [indiscernible]
10 score signifies improvement.

11 Shown here is the double-blind period during
12 which the mean change from baseline was small and
13 similar in the two treatment groups at all
14 time points.

15 In conclusion, pimavanserin has a
16 well-established, consistent, and favorable safety
17 profile across the largest clinical safety data set
18 in patients with NDD, supported by favorable
19 findings from observational studies and our
20 extensive postmarketing experience. Pimavanserin
21 is well tolerated and differentiated from other
22 antipsychotics currently used off-label. Observed

1 mortality rates are trending favorably.
2 Additionally, pimavanserin has no negative impact
3 on cognitive or motor function.

4 Thank you. Dr. Stankovic will now provide a
5 benefit-risk assessment.

6 **Applicant Presentation - Serge Stankovic**

7 DR. STANKOVIC: Thank you, Dr. Turner.

8 I'm Serge Stankovic, president of Acadia. I
9 would like to conclude today's review of
10 pimavanserin data in ADP with a discussion of
11 benefit-risk. As you heard today, ADP is a serious
12 and debilitating condition with severe consequences
13 for patients and their families. It results in
14 significant mental and physical distress;
15 acceleration of cognitive impairment; nursing home
16 placement; and increased mortality and morbidity.

17 Unfortunately, there are no currently
18 approved treatments for patients with ADP. In the
19 absence of a safe and effective treatment option,
20 the antipsychotics used off label to treat
21 psychotic symptoms associated with dementia expose
22 these frail and elderly patients to great risk, as

1 they can worsen the underlying condition with
2 marginal to no benefits.

3 We presented today consistent, robust, and
4 clinically meaningful efficacy across multiple
5 studies, endpoints, and over time. Taken together,
6 the aggregate data set provides evidence of
7 effectiveness in ADP. What constitutes evidence of
8 effectiveness of pimavanserin in ADP is a topic of
9 discussion today.

10 In 2019, FDA issued draft guidelines
11 relevant to this topic. It states, "One adequate
12 and well-controlled clinical investigation, plus
13 confirmatory evidence in a closely related approved
14 indication, can be sufficient to establish
15 effectiveness."

16 Consistent with the above guidance, Acadia
17 presented today data from three positive studies in
18 psychosis: Positive Study 019 in the target
19 indication of ADP; confirmatory evidence from
20 Positive Study 020, the closely related approved
21 indication of PDP; and additional supportive
22 evidence from the ADP subgroup from the overall

1 positive study in DRP, Study 045. The totality of
2 efficacy data presented reliably meets the
3 standards for evidence of effectiveness in ADP.
4 Particularly important, this efficacy was observed
5 in the context of a favorable safety profile.

6 In conclusion, pimavanserin provides
7 clinical efficacy benefit to patients with ADP, and
8 would allow them to manage their psychosis while
9 not exacerbating the underlying condition or
10 introducing new safety risks such as cognitive or
11 motor impairment. The totality of data presented
12 today supports a positive benefit-risk profile of
13 pimavanserin for the treatment of hallucinations
14 and delusions associated with ADP. Perhaps most
15 important, this is in the context of a disease
16 where there are no approved treatments, and the
17 current standard of care has marginal benefit with
18 considerable risks.

19 Thank you. I will now invite Mr. DeKarske
20 to return to moderate the question and answer
21 session.

22 DR. NARENDRAN: This is Raj Narendran. It

1 seems like we're ahead of schedule.

2 I do want to give an opportunity for
3 Dr. Paul Stander, who joined us later, to introduce
4 himself.

5 (No response.)

6 DR. NARENDRAN: Dr. Paul Stander, if you
7 want to introduce yourself?

8 DR. STANDER: Yes. Thank you. This is
9 Dr. Paul Stander. I am the associate chief of
10 staff for Geriatrics and Extended Care at the
11 Phoenix VA, and I am a clinical professor at the
12 University of Arizona, College of Medicine,
13 Phoenix. I'm sorry. I had a few issues early this
14 morning, but I was able to hear the majority of the
15 presentations. Thank you.

16 **Clarifying Questions to Applicant**

17 DR. NARENDRAN: Thank you, Dr. Stander.

18 We will now take clarifying questions for
19 Acadia Pharmaceuticals. Please use the raise-hand
20 icon to indicate that you have a question, and
21 remember to clear the icon after you have asked
22 your question. When acknowledged, please remember

1 to state your name for the record before you speak
2 and direct your question to a specific presenter,
3 if you can. If you wish for a specific slide to be
4 displayed, please let us know the slide number, if
5 possible.

6 Finally, it would be helpful to acknowledge
7 the end of your question with a thank you or say
8 you have a follow-up question to ask, and that way
9 we can then move to the next panel member.

10 The first question we have is from
11 Dr. Fiedorowicz.

12 DR. FIEDOROWICZ: Yes. Thank you. This is
13 Jess Fiedorowicz from the University of Ottawa. I
14 have a clarifying question.

15 A lot of the slides and results for
16 Study 019 hinge on this primary outcome, where the
17 time frame is stated to be 6 weeks. My clarifying
18 question is that in both the Lancet paper and the
19 clinicaltrials.gov registration, study completion
20 is listed as October 27th. All registrations prior
21 to that date show 12 weeks as the time frame after
22 the primary efficacy outcome, and the only

1 registration that shows 6 weeks occurs on July 14th
2 of 2017, as you can tell, several months well after
3 the close of this study.

4 I was wondering if the applicant can clarify
5 that. Thank you.

6 MR. DeKARSKE: Thank you for the question.
7 I'm happy to clarify.

8 The original Study 019 protocol that was
9 submitted to the FDA and to the IND had a 6-week
10 primary endpoint, so the day 43 endpoint that was
11 discussed in the core presentation. That endpoint
12 remained throughout the conduct of the study, up to
13 unblinding.

14 I'll note your reference to the
15 clinicaltrials.gov website. There was additional
16 clarification subsequent to the trial's start. It
17 was initially indicated as a 12-week treatment
18 period, which was indeed true, but there was
19 additional clarification subsequently to be clear
20 that the primary endpoint was at 6 weeks. But I
21 just want to emphasize that, again, the 6-week
22 primary endpoint was part of the original protocol

1 upon execution of the study.

2 DR. NARENDRAN: Our next question is from
3 Ms. Witczak.

4 MS. WITCZAK: Thanks for your presentation;
5 Woodymatters, for the record. I know the original
6 application to the FDA was for dementia-related
7 psychosis, and then it switched over to Alzheimer's
8 disease psychosis.

9 My question is, were brain scans or other
10 scans used to objectively diagnose that it was
11 Alzheimer and/or was it able to differentiate from
12 other causes of dementia? So that's my question.

13 MR. DeKARSKE: Thank you for the question.

14 The diagnoses were clinical diagnoses for
15 Study 045. I'd like to ask Dr. Serge Stankovic to
16 please speak to the inclusion criteria, then I'd
17 like to ask Dr. Pierre Tariot for follow-up after
18 Dr. Stankovic.

19 Dr. Stankovic?

20 DR. STANKOVIC: Serge Stankovic, Arcadia.
21 Study 045, our dementia-related psychosis study,
22 used clinical diagnosis for different dementia

1 subtypes. The study protocol asked the
2 investigator to indicate which dementia subtype is
3 primary dementia subtype from the clinical
4 perspective and clinical diagnosis, and obviously
5 they used internationally accepted criteria for
6 diagnosis. But there were not any biomarkers used
7 in the study for the diagnosis of subtypes.

8 The reasons for that were, number one, there
9 is a significant overlap in the underlying
10 neuropathology in the patients of the advanced
11 dementia with psychotic symptoms; and second, the
12 nature of the study was that we were approaching
13 all-comer dementia-related psychosis regardless of
14 the underlying subtype. So from that perspective,
15 a biomarker diagnosis wasn't as important as it
16 would be in the disease-modifying treatment or the
17 study of the specific subtypes of dementia.

18 Thank you. I'll turn it over to Dr. Tariot.

19 DR. TARIOT: Thank you. Pierre Tariot here,
20 consultant. Thank you for the terrific question.

21 Just to build a little bit on what
22 Dr. Stankovic just said, the participants in

1 Study 045 that you asked about were, as you heard,
2 aged, frail, and they had advanced dementia and
3 psychosis. So these would not be appropriate
4 candidates for lumbar puncture or amyloid PET
5 scanning; lumbar puncture to collect the CSF to
6 look for elevated amyloid and/or tau.

7 I agree with what Dr. Stankovic just said.
8 We're very interested in the use of imaging and
9 fluid biomarkers to improve diagnostic accuracy for
10 the presence of Alzheimer's pathology, but in
11 milder forms and milder severity of Alzheimer's
12 disease in younger patients.

13 This might be a follow-up question of yours.
14 No, there are not plasma samples retained from the
15 study, so we don't have the opportunity to look at
16 those retrospectively. And again, just to repeat
17 this point that I think is quite important; persons
18 with advanced dementia have a high likelihood of
19 having multiple pathologies, so even if we had had
20 a way to establish the presence of elevated brain
21 amyloid, it wouldn't rule out other pathologies.

22 I guess the last point I'd like to make is

1 that the study was designed as a kind of pragmatic
2 clinical trial to inform clinical practice, and at
3 least then -- and it's changing, but at least then,
4 and even now I would submit, the currently
5 available research in biomarkers are not yet widely
6 used in clinical practice. Thank you.

7 DR. NARENDRAN: Our next question is from
8 Dr. Apostolova.

9 DR. APOSTOLOVA: Yes. Can you hear me?

10 DR. NARENDRAN: Yes, we can hear you.

11 DR. APOSTOLOVA: First off, I'm glad I'm
12 actually following Pierre in my commentary here
13 because, to me, it looks like there is effect
14 observed in both PDD and AD psychosis. However,
15 it's weaker in AD psychosis, and I wonder to what
16 extent does the presence of alpha-synucleinopathy
17 in amygdala limbic parts actually contribute to
18 that.

19 Alpha-synuclein is a known copathology in
20 Alzheimer's, present in 40 percent possibly, so it
21 might be that the strong responders are those who
22 have Lewy body pathology in at least limbic areas,

1 but how do we know that? There is the already
2 quick [ph] assay, but again, it's CSF, and as
3 Pierre pointed out, that is not attainable in
4 advanced subjects.

5 My question, though, is about the durability
6 of effect, and it's probably directed to Suzanne
7 Hendrix. Slides 29 and 34 from the presentation do
8 show that, over time, regardless of continuous
9 treatment, placebo tends to sort of merge towards
10 the treated group, and the difference is no longer
11 significant.

12 How can that be explained scientifically and
13 statistically? Could it have something to do also
14 with measurement subjectivity, as we don't have
15 biomarkers, which are objective measures of
16 treatment response, as opposed to subjective
17 measures like NPI? And I'm wondering both what
18 Suzanne and maybe Pierre think about that. Thank
19 you.

20 MR. DeKARSKE: Thank you for the question.
21 I just want to clarify, you're referring to
22 Study 019; is that correct?

1 DR. APOSTOLOVA: So slide 29, Study 019, and
2 then slide 34, both --

3 MR. DeKARSKE: Thank you.

4 DR. APOSTOLOVA: -- yes.

5 MR. DeKARSKE: Very good.

6 I'd like to ask Dr. Clive Ballard to comment
7 a little bit further on Study 019, particularly in
8 the durability of effect point. But perhaps,
9 Dr. Ballard, you could also circle back on the
10 question about the comment in overlapping
11 neurobiology and neuropathology between ADP and
12 PDP.

13 Before I ask Dr. Ballard to comment, I just
14 do want to remind that Study 019 had a 6-week
15 primary efficacy endpoint, and that the endpoints
16 beyond 6 weeks were exploratory in nature, mostly
17 from the perspective, as you heard Dr. Ballard
18 comment, of a safety standpoint with respect to
19 looking for any potential negative impact on
20 cognition.

21 In Study 045, the randomized withdrawal
22 study, which is a very conventional design to

1 establish maintenance of efficacy, was intended to
2 demonstrate that, following the acute response that
3 was shown in Study 019 and Study 020.

4 Dr. Ballard, can you please comment further?

5 DR. BALLARD: Thank you. Clive Ballard.

6 Firstly, looking at the main outcome slide
7 at week 6, as you say, that was the primary
8 endpoint and the clinically meaningful benefit, as
9 well as statistically significant benefit as
10 indicated by a benefit at 30 percent level of
11 improvement and 50 percent level of improvement.
12 The study was really designed to focus on acute
13 benefit, and 6 weeks was the selected period, very
14 deliberately, for that outcome

15 When we're trying to understand longer-term
16 effects, we have to do that in the context of the
17 natural history of the disorder. We conducted a
18 study where we followed people up every month to
19 look at the ongoing course of the symptoms. As I
20 mentioned in the core presentation, over two-thirds
21 of patients had resolution of those symptoms by
22 12 weeks, but the underlying pattern is a lot of

1 fluctuation, and a lot bouncing around of these
2 symptoms, a bit of improvement; a bit of worsening;
3 recovery; relapse again.

4 So although there's an overall enduring
5 pattern of these symptoms, it's very much an
6 improved, get worse, recover, relapse type pattern.
7 And certainly over 12 weeks, in the relatively
8 short term, there's a lot of spontaneous recovery
9 as part of this fluctuating pattern of symptoms.

10 I mean, whether that's due to the way that
11 we measure symptoms it's difficult to know. What I
12 can say is the Neuropsychiatric Inventory is a
13 well-validated scale, and within this particular
14 study, we went to great lengths to both train the
15 raters very thoroughly, but also to train the
16 informants, the caregivers giving the information,
17 in the scale to really try and make it as tight as
18 possible. And I think the fact that there was a
19 very high inter-rater reliability greater than 0.9
20 shows that we were fairly successful in doing that.

21 In this type of population of people with
22 severe dementia psychosis and a lot of comorbidity,

1 biomarkers are kind of a challenging thing to do,
2 and I don't think anyone's yet demonstrated the
3 utility of biomarkers as an outcome for psychosis
4 in people with dementia. So I think although I'm
5 sure that will be evolving space, I think where
6 we're at, at the moment, is that we have to rely on
7 robust clinical judgment.

8 What we did do -- and perhaps I'll ask
9 Dr. Hendrix to comment a little bit further on the
10 analysis -- is to try and understand the pattern of
11 ongoing response beyond 6 weeks by trying to take
12 out that impact of that fluctuating, improving,
13 relapsing kind of course. And the way that we did
14 that is by requiring people to have two consecutive
15 improvements so that they had to be improved at two
16 consecutive assessment points in a time to response
17 analysis.

18 When we do that, you can see there in the
19 figure on the right, that that takes a lot of the
20 noise out, it takes a lot of this fluctuation and
21 severity out, and what you see then is you have an
22 improved early response in pimavanserin, but also

1 that response is sustained over a longer period of
2 the study through to the 12 weeks.

3 I know you asked Dr. Hendrix to comment
4 further, so I'm not sure, Dr. Hendrix, whether
5 you'd just like to comment on the statistical
6 approach that you took.

7 DR. HENDRIX: Yes. Thank you, Dr. Ballard.
8 Suzanne Hendrix, statistical consultant.

9 If we could just pull up for a minute the
10 slide that shows those plots coming back together
11 at the end, and actually let's first do CO-29; that
12 was the original question.

13 So this plot, because it's actually a
14 cumulative distribution plot, the far right of the
15 plot isn't time and coming back together after
16 time, but it's actually the number of people who
17 have achieved 100 percent improvement on their
18 NPI-NH score on the psychosis component. Over to
19 the right, there aren't as many people; in fact,
20 there are equivalent numbers in the placebo and
21 pimavanserin groups who've achieved a hundred
22 percent response, but we see really strong

1 separation at the clinically meaningful 30 and
2 50 percent effect time points.

3 Now, the analysis that Dr. Ballard was
4 referring to, just to point out again that when we
5 look for consistent benefit, the active arm has
6 substantial benefit; pimavanserin has substantial
7 benefit over placebo. And here, when you're
8 looking at the pattern over time, pimavanserin
9 maintains benefit all the way out to 12 weeks.
10 It's the placebo group that comes back down, and
11 that's due to the symptom relapsing and coming back
12 out. Then the slide that he showed that shows the
13 consistent confirmed effect across two visits, then
14 we continue to see that separation between the
15 active arms.

16 So all this speaks to two things; number
17 one, a consistent benefit of pimavanserin out to
18 12 weeks that is then confirmed in the 045 data and
19 a clinically meaningful effect that, again, is also
20 confirmed in the 045 data.

21 MR. DeKARSKE: Thank you.

22 May I have slide CO-57 quickly, please?

1 Just circling back around on the maintenance
2 of efficacy point, as I mentioned, following acute
3 response demonstration in both Study 019 and
4 Study 020, we specifically endeavored to look at
5 maintenance of efficacy in Study 045.

6 As you heard Dr. Hendrix speak to earlier,
7 we saw a very statistically significant result in
8 the overall DRP patient population. But in the
9 context of doing further exploration of a positive
10 study, looking at the ADP subgroup, both all doses
11 of ADP, as well as ADP 34 milligrams, we saw a
12 clinically meaningful reduction in the risk of
13 relapse in that large ADP subgroup, around 40 to
14 50 percent, which for these types of trials is very
15 well recognized as a clinically meaningful
16 reduction in relapse.

17 If I may briefly, I'd like to ask Dr. Leslie
18 Citrome just to speak on the clinical
19 meaningfulness of the hazard ratio in this patient
20 population.

21 Dr. Citrome?

22 DR. CITROME: Leslie Citrome. I'm a

1 psychiatrist, and I work with patients, and I do
2 research. One of the things I do is look at the
3 clinical meaningfulness of clinical trial results
4 in systematic reviews, and across the field of
5 psychiatry, whether we're looking at schizophrenia
6 or bipolar disorder, or major depressive disorder,
7 and we look at the maintenance of effect studies, a
8 hazard ratio will describe the likelihood of
9 relapse or recurrence, or a given period of time in
10 people who have been stabilized on a medicine of
11 interest, and then randomize to either continue
12 that medicine of interest or go on to placebo.

13 This hazard ratio over the course of the
14 period of the study has ordinarily been around
15 about 0.5, hovering around there. We would say
16 that people who are maintained on the medicine that
17 got them well were half as likely to experience a
18 recurrence or relapse than compared to those who
19 are randomized to go on to placebo. So what we
20 see, actually, is entirely consistent with clinical
21 trials within psychiatry, so I'm not at all
22 surprised by these results. Thank you.

1 DR. NARENDRAN: Our next question is from
2 Dr. Thambisetty.

3 DR. THAMBISETTY: Thank you, Dr. Narendran.
4 This is Madhav Thambisetty from the NIH. I have a
5 comment and accompanying question. My comment is
6 about slides 28, and even slide 43 from the
7 efficacy presentation.

8 I find it somewhat troubling that these
9 graphs are curtailed at the 6-week time point when
10 you have a full data set that extends through to
11 12 weeks. And I think it's potentially misleading
12 to show graphs curtailed at 6 weeks when you have a
13 full data set at 12 weeks. It's potentially
14 misleading because this graph seems to indicate
15 that there's a divergence in the placebo and
16 treatment groups, which may be continued beyond the
17 6 weeks, which clearly is not the case. So I would
18 really like to see these graphs show the full data
19 set rather than just break them at 6 weeks, which
20 brings me to the accompanying question.

21 This is a follow-up question from what
22 Dr. Fiedorowicz asked first off, and I'm not sure I

1 understood the explanation provided in response to
2 that question. So I'll state this question again
3 because I really think it's very important.

4 The public record on clinicaltrials.gov has
5 a history of changes made to the protocol from the
6 study start date in 2013, and it looks as if all
7 versions of the protocol are until July 2017 on
8 clinicaltrials.gov, which consists of information
9 provided by the sponsor clearly prespecifies a
10 primary endpoint outcome at 12 weeks.

11 It doesn't include July 14, 2017, that the
12 record indicates that the primary outcome was
13 changed from 12 weeks to 6 weeks, which is nearly
14 10 months since the last patient was randomized.
15 And then on September 28, 2017, again,
16 clinicaltrials.gov indicates exactly one year after
17 the last patient was randomized, the primary
18 endpoint is again changed from 6 weeks to 43 days.

19 It's not entirely clear to me why a primary
20 outcome endpoint would be changed 10 months to a
21 year after the last patient was randomized. The
22 rationale is not clear to me, and I really don't

1 know why this was done and what the rationale was.

2 Thank you.

3 MR. DeKARSKE: Thank you for the question.
4 I'll address your further follow-up on the status
5 of the protocol and the primary endpoint, and I'd
6 like Dr. Clive Ballard to speak to your initial
7 question about the 6 week endpoint relative to the
8 12-week treatment period.

9 Could I have slide CO-57, please? I'm
10 sorry; CO-34.

11 As I mentioned earlier in my previous
12 response, although the trial was a 12-week
13 duration, which was indeed the case, the initial
14 posting for clinicaltrials.gov simply referred to
15 that 12-week period. We subsequently clarified on
16 the clinicaltrials.gov website that the primary was
17 actually at 6, although, again, there was a 12-week
18 treatment period.

19 Now importantly, in terms of the actual
20 protocol, in its submission to the IND and at the
21 time of its initiation, it had a 6-week trial
22 endpoint. That did not change during the conduct

1 of the study, nor did it change prior to stopping
2 of the study and unblinding of the data.

3 Dr. Ballard, could you speak a little bit
4 further to the core slide, CO-34, which shows both
5 the 6-week and the 12-week exploratory efficacy?

6 DR. BALLARD: Clive Ballard. Certainly.

7 Firstly, just to confirm about the 6-week
8 primary outcome, as the principal investigator for
9 the study, I was responsible for all of the
10 submission of the protocols to the ethics committee
11 for approval, and I can absolutely confirm, and we
12 can provide the protocols, that the primary outcome
13 was always 6 weeks throughout all of those
14 protocols. So that's definitely not changed it at
15 any point during the process.

16 To come back to your question about 6 weeks
17 and 12 weeks, I clearly did show this slide showing
18 the full 12-week outcome during the core
19 presentation, so there was no attempt to conceal
20 anything. We initially presented it up to 6 weeks
21 because that's the primary outcome point. The
22 objective of this study was to focus on acute

1 improvement at 6 weeks with time out to 12 weeks
2 largely to look at safety outcomes because of
3 potential concerns with other atypical
4 antipsychotics in terms of impact on cognition and
5 function.

6 So I don't think there was any attempt to
7 conceal anything. I presented the data. This
8 placebo pattern that you see over 4 weeks, and then
9 starting to improve at about week 6, this is very
10 consistent across trials of atypical antipsychotics
11 in the literature, which is why we very
12 deliberately chose 6 weeks as the optimal time
13 point to look at the acute response, and that was
14 the intention.

15 As you can see from the ongoing period, past
16 6 weeks, as we've already discussed, clearly
17 there's a lot of placebo response over between
18 week 6 and 12. I think that's explained, as I
19 mentioned, by the natural course of the condition,
20 where we know that two-thirds of people in natural
21 follow-up studies are going to have improvement by
22 that 12-week period. So really, the aim of this

1 study was to look at the 6-week acute response.
2 The aim of the 045 study, given that the nature of
3 this condition is a relaxing, recurring condition,
4 was to look at the sustained benefit and the
5 prevention of relapse in those individuals.

6 But as I mentioned in the previous
7 response -- if we could just have the slide showing
8 the time-to-response analysis -- one of the things
9 that we did to just try and confirm whether this
10 impact on the loss of response was due to the
11 fluctuation as part of the natural course of the
12 condition, we did do this further analysis, looking
13 at people who'd had sustained benefits at, at
14 least, two time points.

15 When we did that, and we took the noise out
16 of the situation, we removed the noise from people
17 having brief responses, and then relapses again.
18 When we did that, there was a much clearer pattern
19 of response of pimavanserin in terms of sustained
20 response across the 12 weeks.

21 DR. NARENDRAN: The next question is from
22 Dr. Cudkowicz.

1 DR. CUDKOWICZ: Thank you.

2 I had a question about slide 58, if you
3 might explain a little bit more this
4 exposure-response. I was trying to figure out if
5 they were trying to make the point that you needed
6 higher levels in the Alzheimer's, as that might
7 explain some of the differences between the
8 patients with Alzheimer's versus Parkinson's, and
9 if in fact were there different levels in people
10 with Alzheimer's maybe because of their
11 medications.

12 MR. DeKARSKE: Thanks for the question. The
13 principal utility for the exposure-response
14 analysis from Study 045 was to reassure on a real
15 pharmacologic treatment effect. So as you heard in
16 the core presentation, what you see in the overall
17 DRP patient population, as well as the ADP
18 subgroup, that the risk of relapse goes down with
19 increasing concentrations of pimavanserin, as also
20 indicated by FDA in their briefing material.

21 The actual concentration of pimavanserin
22 that you see on the chart in the ADP and the DRP

1 are quite close. In fact, the steady-state
2 concentration that you would anticipate with the
3 34-milligram once daily dose is very well reflected
4 as part of this exposure-response analysis. The
5 profile, the pharmacokinetic profile, is what we
6 would expect of the two populations, are
7 consistent.

8 DR. CUDKOWICZ: Thank you. I just have one
9 other question on another topic, which goes back to
10 this question of duration of treatment. Do you
11 anticipate treating participants or people for
12 6 weeks, or 12 weeks, or how would this be in
13 clinical practice in the Alzheimer's population?

14 MR. DeKARSKE: Thank you for the question.
15 The three clinical study data sets, that we have
16 that are supportive of evidence of efficacy in ADP
17 includes both Study 019 and 020, are demonstrating
18 acute response in both ADP and PDP patients.

19 As I mentioned earlier, Study 045, which is
20 typically done in the psychiatry space, after
21 demonstrating acute response was intended to
22 establish maintenance of efficacy to support

1 long-term treatment following acute response,
2 positive acute response. So those are intended to
3 support therapy beyond the acute period.

4 I'll just add its important context with
5 respect to the safety profile of pimavanserin,
6 where we focused on a couple of key safety aspects,
7 that in this class you're particularly concerned
8 about in terms of negative impact on cognition and
9 motor function, we did not observe that, and we
10 were specifically looking in Study 019 and 045 to
11 make sure, with long-term treatment, there weren't
12 any negative impacts.

13 I'd like Dr. Clive Ballard to provide a bit
14 more clinical perspective on duration of treatment
15 and appropriateness.

16 DR. BALLARD: Thank you.

17 I think, obviously, the 6-week effect is
18 really important. These are really distressing
19 symptoms. When they're present, they're very
20 unpleasant for individuals experiencing them and
21 very challenging for everybody, so achieving that
22 benefit over that 6-week period is very, very

1 important. But I think, potentially, longer term
2 treatment also can be beneficial, and I don't think
3 that's a blanket approach. It's very much based on
4 the needs of individual patients and the pattern
5 and severity of symptoms in individual patients.

6 But I think one of the challenges we have
7 with currently available atypical antipsychotics
8 is, because of the toxicity issues, longer term
9 treatment for prophylaxis isn't really an option,
10 whereas I think one of the things the relapse
11 prevention data and good tolerability suggest is
12 that that is a potential option.

13 So whilst that wouldn't be the optimal for
14 every individual, for individuals who do have more
15 severe symptoms, who have higher rates of relapse,
16 I think that that option has been able to provide
17 longer term treatment to reduce relapse safely and
18 to maintain that benefit, and would be a very
19 useful addition to the pharmacological
20 armamentarium for those individuals.

21 DR. CUDKOWICZ: Thank you.

22 DR. NARENDRAN: The next question is from

1 Dr. Walter Dunn.

2 DR. W. DUNN: Hi. This is Walter Dunn from
3 UCLA. One of the key questions for me for this
4 entire presentation is this notion that -- or this
5 idea that ADP and PDP are closely related
6 conditions. At least from the 045 results, there's
7 some strong indication that there's a differential
8 response, especially when these patients are
9 randomized to placebo; that clearly there is a much
10 higher relapse rate in the Parkinson's patients.

11 Although you didn't emphasize this in your
12 presentation, in the briefing documents that Acadia
13 provided, the explanation was that this high
14 relapse rate was due to the presence of
15 dopaminergic agents. Unfortunately, the majority
16 of the PDP patients are on those agents, so it's
17 difficult to disentangle between if it's an illness
18 or the presence of those agents.

19 So I was wondering, do you have data in the
20 open-label period for the patients who did not meet
21 sustained response, and thus did not proceed to the
22 double-blind phase? For those patients who failed

1 to meet sustained response, do you have any data as
2 far as the distribution of those on dopamine agents
3 versus not?

4 MR. DeKARSKE: Yes. Thank you. Thank you
5 for the question. Just in following up to your
6 point about our belief that the dopaminergic
7 concomitant therapies were the result of the
8 particularly robust response we saw in the PDD
9 subgroup in the 045 study, I just want to remind
10 that in Study 020, these were PDP patients mostly
11 on dopaminergic medications, and we were looking at
12 improvement in symptoms out to 6 weeks. Study 019,
13 on the other hand, was ADP patients without
14 dopaminergic therapies.

15 What we saw in those two studies, in those
16 two different patient populations with respect to
17 use of dopaminergic drugs, is a very consistent
18 response. I'm showing slide CO-43 from the main
19 presentation.

20 As we look at Study 045, and getting to your
21 question in the open-label period, it was
22 reassuring to us that when we looked at the

1 response rate within the first 12 weeks in the
2 open-label period, we saw a very consistent
3 stabilization between the various dementia
4 subgroups. That includes both PDP and ADP,
5 including what we thought was a very robust
6 complete response at the end of the 12-week period
7 of about 20 percent between the two patient
8 populations.

9 We don't have on hand right now the
10 distribution within the open-label period, the use
11 of concomitant dopaminergic therapies specifically
12 within the subgroups, but we're happy to provide
13 that after the break.

14 DR. W. DUNN: Thank you.

15 MR. DeKARSKE: Yes. But I will say, just
16 following up, in the double-blind period, for those
17 patients that continued from the open-label period
18 into the double-blind period, what we saw was that
19 the patients that continued on pimavanserin, the
20 treatment effect was homogeneous across the
21 subgroups. That includes PDD. It was the placebo
22 group where we saw that there was a very early

1 relapse, which is what we would expect, based on
2 our belief that the dopaminergic therapies may be
3 exacerbating the underlying psychosis symptoms in
4 the absence of effective antipsychotic treatment.
5 And you can see that clearly here on the core slide
6 CO-54.

7 DR. W. DUNN: Thank you.

8 DR. NARENDRAN: Our next question is from
9 Dr. Dean Follmann.

10 DR. FOLLMANN: Yes. Thank you. I have two
11 questions. I think they're both addressed to
12 Dr. Hendrix.

13 The first one, in Study 019, you showed a
14 large benefit in people with severe psychosis, with
15 an effect estimate of 0.73 and a p-value of 0.01.
16 And I was wondering if you had looked at the
17 benefit in 045 in those with severe psychosis to
18 try and replicate those.

19 MR. DeKARSKE: Suzanne Hendrix to come to
20 the mic and speak to your question. Thank you.

21 DR. HENDRIX: Suzanne Hendrix, statistical
22 consultant. We did look at that, and we found that

1 those patients who had more room for improvement,
2 which are the ones with more severe symptoms,
3 tended to see more improvement. And in the relapse
4 specifically, we saw that people who were more
5 severe and had treatment removed dropped off more
6 rapidly than those who were less severe. So that
7 same type of pattern of seeing a better effect,
8 where there's more room for an effect, held
9 throughout the 045 data as well.

10 DR. FOLLMANN: Thank you. I have one more
11 question. If you could bring up slide CO-58 again,
12 this has to do with the effect of drug exposure and
13 the risk of relapse.

14 Drug levels aren't randomized within the
15 drug group, and it could be that drug levels varied
16 where people who don't comply, or are sicker, or
17 worse outcomes, tend to have lower drug levels.
18 Did you look at the relationship between drug level
19 and baseline characteristics, or compliance, to try
20 and tease at this, to get at this particular issue
21 that we aren't randomizing to drug levels here?

22 MR. DeKARSKE: Just to point out, the

1 dropout rate in the open-label period was quite
2 low, in fact; so those patients that were going
3 from pimavanserin in the open-label period into the
4 double-blind period, there were a majority of
5 subjects that were doing so.

6 I'd like to ask Dr. Suzanne Hendrix to
7 comment, please.

8 DR. HENDRIX: Yes, it's a very good question
9 because we don't have randomization of those
10 different AUCs. What we did do was we looked at
11 several different covariate analyses, and those
12 covariate analyses tended to make this effect
13 stronger, rather than weaker. Very few of those
14 covariates were actually statistically -- in fact,
15 none of the covariates were statistically
16 significant, and the overall results after
17 adjusting for them were somewhat stronger
18 statistically.

19 DR. FOLLMANN: Thank you.

20 DR. NARENDRAN: Our next question is
21 Dr. Apostolova. Do you have another question?

22 DR. APOSTOLOVA: Yes, I do have another

1 question. These are super distressful
2 behaviors -- psychosis, hallucinations,
3 delusions -- and they have an incredible impact on
4 caregivers. Was there caregiver distress data
5 collected in these studies? I'm curious what it
6 showed, even though it wasn't presented, if there
7 is any idea. Thanks.

8 MR. DeKARSKE: Yes. Thanks for the
9 question. There was caregiver burden data that was
10 collected in Study 045, as well as Study 020. I'll
11 just ask Dr. Suzanne Hendrix to speak first to the
12 data in the Zarit Burden interview in Study 045,
13 and what we found.

14 DR. HENDRIX: Suzanne Hendrix, statistical
15 consultant. On this slide, we're showing the
16 secondary endpoints from the 045 study within the
17 Alzheimer's disease psychosis subgroup
18 specifically, and the SAPS-H+D and the CGI-I were
19 both clinician scales, but the Zarit Burden
20 Inventory, ZBI, shown on the third line here, is a
21 caregiver burden assessment. We did not achieve
22 nominal significance on this test, but we did have

1 a 1.25 point benefit in favor of pimavanserin,
2 reflecting a clinically meaningful effect for
3 caregivers having less burden.

4 MR. DeKARSKE: And just following up, in
5 Study 020, this is the study in patients with PDP.
6 The Zarit caregiver burden was also assessed as an
7 exploratory basis, but there was a nominally
8 significant improvement that was seen in the level
9 of burden in those patients.

10 DR. NARENDRAN: Dr. Walter Dunn, do you have
11 another question? Please go ahead.

12 DR. W. DUNN: I do. Thank you. Dr. Walter
13 Dunn, UCLA, and another question about Study 045
14 and the open-label data, if you have it. This
15 speaks to the durability issue again.

16 Do you have any data on the percentage of
17 patients that, again, did not meet sustained
18 response and did not proceed to double-blind? What
19 proportion would have never met criteria at any of
20 the time points for 8 or 12 weeks versus what
21 proportion of patients potentially at that 4-week
22 time point would have met criteria, but then

1 symptoms worsened at 8 and 12, and therefore
2 entered the double-blind phase? My understanding
3 is that there was no 6-week study point, so I'm
4 using the 4-week as the proxy for a 6-week time
5 point.

6 Was I clear in my question?

7 MR. DeKARSKE: Yes. Thank you. I'm just
8 pulling up slide CO-50 of the open-label period.

9 To answer the first part of your question,
10 the rate of sustained response or stabilization in
11 the open-label period in the overall group was
12 about 62 percent. This was consistent across the
13 subgroups. And I think as these randomized
14 withdrawal trials go, that's a pretty high
15 stabilization rate. Usually you would see around
16 40 or 50 percent.

17 To answer the second part of your question,
18 I'm going to ask Dr. Suzanne Hendrix to please come
19 to the microphone.

20 DR. HENDRIX: Suzanne Hendrix, statistical
21 consultant. Could you please clarify the second
22 part of your question again?

1 DR. W. DUNN: I was just wondering what
2 proportion of patients that did not enter the
3 double-blind phase would have met -- did meet entry
4 criteria or would have met entry criteria at
5 4 weeks, but then relapsed at, we know, weeks 8 or
6 12, and therefore did not enter the double blind
7 versus what proportion of patients just never
8 improved enough at any time point to enter
9 double blind.

10 DR. HENDRIX: So the question you're asking
11 is out of that approximately 40 percent who did not
12 meet the enrollment criteria for the double-blind
13 phase, what percentage of those did meet criteria
14 at some of those earlier time points?

15 DR. W. DUNN: Correct. Correct.

16 DR. HENDRIX: Okay. Hold on just a minute.
17 We have a slide for that. It's buried a little bit
18 deep. We'll find it, though; one minute.

19 We'll go ahead and bring that up after the
20 break rather than waiting now.

21 DR. W. DUNN: Okay. Alright. Thank you.

22 DR. NARENDRAN: The next question is from

1 Ms. Witczak.

2 MS. WITCZAK: Yes. Kim Witczak,
3 Woodymatters. I would like to know the philosophy
4 or the rationale behind using a largely primarily
5 white audience in this clinical trial. Obviously,
6 I know that was in the UK, but in the U.S., the
7 high prevalence is in the African American
8 community and Hispanic. So I'm just curious how
9 that may or may not -- and it might also be a
10 question for the FDA as well; the rationale behind
11 that audience makeup. Thank you.

12 MR. DeKARSKE: Yes. Thanks for the
13 question. We recognize this is an issue for our
14 industry, including for us at Acadia. That said,
15 pimavanserin's PK profile data, the clinical study,
16 and our postmarketing experience we feel is
17 generalizable to other races and ethnicities. In
18 fact, as our development has ensued with
19 pimavanserin, we've improved on a representation of
20 race and ethnicity.

21 We had a postmarketing study commitment upon
22 the original approval to increase the safety data

1 in frail and elderly patients, including
2 Alzheimer's disease patients, which, as you heard
3 Dr. Turner speak to earlier, we've expanded
4 significantly. As you can see, we've got
5 reasonably good representation within the Hispanic
6 and Latino ethnicity; we're improving with black or
7 African-American. But importantly, from a
8 pharmacokinetic perspective, we have done work to
9 identify that pimavanserin's plasma profile is not
10 impacted by race or ethnicity.

11 That all said, in the go forward, we are
12 doing phase 4 studies, and we're working with
13 specialty sites and minority communities to improve
14 diversity, as we know it's important to inform on
15 that point for our label and for prescribers. But
16 just to land, we do believe it's generalizable with
17 the data we have in hand, both from a PK
18 perspective, from a clinical study perspective, as
19 well as postmarketing experience that doesn't
20 indicate a differential impact with race or
21 ethnicity.

22 DR. NARENDRAN: We have another question

1 from Dr. Thambisetty.

2 DR. THAMBISETTY: Thank you, Dr. Narendran.
3 Madhav Thambisetty, NIH. This is a question
4 directed to the director of the Division of
5 Psychiatry from the FDA on her presentation with
6 slide 8. Maybe this is a question that might be
7 best to be asked after the agency makes their
8 presentation, but I just thought I'd like to bring
9 this up now.

10 DR. NARENDRAN: I'm sorry to interrupt, but
11 I think it might be better to just ask that during
12 the agency as their own time --

13 DR. THAMBISETTY: Okay.

14 DR. NARENDRAN: -- so that way, the sponsor
15 lose their time to answer their questions, if
16 that's ok.

17 DR. THAMBISETTY: Okay. Yes.

18 Can I have a quick follow-up question --

19 DR. NARENDRAN: Sure.

20 DR. THAMBISETTY: -- about the design of
21 Study 045?

22 DR. NARENDRAN: Please go ahead.

1 DR. THAMBISETTY: Yes. This is addressed to
2 the sponsor, and I guess anybody can answer it.

3 It's very difficult to find a randomized
4 withdrawal trial in psychiatry that hasn't been
5 effective. I think these designs lend themselves
6 to invariably favoring drugs over placebo because
7 there's a tautology involved in the design of the
8 study itself. You're selecting out treatment
9 responders in the open-label phase. You're
10 excluding everybody in the placebo group who might
11 have responded. You're excluding people with
12 adverse events. And then in the double-blind
13 phase, you're again measuring the same thing that
14 you measured in the open-label phase, so in fact,
15 treatment response has been measured twice.

16 So this study design invariably results in
17 outcomes that unequivocally favor drug over placebo.
18 And again, I would maintain that while these
19 designs might be suitable to look at durability of
20 the response, they do not provide a lot of useful
21 information in determining efficacy. There is also
22 concern that during the open-label phase, effects

1 of drug withdrawal are almost invariably confounded
2 with the relapse itself.

3 So for these reasons I think randomized
4 withdrawal trials designed especially in psychiatry
5 where you do not have independent outcomes being
6 measured during the true phase of the study, I
7 think they are far from desirable. And again, this
8 is a question that would be suitable both towards
9 the sponsor, as well as to the FDA itself. Thank
10 you.

11 MR. DeKARSKE: Thank you for the question.
12 Just for context, generally, these randomized
13 withdrawal or maintenance studies are done
14 following demonstration of acute response, which in
15 our case, acute response was demonstrated in
16 Studies 019 and 020.

17 So that said, in the open-label period of
18 Study 045, as I mentioned earlier, the response
19 rate was quite high. It was over 60 percent in
20 these patients, so there was good evidence that
21 there was a high response rate.

22 That said, I'd like Dr. Serge Stankovic to

1 comment further on the randomized withdrawal design
2 and the level of evidence for the overall package
3 for the effectiveness in ADP patients.

4 Dr. Stankovic?

5 DR. STANKOVIC: Thank you. Serge Stankovic,
6 Acadia. Thank you, Dr. Thambisetty, for that
7 question. It's a very important question.

8 The rationale for using the randomized
9 withdrawal design for Study 045 was based on
10 essentially the evolution of our development
11 program. We had already demonstrated acute
12 efficacy in two models of dementia in PDP and in
13 ADP, in the acute setting.

14 Second, as you pointed out, and others,
15 Study 019 left open a question of whether there is
16 durability of effect because we did not see
17 separation in a week. So the best way to test the
18 maintenance of effect of drug is one of the
19 maintenance of efficacy designs, and that's
20 certainly a randomized withdrawal trial, and that
21 is what we proposed. And the FDA agreed at the end
22 of the phase 2 meeting that it's a reasonable

1 design as a pivotal trial for the dementia-related
2 psychosis program as a next step in our development
3 program.

4 In addition, a randomized withdrawal design
5 has obviously some advantages that are important
6 for the patient population that we studied. It
7 minimizes exposure to an effective treatment, and
8 this severe and serious medical condition allows
9 enrollment of patients and their caregivers in the
10 trial because they initially do not have concerns
11 about actually exposing their family member to
12 ineffective treatment, and there are obviously
13 [indiscernible] criteria following randomization.

14 So it's a design where families are more
15 willing to participate in the trial, and really
16 mimics fairly well the standard of practice in
17 treating these patients. So for all these reasons,
18 this design was chosen and agreed upon for moving
19 into the development of the DRP. Thank you.

20 DR. NARENDRAN: Thank you. This is Raj
21 Narendran, and I have my own question.

22 I was just curious. It seems like looking

1 at Dr. Tariot's presentation, the objective of
2 having an antipsychotic or a medication to treat
3 psychosis in Alzheimer's dementia is to keep
4 patients out of a nursing home, and prevent
5 deterioration, and improve their functioning.

6 Why was it that 019 was done in a nursing
7 home where patients are extremely cognitively
8 compromised with a very low mini-mental status, as
9 opposed to 045 seems to be more like your target
10 population you want to go for?

11 MR. DeKARSKE: Thanks for the question.
12 I'll just point out quickly, before I turn it over
13 to Dr. Ballard to answer your question on 019 in
14 the nursing home population, Study 020 was also an
15 outpatient study. So across the spectrum of
16 patients that are in a nursing home or an
17 outpatient basis, we have representation of
18 efficacy and safety data.

19 But specific to the advanced age and disease
20 for Study 019, Dr. Ballard, can you please explain
21 the design?

22 DR. BALLARD: Thank you. Clive Ballard.

1 I think both are really important. I mean,
2 obviously, for people living at home with family
3 members or living on their own, trying to help
4 those individuals retain independence and reduce
5 the chances of individuals moving into
6 institutional care is really important, but I think
7 we shouldn't also forget the high levels of
8 distress and vulnerability of people already in
9 nursing homes.

10 These are the people with the most severe
11 disease. They're the people who are most likely to
12 have psychosis. Psychosis rates are far, far
13 higher in people with Alzheimer's disease in
14 nursing homes than they are amongst individuals at
15 home. They're the people who have the biggest
16 problems tolerating currently available atypical
17 antipsychotics and have the biggest adverse effect.

18 So I think the potential for benefit in
19 terms of improving symptoms, reducing distress, and
20 reducing unnecessary harms is extremely great in
21 that nursing home population, but that's not to
22 take away from the important benefit of preventing

1 institutionalization in people living at home.

2 MR. DeKARSKE: Thanks, Dr. Ballard.

3 DR. NARENDRAN: Just as a follow-up to that,
4 I get that point, but how do you then divorce
5 agitation and aggression from psychosis in a
6 nursing home population? And you didn't see an
7 improvement in that because it seems like that
8 would be the biggest issues, is agitation and
9 aggression, and how is that really divorced from
10 psychosis per se in that data set?

11 DR. BALLARD: Clive Ballard. We applied
12 rigorous criteria for assessing both. As part of
13 the inclusion criteria for the 019 study, we
14 required people to have sufficient verbal ability
15 to be able to describe their symptoms. So if they
16 had hallucinations, they were able to describe
17 those. They weren't inferred from behavior. If
18 they had delusions, they had to be able to
19 verbalize those, and we applied rigorous criteria
20 in order to both diagnose them and evaluate them
21 with the NPI.

22 So I think it's perfectly possible in people

1 who are able, you have that verbal ability to
2 assess psychotic symptoms accurately in people with
3 pretty severe dementia, and that's been done across
4 a number of studies.

5 In terms of agitation, concurrent agitation
6 is a big problem, and 30 to 50 percent of people
7 with psychotic symptoms in the context of
8 Alzheimer's disease do have concurrent agitation.
9 The evidence from the literature is that the
10 neurobiological basis of the agitation is different
11 to the basis of psychosis, but that psychosis is
12 one of the factors that might impact on agitation.
13 And although we didn't see any overall benefit in
14 agitation, we did see that when there was a
15 50 percent improvement in psychosis, there was also
16 a substantial benefit in agitation associated with
17 that.

18 So improving psychosis did have a knock-on
19 benefit in terms of reducing agitation when
20 psychosis improved, but there are different
21 symptoms. They have a different neurobiological
22 underpinning, and in people with verbal ability,

1 these symptoms can be assessed accurately and
2 robustly in nursing home patients.

3 DR. NARENDRAN: Thank you.

4 MR. DeKARSKE: Dr. Narendran, just as a
5 quick follow-up, you mentioned Dr. Tariot in the
6 context of your question about the utility in
7 nursing homes. I just want to give Dr. Tariot just
8 a moment to respond directly to you.

9 DR. TARIOT: Thank you. Pierre Tariot,
10 consultant.

11 Dr. Narendran, I think you actually pointed
12 out a flaw in my presentation. I was actually
13 director of psychiatry at a very large long-term
14 care facility in Rochester, New York for 20 years.
15 We did a study evaluating the presence of
16 neuropsychiatric disorders ourselves as opposed to
17 chart diagnoses, and we essentially showed -- this
18 is like a state psychiatric hospital, these
19 settings -- half or more of the residents have
20 dementia, and most of those folks have very
21 prominent neuropsychiatric symptoms, including, as
22 you point out, agitation and aggression, but also

1 including psychosis.

2 I've done many of these trials myself with
3 NIH funding, industry funding. We have yet to find
4 anything that's truly effective for agitation and
5 aggression, and the best we can do is essentially
6 put somebody into a pharmacologic straitjacket,
7 which is just not acceptable. So in fact, for
8 agitation and aggression, our own clinical approach
9 is to focus on non-drug strategies as best we can
10 and really reserve drugs when we're faced with
11 hospitalization, and we really want to try to keep
12 these folks out of the hospital.

13 So I did not mean to suggest that there
14 isn't a role in the long-term care setting as well.
15 That was really my main point. Thanks again.

16 DR. NARENDRAN: Thank you.

17 We have time for one last question.

18 Dr. Walter Dunn?

19 DR. W. DUNN: Hi. Walter Dunn, UCLA. This
20 is a question for Dr. Hendrix.

21 In the briefing document for 045, you
22 conducted a tipping-point simulation analysis to

1 mitigate the effects of the Parkinson's group to
2 determine if the study would have been a positive
3 just with the ADP cohorts.

4 Can you describe, when they talk about
5 adding 9 events to the PDD group, do you actually
6 mean that you increase the relapse rate for the PDD
7 group to 11 out of 15? Then as a follow-up, can
8 you just comment briefly on the advantages and
9 disadvantages of this tipping-point analysis versus
10 what the FDA did with removing the PDD group
11 altogether from their analysis to determine if an
12 ADP would have been significant?

13 DR. HENDRIX: Yes. Thank you. Suzanne
14 Hendrix, statistical consultant.

15 So the purpose of this analysis was to
16 reproduce, in as close a fashion as we can, what
17 would have been expected for overall DRP if the PDD
18 group hadn't been so dramatically different than
19 the other group. And of course because the study
20 wasn't powered within the ADP subgroups
21 specifically, I wanted to perform an analysis that
22 would have similar power to the original design of

1 the DRP study. So if we take out the PDP group in
2 its entirety and just look at the subgroup of ADP,
3 we lose the power of having those additional
4 patients in the analysis.

5 So the goal of this analysis was to say how
6 much did the PDD results actually drive the
7 analysis of overall DRP, and if we were to have a
8 more comparable hazard ratio in the PDD subgroup,
9 would we still achieve significance overall in DRP?

10 So by adding the additional events, what we
11 find is that once we add as many as five or
12 four -- let's see, 5 events. So near the bottom,
13 the middle of the bottom section here is what I'm
14 looking at in the PDD group. We have 5 events
15 added, a p-value of 0.028. Right below that, we
16 have 6 events added, and we don't have significance
17 anymore in the ADP subgroups, so we just have a
18 trend. That trend is consistent with the effect
19 that we saw for ADP as a whole, and when we then go
20 up to the top section and look at that same
21 corresponding row with 6 events, we have overall
22 significance.

1 So the point of this was to say we don't
2 achieve significance with ADP alone, but that's
3 because it's underpowered, and we do achieve
4 significance if PDD, ADP, and other were all at
5 similar levels of hazard ratio, and then we would
6 have gotten significance overall for DRP. So it's
7 just another way to look at whether the study would
8 have been significant if it had been ADP as a whole
9 with the larger sample size and with similar hazard
10 ratios across all of the groups put together.

11 DR. W. DUNN: Great. Thank you.

12 DR. NARENDRAN: We're a little past 11:10,
13 so I think it's time for us to take a quick
14 10-minute break. Panel members, please remember
15 that there should be no chatting or discussion of
16 the meeting topic with other panel members during
17 the break. We will resume at 11:20 to start with
18 the agency presentations.

19 MR. DeKARSKE: Dr. Narendran?

20 DR. NARENDRAN: Yes?

21 MR. DeKARSKE: Excuse me. There were two
22 questions during the presentation concerning the

1 history of the protocol for 019, and this concerns
2 the primary endpoint. We've put together a slide
3 that outlines the history, and we'd be happy to
4 share just to, I think, perfectly clarify the
5 inception of the protocol, its conduct, and up to
6 database lock.

7 DR. NARENDRAN: If the agency's ok with it,
8 maybe we can do that right before they start.

9 Is that ok? I want to check with them.

10 (Whereupon, at 11:12 a.m., a recess was
11 taken.)

12 DR. NARENDRAN: Thank you, everyone.
13 Hopefully everyone's back.

14 I just wanted to give the sponsor a couple
15 minutes to address their request before we start
16 with the FDA presentations. So if you guys want to
17 go ahead and respond about the Study 019.

18 DR. TARIOT: Thank you. This is Pierre
19 Tariot, pinch-hitting just for a moment while the
20 team reassembles.

21 Could we pull up -- is it TI-72? Because we
22 realize we've kind of not given a crisp response to

1 this, so I'm stalling a little bit.

2 Daryl, you're on.

3 DR. STANKOVIC: Serge Stankovic, Acadia,
4 speaking. I want to thank Dr. Fiedorowicz and
5 Dr. Thambisetty for asking this question. It is
6 very important that we are absolutely clear on this
7 point.

8 I want to make two points. One is the
9 6-week endpoint was never a subject on any protocol
10 amendment, and it was never modified from the
11 beginning of the trial to the end of the trial. In
12 terms of amendments, let me just go through history
13 very quickly.

14 The study protocol was approved in 2010.
15 There were three protocol amendments to this
16 protocols, Study 019, one in 2013, as you can see
17 on the slide; one in 2014; and one in 2015; the
18 last on 16th of November of 2015.

19 Data for this study was locked on
20 December 2, 2016, and the data was blinded on
21 December 5, 2016, which means the full year plus
22 after the last protocol amendment. And above and

1 beyond all of that, none of these protocol
2 amendments ever made any changes to the 6-week
3 endpoint. So I hope that this clearly states and
4 clarifies that there were not any changes to the
5 endpoint and not any changes to the protocol,
6 per se, following the database lock and the data
7 unblinded.

8 I also want to say that the misunderstanding
9 most likely comes from clinicaltrials.gov, which is
10 involving posting often with some mistakes. And in
11 this case, the clinical trial posting was just an
12 error, but it doesn't have anything to do with the
13 protocol amendments, with implementation of the
14 protocol, or with the timing of the database lock
15 and unblinding.

16 If there are any questions, I'm happy to
17 respond, but I hope that this clarifies this on a
18 factual basis. Thank you.

19 DR. THAMBISETTY: Dr. Narendran, may I make
20 a quick response? This is Dr. Thambisetty.

21 DR. NARENDRAN: Sure, very quickly, please.
22 Thank you.

1 DR. THAMBISETTY: Sure.

2 Dr. Stankovic, thanks a lot for that
3 clarification. My concern is about the
4 clinicaltrials.gov website, which says that there
5 were two amendments made in July 2017 and September
6 2017, which is a year after the blind was broken
7 from your slides.

8 So if that was an error, it might need to be
9 corrected because that is a public record. It's
10 something that you as a sponsor have submitted to
11 clinicaltrials.gov, and if you in fact do
12 side-by-side comparisons of earlier versions of the
13 protocol, with the amended protocols in July 2017
14 and September 2017, you can actually see text that
15 clearly have been deleted to say that the primary
16 endpoint of 12 weeks was in fact changed to
17 6 weeks. This may be a quirk of the
18 clinicaltrials.gov website; I do not know. But I
19 find it a useful resource to track changes to
20 protocol amendments that are not otherwise publicly
21 available, which is why I referenced that source to
22 preface my question. Thank you.

1 DR. NARENDRAN: Thank you. I think that
2 kind of addresses the issue.

3 So we will now proceed with the FDA
4 presentations, starting with Dr. Paul Bossie.

5 **FDA Presentation - Paul Bossie**

6 DR. BOSSIE: Thank you.

7 My name is Paul Bossie. I'm the clinical
8 reviewer for the application. I will discuss
9 relevant regulatory history, an overview of the
10 design and results of Study 019 and the
11 resubmission, and an overview of the design of
12 Study 045. My statistics colleague, Dr. Xiang
13 Ling, will discuss the Study 045 results and
14 resubmission analyses before I return to provide
15 concluding remarks.

16 Pimavanserin was approved in 2016 for the
17 treatment of hallucinations and delusions
18 associated with Parkinson's disease psychosis or
19 PDP. At a 2008 pre-investigational new drug
20 meeting, the applicant outlined a plan for
21 Study 019, a phase 2, randomized, double-blind,
22 placebo-controlled trial of pimavanserin in

1 subjects with Alzheimer's disease psychosis, or
2 ADP, to serve as part of a multi-study approach to
3 support a dementia-related psychosis indication.

4 At a 2017 end-of-phase 2 meeting, the agency
5 agreed that the treatment of hallucinations and
6 delusions associated with dementia-related
7 psychosis was a potentially approvable indication.
8 The agency expressed concern about basing a
9 regulatory decision on a single randomized
10 withdrawal study -- that is Study 045 -- but
11 ultimately agreed that it would be acceptable as a
12 well-controlled trial for supplement submission for
13 the indication of hallucinations and delusions
14 associated with dementia-related psychosis.

15 The agency agreed with the population as
16 long as subjects were stratified by their current
17 clinical diagnosis; that is dementia subtype, and
18 noted that labeling would reflect the actual
19 composition and response of subjects enrolled in
20 the study. The applicant submitted the supplement
21 in June 2020, supported by Study 045, with
22 Study 019, and resubmitted data from Study 020, a

1 phase 3 study in subjects with Parkinson's disease
2 psychosis, which included a subset of subjects with
3 dementia.

4 The agency issued a complete response in
5 April 2021, concluding that the supplemental
6 application did not provide substantial evidence of
7 effectiveness for the treatment of hallucinations
8 and delusions associated with dementia-related
9 psychosis. Although it is very important to note
10 that Study 045 was not powered to demonstrate
11 subgroup efficacy, an examination of dementia
12 subgroups revealed the following observations.

13 Results for the Parkinson's disease
14 dementia, or PDD, subgroup were highly nominally
15 statistically significant. Despite being a
16 relatively small subgroup of 35 subjects, the
17 finding in the Parkinson's disease dementia
18 subgroup appeared to drive the overall study
19 results.

20 Again noting that the study was not powered
21 for subgroup statistical analysis, the results for
22 the Alzheimer's disease, or AD, subgroup were not

1 nominally statistically significant despite being
2 the largest subgroup with 123 subjects. However,
3 numeric separation of the AD group from placebo was
4 apparent.

5 Too few subjects with dementia with Lewy
6 bodies or frontotemporal dementia were included to
7 adequately represent those subgroup responses, and
8 there was no difference on time to relapse between
9 pimavanserin and placebo in the vascular dementia
10 subgroup.

11 The agency noted that the results of
12 Study 045 essentially demonstrated what was already
13 known, that pimavanserin was effective in the
14 treatment of Parkinson's disease psychosis whether
15 or not patients have dementia. The agency noted
16 that the Study 045 findings suggested a
17 differential response to pimavanserin across
18 dementia subtypes, which called into question
19 whether dementia-related psychosis is a useful
20 construct for a potential indication. Finally, the
21 agency noted concerns related to trial design and
22 conduct with Study 019.

1 The applicant discussed its resubmission
2 plans with the agency at post-complete response
3 meetings, including the intention to change the
4 proposed indication to the treatment of
5 hallucinations and delusions associated with
6 Alzheimer's disease psychosis. The agency agreed
7 to consider the applicant's points in a
8 resubmission but advised that an additional
9 adequate and well-controlled study in subjects with
10 Alzheimer s disease psychosis would likely provide
11 the strongest data in support of a resubmission.

12 Now I will discuss Study 019. Study 019 was
13 a phase 2, randomized, double-blind,
14 placebo-controlled study of pimavanserin tartrate
15 40 milligrams once daily versus placebo. In its
16 tartrate form, 40 milligrams is equivalent to the
17 34-milligram free-base approved dose.

18 The study was conducted within a network of
19 133 nursing homes in the United Kingdom, overseen
20 by a single principal investigator. The 12-week
21 treatment period was preceded by an approximately
22 3-week screening period, during which subjects

1 completed an antipsychotic washout if necessary,
2 and caregivers were trained to provide brief
3 psychosocial therapy to the subject a minimum of
4 3 times per week with the target of 5 times per
5 week.

6 Per the applicant, the intention of the
7 brief psychosocial therapy was to minimize placebo
8 response prior to randomization, and to assure that
9 only subjects requiring pharmacologic therapy were
10 randomized into the study. The study enrolled
11 181 nursing home residents at least 50 years old,
12 who met criteria for possible or probable
13 Alzheimer's disease with psychosis, with baseline
14 Mini-Mental Status Examination, or MMSE, scores
15 between 1 and 22, inclusive.

16 Subjects were to have been nursing home
17 residents for at least 4 weeks and not be confined
18 to bed. Psychotic symptoms, including visual or
19 auditory hallucinations, or delusions, were to have
20 developed after the diagnosis of Alzheimer's and
21 subjects were to have verbally communicated
22 symptoms during the month before screening and

1 weekly during 2 weeks prior to baseline.

2 Subjects were excluded for psychotic
3 symptoms caused by another reason such as delirium
4 or schizophrenia. Anti-dementia drugs, that is
5 acetylcholinesterase inhibitors and memantine,
6 antidepressants, and anxiolytics, were permitted if
7 doses were stable before and during the study.
8 Subjects were randomized 1 to 1 to pimavanserin or
9 placebo, and were stratified by baseline MMSE score
10 and Neuropsychiatric Inventory-Nursing Home Version
11 Psychosis Score, or NPI-NH PS, which I will
12 describe next.

13 The primary endpoint was the mean change
14 from baseline to day 43 on the NPI-NH PS. The
15 Neuropsychiatric Inventory was developed to
16 evaluate 12 neuropsychiatric disturbances, or
17 domains, common in dementia such as delusions,
18 hallucinations, agitation -- sorry. I don't know
19 what just happened.

20 (Pause.)

21 DR. BOSSIE: Okay.

22 Is anyone else in the screen? I don't know

1 why it's the same thing.

2 (Pause.)

3 DR. BOSSIE: Sorry about that.

4 The Neuropsychiatric Inventory was developed
5 to evaluate 12 neuropsychiatric disturbances, or
6 domains, common in dementia such as delusions,
7 hallucinations, agitations, and disinhibition.

8 (Pause.)

9 DR. BOSSIE: I apologize for the slide. I
10 can't figure out why it's been pulled.

11 (Pause.)

12 DR. BOSSIE: Great. Thanks. Sorry about
13 that.

14 The NPI-NH PS includes the delusions and
15 hallucinations domains. The score of each item, as
16 present, represents the product of symptom
17 frequency in a range of 1 to 4, and severity in a
18 range of 1 to 3, for a maximum score of 12 on each
19 domain, with higher scores denoting worse symptoms.
20 As the NPI-NH PS consisted of two domains, the
21 maximum possible score is 24. A trained rater was
22 to conduct the assessment with an appropriate

1 caregiver at the nursing homes.

2 The NPI has been used in other development
3 programs, but the NPI-NH PS has not been used in
4 other development programs regulated by the agency.
5 Although the NPI-NH PS is considered an adequate
6 endpoint for exploratory purposes regarding this
7 context of use, the agency's Division of Clinical
8 Outcome Assessment has noted that the developed
9 evidence supporting its use has not been optimized.
10 There are residual concerns with the scoring and
11 the interpretation of group and individual
12 differences, and limited evidence of content
13 validity for this context of use.

14 The scoring algorithm, which totals the
15 product of severity and frequency item scores for
16 each domain, as seen in the upper-left table,
17 yields a metric that may be difficult to interpret.
18 Different permutations of severity and frequency
19 can result in the same score highlighted here in
20 the same color. For example, a severity of
21 moderate and a frequency of often result in a score
22 of 6, as does a severity of severe and a frequency

1 of sometimes.

2 As seen in the theoretical example in the
3 table below, subject A's baseline delusions of
4 moderate severity decreased to mild at end of
5 study, but the delusion frequency of very often did
6 not change. Conversely, their baseline
7 hallucinations frequency of very often decreased to
8 often at end of study, but the hallucinations
9 severity of severe did not change. Examining the
10 combined delusions plus hallucinations scores, the
11 overall change from baseline to end of study is
12 minus 7 points.

13 In terms of implications of subject A,
14 changes in severity or frequency may or may not be
15 meaningful, depending on subject and caretaker
16 input. For example, is a reduction in
17 hallucinations frequency from very often to often,
18 but remaining severe considered to be meaningful
19 improvement?

20 The meaningfulness of reduction in frequency
21 when severity levels remain the same in terms of
22 impact on subjects or caregivers may be unclear,

1 for example. Subject B began with mild delusions
2 at baseline, occurring sometimes, that remitted by
3 the end of study. Subject B had severe
4 hallucinations that occurred often at baseline,
5 which decreased to moderate severity at the end of
6 study with no change in frequency.

7 Examining the combined delusions plus
8 hallucinations scores, the overall change from
9 baseline at the end of study is minus 5 points. In
10 terms of implications for subject B, this 5-point
11 change may or may not be considered meaningful
12 because mild delusions were remitted while
13 hallucinations decreased in severity but not
14 frequency.

15 Additional information, such as more
16 qualitative studies of the endpoint with feedback
17 from patients and caregivers, could help provide
18 understanding of the clinical meaningfulness of the
19 results.

20 Missing evidence for content validity
21 includes research within the development program to
22 provide evidence of content validity or a

1 comprehensive review of the literature with a
2 summary focus on how the items measure the targeted
3 concept of interest; that is hallucinations and
4 delusions in the Alzheimer's population. There are
5 overall gaps in psychometric evidence in the
6 literature.

7 The caretaker's rating of hallucinations or
8 delusions may not reflect the entirety of the
9 patient's experience because certain aspects of
10 psychosis are not explicitly presented in the
11 respective subquestions.

12 Secondary endpoints include the Alzheimer's
13 Disease Cooperative Study-Clinical Global
14 Impression of Change, or ADCS-CGIC, rating at
15 day 43. As with other CGIC scales, the rater is
16 asked to rate the subject's functioning relative to
17 the baseline interview using a standardized 7-point
18 scale from 1, marked improvement, to 7, marked
19 worsening. Other secondary endpoints included the
20 change from baseline to day 43 on two other NPI-NH
21 domains, on the Cohen-Mansfield Agitation
22 Inventory-Short Form, or CMAI-SF, total score, and

1 on three of its subdomains.

2 The CMAI-SF is a 14-item instrument
3 assessing the frequency of manifestations of
4 agitation in the elderly, based on directly
5 observable behaviors, including physically and
6 verbally aggressive behaviors within the previous
7 2 weeks, with each item rated on a scale of 1,
8 never, to 5, a few times an hour or continuous for
9 half an hour or more. The score range is 14 to
10 70 points, with higher scores indicating more
11 frequent agitation symptoms.

12 Relevant exploratory endpoints included
13 analysis of the primary and secondary endpoints at
14 time points other than day 43, including the
15 NPI-NH PS durability of response from day 43 to
16 day 85, the change from baseline to day 43 on the
17 NPI-NH PS by baseline NPI-NH PS and MMSE score
18 subgroups, and on the Alzheimer's Disease Cooperative
19 Study-Activities of Daily Living, or ADCS-ADL,
20 instrument total score. The caregiver-rated ADCS-ADL
21 was an exploratory functional endpoint that includes
22 23 items related to subject ADLs, independent ADLs,

1 and functioning.

2 For the primary endpoint, the analysis was
3 performed using the mixed-effect model for repeated
4 measures, or MMRM method, in the full analysis set
5 population. The model included the fixed effects
6 of baseline MMSE category, baseline NPI-NH PS as
7 continuous covariate, and treatment by visit
8 interaction. The statistical analysis plan did not
9 specify multiplicity adjustment for the secondary
10 endpoints.

11 Among the 181 all-randomized subjects, 91
12 were assigned to placebo and 90 to pimavanserin.
13 The full analysis set, or FAS, of 178 subjects
14 included randomized subjects with both baseline and
15 at least one post-baseline NPI-NH PS, with
16 91 subjects in placebo arm and 87 in the
17 pimavanserin arm.

18 Of the 181 subjects randomized to the
19 double-blind period, .80 percent in the placebo arm
20 and 75 percent in the pimavanserin arm completed
21 12 weeks of double-blind treatment. The most
22 common cause for early termination for the total

1 group was adverse events, followed by withdrawal by
2 subject.

3 I'll provide an overview of major protocol
4 deviations, as we will discuss these further
5 regarding the applicant's resubmission. The most
6 common categories of protocol deviation were
7 related to missed study procedures, such as labs or
8 vital signs, informed consent, and eligibility
9 criteria. The most frequently reported eligibility
10 criteria deviations included use of exclusionary
11 medication at the time of randomization and
12 enrollment without meeting criteria, and most
13 notably, inability to confirm psychosis onset after
14 Alzheimer's diagnosis.

15 Regarding medications, the applicant noted
16 that subjects were treated by healthcare providers
17 in nursing homes who were not involved in the
18 study, and it was common for medications to be
19 prescribed during the study without the knowledge
20 or consent of the investigator. Treatment with
21 these medications was often a deviation, including
22 if given and discontinued pre-randomization if the

1 medications were not discontinued far enough before
2 randomization on later determination.

3 Regarding eligibility criteria, the
4 applicant noted that for many subjects, neither the
5 nursing home personnel nor the medical record could
6 provide a date of onset of psychotic symptoms. In
7 those subjects, the date was reported as unknown or
8 the same day as the Alzheimer's onset because it
9 could not be confirmed if psychosis onset was after
10 Alzheimer's onset; though the applicant reports
11 that the investigator had determined that the
12 subject had Alzheimer's, and there was no history
13 of other psychotic disorder.

14 Treatment arms were well-balanced by sex,
15 age, race, and ethnicity. The mean age of subjects
16 was approximately 86 years across both arms.
17 Approximately 80 percent of subjects were female
18 across both arms. Race included 98 percent white
19 subjects in the placebo arm and 93 percent white
20 subjects in the pimavanserin arm. No subjects
21 identified their ethnicity as Hispanic or Latino.

22 Although the treatment arms were well

1 balanced, the study population was not
2 representative of the U.S. population in terms of
3 racial or ethnic characteristics, being almost
4 entirely white and entirely non-Hispanic or Latino.
5 It is unclear how these differences between the
6 U.S. population and the study population may affect
7 the generalizability of the study results.
8 Multiple analyses have found a higher risk of
9 dementia in black and Hispanic-Latino populations
10 than in white populations.

11 The treatment arms were also generally well
12 balanced with respect to the duration of
13 Alzheimer's and psychosis and baseline NPI-NH total
14 scores, NPI-NH PS, MMSE, and CMAI-SF total scores.
15 The median duration of Alzheimer's was
16 approximately 57 months. The median duration of
17 Alzheimer's disease psychosis was approximately
18 16 months. At baseline, the median NPI-NH PS was 8
19 on a possible range of 0 to 24, and the median
20 CMAI-SF total score was 27 on a possible range of
21 14 to 70, where higher scores indicate worse
22 symptoms on both scales.

1 A statistically significant treatment effect
2 for pimavanserin versus placebo was observed on
3 day 43 for the NPI-NH PS. The MMRM least squares
4 mean change from baseline was minus 3.76 for
5 pimavanserin group versus minus 1.93 for the
6 placebo group, for a treatment difference of
7 minus 1.84 with a p-value of 0.045. Various
8 sensitivity analyses to explore the impact of
9 missing outcomes yielded similar results to the
10 primary analysis.

11 Although pimavanserin achieved statistical
12 significance on the primary endpoint, I previously
13 discussed some of the challenges associated with
14 the interpretation of the NPI-NH PS in terms of
15 clinical meaningfulness.

16 For the secondary and relevant exploratory
17 endpoints, none of the between-group comparisons
18 met nominal significance and demonstrated no
19 notable numerical separation, including the
20 ADCS-CGIC, the CMAI-SF total score, or the ADCS-ADL
21 total score. Pimavanserin did not separate from
22 placebo on the NPI-NH PS at day 64 or day 85, as

1 seen in this figure.

2 The figure displays the least squares mean
3 change from baseline on the NPI-NH PS primary
4 efficacy measure over the 12-week treatment period.
5 The treatment effect appeared largest at day 43 but
6 diminished afterwards. Placebo response at day 43
7 was notable compared to other time points and
8 appeared to increase the treatment difference.

9 Overall, the lack of support from the
10 secondary efficacy endpoints and the exploratory
11 analyses that do not show discernible differences
12 on the NPI-NH PS at day 64 or day 85 raised the
13 question of whether the treatment difference of
14 day 43 is a chance finding -- for example, a sudden
15 one-time worsening in placebo group -- or questions
16 about the durability of effect.

17 In the resubmission, the applicant responded
18 to the study design and conduct concerns outlined
19 in the agency's complete response letter regarding
20 Study 019. At this time, the agency has concluded
21 that Study 019 is an adequate and well-controlled
22 trial suitable for regulatory decision making,

1 however, as noted previously, there are limitations
2 of the primary NPI-NH instrument, making
3 interpretation of the primary result somewhat
4 challenging.

5 Regarding study conduct, the Office of
6 Scientific Investigations, or OSI, conducted an
7 inspection of the applicant during the initial
8 supplement submission rather than an inspection of
9 the United Kingdom study site because of
10 COVID-19-related limitations.

11 Based on the findings from the applicant
12 inspection, OSI had concerns about data reliability
13 because of the number of protocol deviations, some
14 of which could potentially impact whether the
15 method of selection of subjects provides adequate
16 assurance that they have the disease or condition
17 being studied.

18 As described previously, those eligibility
19 violations principally involved subjects who did
20 not have clear documentation that psychotic
21 symptoms developed after Alzheimer's diagnosis had
22 been established, or subjects who received

1 exclusionary medications at the time of
2 randomization.

3 The applicant has noted that the proportion
4 of subjects with issues related to documentation of
5 diagnosis, or that received exclusionary
6 medications, was balanced between the treatment
7 groups. The applicant acknowledged that there were
8 difficulties establishing the date of Alzheimer's
9 diagnosis for some subjects but pointed out that
10 other eligibility criteria excluded subjects with
11 psychosis caused by other conditions such as
12 delirium or schizophrenia. The applicant also
13 represented per-protocol analysis results to
14 demonstrate the impact of protocol deviations.

15 Here, the left side of the table displays
16 the per-protocol set analysis, and the right side
17 of the table displays the statistical reviewer's
18 analysis that I'll discuss in a moment. As you can
19 see on the left side of the table, the per-protocol
20 set results were in favor of pimavanserin with a
21 treatment effect estimate of minus 3.31 and a
22 p-value of 0.006, compared to the primary analysis

1 treatment effect estimate of minus 1.84, with a
2 p-value of 0.045.

3 As seen on the right side of the table, the
4 statistical reviewer repeated the primary analysis
5 on the non-per-protocol analysis set; that is those
6 subjects who were randomized but were not in the
7 per-protocol analysis set.

8 The results showed a treatment effect
9 estimate of minus 0.65 for a nominal p-value of
10 0.648. The decrease in the treatment effect
11 estimate raises questions about the Applicant's
12 contention that the protocol violations should not
13 have affected the results.

14 Of note, almost 47 percent of subjects were
15 excluded in the per-protocol analysis. Such a
16 large number of randomized subjects excluded from
17 the analysis could lead to selection bias and
18 exaggeration of treatment effect. Results of this
19 subgroup may not be generalizable to the intended
20 population. The full analysis set should be used
21 to assess treatment effect rather than the
22 per-protocol set.

1 Overall, the agency anticipates that we will
2 be able to rely upon the data from Study 019 for
3 regulatory decision making, based on the balanced
4 distribution of the protocol deviations and after
5 examining the nature of the deviations and
6 mitigating factors such as other eligibility
7 criteria.

8 In summary, the results of Study 019
9 demonstrated a statistically significant result on
10 the primary endpoint change from baseline to day 43
11 on the NPI-NH PS. The endpoint appears to have
12 face validity for a phase 2 exploratory study, but
13 the developmental evidence supporting its use is
14 not optimized. The clinical meaningfulness of the
15 treatment difference may be difficult to interpret
16 and would benefit from support by other outcome
17 assessments.

18 On secondary and exploratory endpoints,
19 there was a lack of notable separation from
20 placebo, whether a nominal statistical significance
21 or a numerical, so we lack evidence in the
22 secondary or exploratory endpoints to assist our

1 interpretation of the primary endpoint. The lack
2 of discernible differences on the NPI-NH PS primary
3 outcome measure after day 43 raises questions of
4 whether the difference of day 43 is a chance
5 finding or about the durability of effect.

6 Moving on to Study 045, Study 045 was a
7 phase 3, randomized, double-blind placebo-
8 controlled, multicenter, randomized withdrawal
9 study of pimavanserin 34 milligrams once daily
10 versus placebo, with potential dose adjustment to
11 20 milligrams described on a later slide.

12 Subjects were screened across
13 101 international sites, including 27 in the United
14 States. The 3 to 35-day screening period included
15 brief psychosocial therapy and an antipsychotic
16 washout if necessary, as in Study 019. A 12-week
17 open-label period was followed by an up-to-26-week
18 double-blind period.

19 Eligible subjects were 50 to 90 years old,
20 inclusive, with all-cause dementia and clinical
21 criteria for dementia subtype; with a baseline MMSE
22 score between 6 and 24, inclusive; and with

1 psychosis symptoms for at least 2 months. Subtypes
2 included Alzheimer's dementia; Parkinson's disease
3 dementia; dementia with Lewy bodies; frontotemporal
4 dementia spectrum disorders; and vascular dementia.

5 Subjects must have had screening and
6 baseline scores on the scale for the assessment of
7 positive symptoms, hallucinations plus delusions,
8 or SAPS-H+D, of at least 10, including scores on
9 Hallucinations and Delusions global items of at
10 least 4; and a score on a Clinical Global
11 Impression of Severity, or CGI-S, of at least 4,
12 moderately ill.

13 The full 34-item SAPS was designed to
14 measure hallucinations, delusions, abnormalities in
15 language and behavior, and disordered thought
16 processes. This study used the 20 items from the
17 Hallucinations and Delusions subscales, which
18 include global ratings of the severity of each.
19 Each item is rated on a 6-point severity scale from
20 0, none to 5, severe, for a maximum score of 100 on
21 the two subscales, with higher scores denoting more
22 severe symptoms.

1 As in Study 019, subjects were excluded for
2 other causes of psychosis such as delirium and
3 schizophrenia. As in Study 019, anti-dementia
4 drugs, antidepressants, anxiolytics were permitted
5 if stable before and during the study. No
6 requirement for stability for anti-Parkinson's
7 dopaminergic agents was included.

8 After the first week of the 12-week
9 open-label period, subjects were permitted to
10 decrease the dose to 20 milligrams for tolerability
11 and re-increase at 34 milligrams for efficacy, at
12 any scheduled or unscheduled visit until week 4, at
13 which point the dose remained stable.

14 To enter the double-blind randomized
15 withdrawal period at week 12, subjects had to meet
16 both response criteria at weeks 8 and 12, and
17 remain otherwise eligible. If not, they were
18 withdrawn and entered the safety follow-up period.
19 The response criteria required at weeks 8 and 12
20 included at least a 30 percent improvement on the
21 SAPS-H+D and Clinical Global Impressions
22 Improvement or CGI-I score of 1, very much

1 improved, or 2, much improved, relative to
2 baseline.

3 Responders were randomized 1 to 1,
4 stratified by dementia subtype and region. During
5 the double blind, subjects were assessed for
6 relapse of psychosis regularly, as well as at
7 unscheduled visits and contacts. Subjects were
8 considered to have relapsed if, compared to their
9 double-blind baseline, they demonstrated any of the
10 following: at least a 30 percent worsening on the
11 SAPS-H+D and CGI-I score of 6, much worse, or 7,
12 very much worse; if they were treated with other
13 antipsychotics for dementia-related psychosis; if
14 they stopped drug or withdrew for lack of efficacy;
15 or if they were hospitalized for worsening
16 psychosis. An independent adjudication committee
17 reviewed all termination cases that occurred before
18 the study discontinuation date to determine if
19 protocol-defined relapse criteria were met.

20 The primary endpoint was time from
21 randomization to relapse in the double-blind
22 period. The secondary endpoint was time from

1 randomization to discontinuation from the
2 double-blind period for any reason. Exploratory
3 endpoints relevant to the applicant's resubmission
4 included the SAPS-H+D total score and separate
5 Hallucinations and Delusions domain scores.

6 The total number of relapse events required
7 at the final analysis was 75. Sample size
8 calculation was based on a placebo relapse rate of
9 60 percent over 26 weeks and a pimavanserin relapse
10 rate of 35 percent over twenty 26 weeks, for a
11 hazard ratio of 0.47; a dropout rate of 25 percent
12 over 26 weeks; an overall two-sided alpha of 0.05;
13 and a one-sided O'Brien-Fleming stopping boundary
14 of 0.0033 for the interim analysis when half of
15 total planned relapse events occurred. The primary
16 endpoint was analyzed with the Cox regression model
17 with covariates for treatment group, dementia
18 subtype, and region.

19 Of the 392 subjects enrolled in the
20 open-label period, 351 subjects completed or
21 discontinued from the open-label period and 41 were
22 still ongoing in the open-label period at the time

1 of study discontinuation, following interim
2 analysis. Among the 351 subjects, 217, or
3 62 percent, met response criteria at weeks 8 and
4 12, and were randomized to the double-blind period.

5 The most common reason for early termination
6 during the open-label period was lack of response
7 for 20 percent of subjects, followed by
8 discontinuation for adverse events for 8 percent of
9 subjects. In terms of open-label responses, as you
10 can see here in the left column, within each
11 dementia subtype, roughly 60 percent of subjects
12 with Alzheimer's met the response criteria and were
13 randomized, and roughly 71 percent of the subjects
14 of PDD met the response criteria and were
15 randomized.

16 In the right column, roughly 19 percent of
17 subjects with Alzheimer's were considered to have a
18 complete response, defined as 100 percent symptom
19 reduction on the SAPS-H+D and a CGI-I of 1 or 2,
20 and roughly 27 percent of subjects with PDD had a
21 complete response.

22 In the open-label period in both

1 double-blind arms, subjects included roughly
2 60 percent females, mean age was roughly 74 years,
3 race was almost entirely white, and ethnicity was
4 roughly 76 percent non-Hispanic or Latino. In
5 terms of racial characteristics, the study
6 population was not representative of the U.S.
7 population, being almost entirely white.

8 Generally, dementia subtype distribution was
9 similar between open-label and double-blind periods
10 in both double-blind arms, with approximately
11 63 percent of subjects with Alzheimer's and
12 19 percent with PDD in the double-blind period.

13 Double-blind baseline mean MMSE scores were
14 generally similar between the arms. Mean SAPS-H+D
15 scores improved from open-label baseline at 24.4 to
16 similar double-blind baselines in both arms, at 5.0
17 for pimavanserin and 5.2 for placebo. As a
18 reminder, the possible range of SAPS-H+D scores are
19 of 0 to 100.

20 I'll turn it over here to my statistics
21 colleague, Dr. Xiang Ling, to discuss Study 045's
22 efficacy results and resubmission analyses.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA Presentation - Xiang Ling

DR. LING: Thank you, Dr. Bossie.

My name is Xiang Ling. I'm the statistical reviewer for Study 045. I'll cover the next few slides on the statistical analysis.

Study 045 met its primary endpoint of time from randomization to relapse in the double-blind period. In accordance with the statistical analysis plan, an interim analysis was conducted after 40 relapse events had occurred. The prespecified stopping criterion was met at interim analysis because the one-sided p-value of 0.0023 was less than the O'Brien-Fleming stopping boundary of 0.0033, and the study was stopped early for efficacy. However, there are large differences in the estimates of the treatment effects in terms of hazard ratio across the dementia subtypes. Only the treatment effects in the subgroups that include PDD subjects appeared to differ from placebo, with confidence intervals excluding no effect, hazard ratio of 1.

For the AD subgroup, the confidence interval

1 includes a hazard ratio of 1 and is wide,
2 indicating large statistical uncertainties about
3 the estimated treatment effect. Additionally, the
4 confidence intervals for the AD and the PDD
5 subgroups did not overlap, suggesting differential
6 treatment effect across dementia subtypes.
7 However, it is important to note that the study was
8 not powered to provide reliable estimates of the
9 subgroup effects and differences.

10 Additionally, the exploratory analysis of
11 the primary endpoint, excluding the PDD subset, did
12 not meet the O'Brien-Fleming stopping boundary of
13 0.0033, nor the nominal one-sided significance
14 level of 0.025. Of note, this exploratory analysis
15 has reduced power.

16 In the next few slides, we'll discuss the
17 resubmission with a focus on the analysis of the AD
18 subgroup in accordance with the revised indication
19 of the treatment of hallucinations and delusions
20 associated with Alzheimer's disease psychosis. In
21 the resubmission, the applicant asserted that there
22 was consistency of response across dementia

1 subtypes. The applicant hypothesized that the PDD
2 subgroup's smaller hazard ratio was caused by the
3 use of dopaminergic therapy to manage motor
4 symptoms of Parkinson's disease, which could cause
5 or worsen psychotic symptoms.

6 Additionally, the applicant conducted a
7 reanalysis of the primary and exploratory efficacy
8 endpoints for the AD subgroup, as well as an
9 exposure-response analysis that examines the
10 relationship between plasma pimavanserin
11 concentration and the primary efficacy endpoint.
12 We'll discuss each of them in the following slides.

13 The applicant conducted a test for
14 qualitative or crossover interaction, and concluded
15 that the treatment effects are directionally
16 consistent. However, there's apparent variation in
17 the magnitude, though not the direction, of the
18 treatment effect across subgroups. The treatment
19 effects estimates are very different between the
20 AD subgroup and the PDD subgroup, and the
21 confidence intervals for the AD and PDD subgroups
22 do not overlap.

1 We conducted an analysis that includes the
2 interaction of the treatment by the dementia
3 subgroup stratification factor in the primary
4 analysis model. The result appears to show
5 evidence about qualitative or non-crossover
6 interaction for differential treatment effects
7 across subgroups.

8 As we have seen, subgroup analysis by
9 dementia subtype suggests differential results. In
10 particular, there is a big difference in placebo
11 response across subgroups, which may be due to the
12 dopaminergic medication used according to the
13 applicant's hypothesis. However, dopaminergic
14 medication used was almost completely confounded
15 with the dementia subtype.

16 Almost all subjects with PDD were on
17 dopaminergic therapy, while few subjects in the
18 non-PDD subgroup were on this therapy. Therefore,
19 it is not possible to statistically adjust for the
20 dopaminergic medication effect for the PDD subjects
21 receiving placebo. Furthermore, it's unclear
22 whether the effect of dopaminergic medication on

1 the risk of relapse is the only explanation for the
2 possible difference in the treatment effect between
3 the AD and PDD subgroups. Still, this does not
4 affect the assessment of the treatment effect for
5 the AD subgroup, which is the focus of the
6 resubmission.

7 The prespecified primary analysis for time
8 to relapse was based on the Cox regression model
9 with treatment, designated dementia subtype, and
10 region as factors for the analysis of the overall
11 population. Both the dementia subtype and the
12 region were stratification factors for the
13 randomization.

14 The applicant conducted the modified Cox
15 regression analysis that included four factors
16 selected post hoc for the AD subgroup and excluded
17 the prespecified region factor. The results showed
18 a smaller hazard ratio of 0.475 and a smaller
19 p-value of 0.1, compared to the prespecified
20 primary Cox model.

21 There are some caveats to the post hoc
22 analysis. The choice of covariates should be

1 prespecified, and post hoc data-driven analyses are
2 difficult to interpret, and may be prone to bias.
3 In addition, for the covariate of the baseline
4 severity of psychosis, the applicant used an
5 open-label baseline SAPS-H+D score instead of the
6 double-blind baseline score, without providing
7 justification.

8 Arguably, the double-blind baseline score
9 may be more appropriate when testing the treatment
10 effects in the double-blind period, and there's no
11 reason to exclude region, which was a
12 stratification factor in the prespecified covariate
13 for the primary analysis.

14 We conducted a similar post hoc analysis,
15 adjusting for the same covariates that applicant
16 selected, except that the open-label baseline
17 SAPS-H+D score was replaced with double-blind
18 baseline score. In addition, we added back the
19 prespecified region covariate. The resulting
20 hazard ratio is similar to that of the prespecified
21 primary model.

22 In summary, none of the p-values reached

1 nominal statistical significance. The modified
2 model used by the applicant is not justified, and
3 post hoc and potentially data-driven analyses are
4 very challenging to interpret. Inference on the
5 treatment effect should be based on the
6 prespecified primary analysis unless in the rare
7 situation where the primary analysis is clearly
8 invalid, which is not the case here.

9 The most relevant exploratory endpoint for
10 this study was changed from double-blind baseline
11 in the SAPS-H+D score. The applicant conducted
12 post hoc analysis on this endpoint for the
13 AD subgroup using non-parametric test on ranked
14 scores. Specifically, the applicant assigned the
15 same best or second best rank to over half of the
16 subjects, whose scores never worsened during the
17 double-blind period. This analysis yielded a
18 nominal p-value of 0.0375, however, for these
19 subjects whose scores never worsened, there were
20 still differences in terms of how much the SAPS-H+D
21 scores changed from baseline. Additionally,
22 relapses may be considered the worst outcome

1 regardless of the change in SAPS-H+D score.

2 We conducted an analysis using the same
3 non-parametric test, but with ranks assigned
4 differently. We assigned worse rank to subjects
5 whoever relapsed based on the time to relapse, and
6 assigned a better rank to those who never relapsed
7 based on their maximum change score. This analysis
8 yielded a nominal p-value of 0.1355.

9 In summary, results of the exploratory
10 endpoints of SAPS-H+D score did not provide much
11 additional support for efficacy.

12 Dr. Bossie will now present the
13 exposure-response and concluding remarks.

14 **FDA Presentation - Paul Bossie**

15 DR. BOSSIE: The applicant also conducted an
16 exposure-response analysis to evaluate the
17 relationship between pimavanserin plasma
18 concentrations and time to relapse in Study 045 to
19 provide supportive evidence for efficacy. The
20 exposure-response analysis assessed whether the
21 efficacy difference between the Alzheimer's and PDD
22 subgroups were associated with plasma concentration

1 and its variability. However, it does not appear
2 that differences in subgroup efficacy are related
3 to pharmacokinetic exposure differences, as
4 exposures were similar between the Alzheimer's and
5 PDD subgroups. Higher pharmacokinetic exposures
6 were associated with a higher relapse-free
7 probability for both subgroups, but the drug effect
8 was lower for the Alzheimer's subgroup than the PDD
9 subgroup.

10 In summary, Study 045 demonstrated a
11 statistically significant result on its primary
12 endpoint of time to relapse in the double-blind
13 period. However, overall results appear driven
14 primarily by the PDD subgroup, suggesting a
15 possible differential response to pimavanserin
16 across dementia subtypes.

17 It is unclear whether the effect of
18 dopaminergic medication on the risk of relapse is
19 the only explanation for possible differences in
20 treatment effect between the PDD and Alzheimer's
21 subgroups, and use of the medications was
22 confounded by dementia subtype. Finally, post hoc

1 analyses demonstrated mixed results and are subject
2 to inherent limitations.

3 I'll summarize the overall evidence and
4 uncertainties to conclude.

5 In terms of evidence, both Study 019 and
6 Study 045 demonstrated statistically significant
7 results on their primary endpoints; in Study 019 on
8 the NPI-NH PS change from baseline to day 43, and
9 in Study 045, on the time from randomization to
10 relapse in the double-blind period in Study 045.

11 In terms of uncertainties, for Study 019,
12 the primary endpoint NPI-NH PS appears to have face
13 validity for a phase 2 exploratory study, but the
14 developmental evidence supporting its use is not
15 optimized. The clinical meaningfulness of the
16 treatment difference may be difficult to interpret
17 and would benefit from support by other outcome
18 assessments.

19 There was a lack of notable separation from
20 placebo on secondary and exploratory endpoints, so
21 we lack evidence to assist our interpretation of
22 the primary endpoint, and the lack of discernible

1 differences in the primary outcome NPI-NH PS
2 measure after day 43 raises questions of whether
3 the difference of day 43 is a chance finding or
4 about the durability of effect.

5 For Study 045, the primary endpoint results
6 appear driven by the PDD subgroup for whom
7 pimavanserin is already indicated as a population
8 with Parkinson's disease psychosis with and without
9 dementia. It is unclear if dopaminergic medication
10 use is the only explanation for the subgroup
11 efficacy difference between PDD and Alzheimer's.
12 Post hoc analyses offer mixed results and are
13 subject to inherent limitations.

14 That concludes our presentation. Thank you
15 for your attention.

16 **Clarifying Questions to FDA**

17 DR. NARENDRAN: We will now take clarifying
18 questions for the agency. Please use the
19 raise-hand icon to indicate that you have a
20 question, and remember to lower your hand by
21 clicking the raise-hand icon once again after
22 you've asked your question. When acknowledged,

1 please remember to state your name for the record
2 before you speak, and direct your question to a
3 specific presenter, if you can. If you wish for a
4 specific slide to be displayed, please let us know
5 the slide number, if possible.

6 Finally, it would be helpful to acknowledge
7 the end of your question with a thank you, and end
8 of your follow-up question with, "That is all for
9 my questions," so we can move on to the next panel
10 member.

11 The first question is from Dr. Follmann.

12 DR. FOLLMANN: Yes. Thanks.

13 I had a question about the effect of
14 dopaminergic medication. I look at this, and I see
15 that you have a very small p-value saying there's a
16 difference in the treatment effect between the PDD
17 and AD groups. That's an important result, and
18 whether or not that is driven -- I mean, it's
19 driven by the PDD group, but whether or not that is
20 further caused or driven by dopaminergic
21 medication, why does that matter? And if you
22 concluded it was entirely due to dopaminergic

1 medication, would that change your conclusions? It
2 seems to me you'd still have essentially an
3 underpowered study in the AD group. Over.

4 DR. FARCHIONE: Hi. This is Tiffany
5 Farchione, the director of the division. I think
6 the issue here is that it seems like a reasonable
7 explanation to say that if you have a dopaminergic
8 medication on board, that potentially when the
9 pimavanserin is withdrawn, that that could drive a
10 faster relapse of psychotic symptoms.

11 Unfortunately, that's a hypothesis. We
12 aren't able to say one way or the other, based on
13 the data that we have available. It does seem like
14 a reasonable hypothesis, but we can't answer that
15 question with any kind of certainty at this point.

16 DR. FOLLMANN: I mean, even if you knew this
17 hypothesis was true, how would I interpret the
18 effect in the AD group differently than what it is?
19 Which is sort of marginal or not really significant
20 and underpowered.

21 DR. FARCHIONE: Right. Well, that's one of
22 the questions that we're really asking the

1 committee to opine on in terms of the strength of
2 the data that we have available to us. I think
3 that, ultimately, the best way to respond to that
4 would be to have a study in the Alzheimer's only
5 groups, but we don't have that at the moment.

6 But we do have a package available that has
7 some evidence for us to review. These are things
8 that we agreed would be review issues at the time
9 of resubmission. But it does add a layer of
10 uncertainty, so we're certainly interested in the
11 committee's opinions about the overall strength of
12 that data.

13 DR. FOLLMANN: Yes. Thank you. That's all
14 I have.

15 DR. NARENDRAN: Our next question is from
16 Dr. Thambisetty.

17 DR. THAMBISETTY: Thank you, Dr. Narendran.
18 Madhav Thambisetty from the NIH.

19 The FDA sent in its complete response letter
20 in April 2021 it did not consider Study 019 to be
21 adequate and well controlled. In the Type A review
22 meeting in June 2021, they advised the sponsor to

1 perform a new study of specific dementia
2 populations; example, Alzheimer's disease. This
3 advice was again reiterated in December 2021 in the
4 Type C guidance meeting where, again, the agency
5 continued to advise the sponsor that an additional
6 adequate and well-controlled study in AD psychosis
7 would likely provide the strongest data and support
8 of a resubmission.

9 With all of the analysis presented today,
10 Study 019, in my opinion, still remains not
11 adequate and not well controlled. The most
12 substantial analysis presented to support Study 019
13 in the resubmission to me looks as if it consists
14 of throwing out 47 percent of data from protocol
15 violators, and then showing that there is a large
16 treatment effect, which to me is not valid in any
17 way because you cannot throw out nearly half of the
18 data of randomized participants to support the
19 analysis. And the fact that that seems to be the
20 only substantial analysis in the resubmission in
21 support of 019, to me, seems quite inadequate.

22 Now, does the FDA believe that the

1 resubmission analysis, excluding 47 percent of the
2 data, now render Study 019 adequate and well
3 controlled? Because that is not entirely clear to
4 me. Thank you.

5 DR. FARCHIONE: This is Tiffany Farchione
6 again. It's not the per-protocol analysis that
7 renders it adequate and well controlled; it was the
8 deeper examination of the nature of the violations
9 and the balance of the protocol deviations across
10 the two groups. So we're still looking at the
11 overall results from the full analysis set, but in
12 following up on some of those individual
13 deviations, we're reassured about the quality of
14 the data.

15 DR. NARENDRAN: The next questions is
16 Dr. Walter Dunn.

17 DR. W. DUNN: Hi. Walter Dunn, UCLA. This
18 is a question for Dr. Bossie about Study 019, so
19 it's two questions about it.

20 Number one, there's been discussion about
21 the lack of racial and ethnic representation in
22 terms of generalizability to the U.S. population.

1 Has there been a precedent in the FDA about
2 accepting the result of a trial that was conducted
3 exclusively outside the U.S. for an acute phase
4 treatment study, supporting either supplemental or
5 initial novel drug approval?

6 Then the second question also relates to
7 019. How often do you see protocol deviations in
8 the 50 to 65 percent range? Obviously, you noted
9 that it's quite high but, generally, what's the
10 baseline that you see across your other studies?

11 DR. FARCHIONE: Quickly. This is
12 Dr. Farchione again. I know you directed that
13 towards Dr. Bossie, but perhaps that would be a
14 better question for Dr. Dunn, considering his
15 broader perspective of agency precedent.

16 DR. W. DUNN: Sure, of course. Thank you.

17 DR. B. DUNN: Sure. This is Dr. Dunn, FDA,
18 and just unmuting.

19 To the first question, I want to make sure
20 I've got those in order since they were addressed
21 to Dr. Bossie. Your first question was about
22 basing approvals on foreign data, essentially?

1 DR. W. DUNN: Correct, or any foreign
2 population, non-U.S. population.

3 DR. B. DUNN: Yes. At a high level, it's
4 easy to answer that as yes. We are able to base
5 approvals and considerations of data on foreign
6 data. We do need to work with the sponsor to
7 understand the applicability of the foreign data to
8 the domestic population. And as you heard in
9 Dr. Bossie's presentation, some of the
10 characteristics of that population obviously differ
11 from our overall demographic makeup.

12 Quite honestly, and sadly, that's not
13 different than many of our domestic trials as well,
14 but as I think we all know, this is an area of
15 tremendous focus. But we do routinely encounter
16 data from non-domestic or ex-U.S. sources. As long
17 as there's no scientific reason to believe that
18 those data are inapplicable to our population, we
19 can rely on them for a regulatory action.

20 DR. W. DUNN: Thank you.

21 Then regarding the protocol deviation rates
22 of 50 to 65 percent in 019?

1 DR. B. DUNN: Oh, right. The second part of
2 your question, it's less about the rate. I don't
3 know that anybody on the team is going to have
4 those data at their fingertips in terms of a
5 comprehensive analysis of what is typically seen.
6 I think it's about the character and understanding
7 the potential impact.

8 I think you heard some presentations from
9 the team pretty clearly discussing this, and
10 Dr. Bossie and Dr. Ling can refer you back to the
11 slides for this to discuss, but it seems that the
12 team has looked at this, and felt that the
13 character -- and notwithstanding their quantitative
14 counts, but the character of the deviations has
15 been considered in some detail internally, and I
16 think you heard the team's assessment that the
17 study is suitable for consideration.

18 It's the primary study that offers for
19 support of the Alzheimer's disease population, and
20 it won. It's a positive study on its endpoint. So
21 the question for the committee is really the same
22 question that we're facing here, which is what is

1 the persuasiveness of the data that are provided by
2 the sponsor?

3 They do have a study in Alzheimer's disease;
4 it's Study 019, and you've heard some discussion
5 about the character of that study, and they have
6 some support from other studies. And we also
7 explore studies to sort out how much supportive
8 evidence comes from within a study or from some
9 other sources of data.

10 So you're presented with the same things
11 that we're thinking about. We're trying to sort
12 out what that primary source of evidence is and
13 what else might support it. You heard from the
14 team about some of the issues related to the
15 secondary endpoints in that study and how those
16 might play a role. But Study 019 in Alzheimer's
17 patients did win, and those deviations are not felt
18 to detract from that by the team at this point.

19 DR. W. DUNN: Thank you.

20 DR. NARENDRAN: Our next question is from
21 Dr. Iyengar.

22 DR. IYENGAR: Thank you. I guess my

1 question is basically this. One of the features of
2 randomized withdrawal design is that because only
3 responders are included at the second stage, the
4 treatment effect is generally believed to be
5 overestimated. There's a bias inherent to the
6 design.

7 Did anyone, either at the FDA or Acadia, do
8 any sort of assessment of what the magnitude of
9 that bias might be? That's my question. I'm done.

10 DR. FARCHIONE: This is Dr. Farchione again.
11 What kind of analysis would you have in mind in
12 terms of evaluating that?

13 DR. IYENGAR: I guess some sort of
14 simulation study -- perhaps using some of the
15 Study 019 data to get an estimate of an effect, and
16 then use that in a simulation study to assess what
17 the magnitude of the bias might be in Study 045.

18 I know that this is fuzzy, but one of the
19 things that I've heard repeatedly about randomized
20 withdrawal designs is we expect it to be biased in
21 favor of the treatment. I've just never heard
22 about, okay, how biased is it, and that's all I'm

1 asking at this point.

2 DR. FARCHIONE: Right. I don't believe that
3 anyone on our team has done an analysis of that
4 kind. I don't know if the applicant has anything
5 to add to that.

6 DR. IYENGAR: Okay. Thank you.

7 DR. NARENDRAN: The next question is from
8 Ms. Witczak.

9 MR. DeKARSKE: I'm sorry. This is Daryl
10 DeKarske with Acadia. We had a technical
11 difficulty.

12 Thanks for passing the question,
13 Dr. Farchione. I'd ask Serge Stankovic just to
14 comment briefly on the randomized withdrawal
15 designed and the question around bias.

16 DR. STANKOVIC: Yes. Thank you. Serge
17 Stankovic, Acadia.

18 A direct answer to your question, we do not
19 have or did not perform any estimate in that
20 regard. Frankly, we're not quite sure how would
21 that analysis look at all, so we really don't have
22 a response for your question either.

1 I would just say, in respect of the purpose
2 of the randomized withdrawal trial, which is to
3 evaluate maintenance of effect, we do not consider
4 that that design is inherently biased in the
5 demonstration of that maintenance of effect.

6 The second point that I would like to make
7 is that Study 045 data on ADP, in the context of
8 our overall submission, is supportive data to the
9 evidence of efficacy represented with other
10 studies, and the fact that it is overall positive
11 in another closely related indication of
12 dementia-related psychosis is also supportive of
13 the evidence of efficacy. Thank you.

14 DR. IYENGAR: Thank you.

15 DR. NARENDRAN: The next question,
16 Ms. Witczak.

17 MS. WITCZAK: Kim Witczak, Woodymatters,
18 consumer rep.

19 In preparation for this meeting I was doing,
20 it looks like Nuplazid has been considered an
21 atypical antipsychotic, but it looks like the
22 mechanism or something with the inverse agonist.

1 And I'm curious if you could explain what that is,
2 that mechanism, and who determines that.

3 Is that something that the company
4 determines or did the FDA determine it to be an
5 inverse agonist?

6 DR. FARCHIONE: This is Dr. Farchione again.
7 The designation of atypical antipsychotic is a
8 limitation of our terminology in terms of
9 medication class. It's pretty much anything that's
10 not an old-school, Haldol type antipsychotic. Any
11 of the newer generations from risperidone onward
12 would be considered atypical antipsychotics, even
13 though they all have different profiles of receptor
14 activity.

15 Most of the atypical antipsychotics, the
16 ones that are used for treatment of schizophrenia,
17 are dopaminergic in terms of their action. So in
18 response to your second question, yes; the company
19 provides data from animal studies, and receptor
20 occupancy studies, and things like that. The
21 agency does evaluate that, and we determine what
22 goes into the label in terms of the description of

1 the mechanism of action.

2 MS. WITCZAK: Okay.

3 DR. FARCHIONE: And oftentimes we are
4 narrowing that. I can't recall on this
5 application, in particular, if there were any
6 differences between what the company said and what
7 we said, but there have been occasions where a
8 company will try to make broader claims, and we'll
9 be like, "No, no, no, no. This is what we are
10 going to say about the mechanism of action." So
11 yes, it is something that we review very carefully
12 from our nonclinical team.

13 MS. WITCZAK: Okay, because I was wondering
14 if that would be -- thanks for that clarification
15 of what is atypical. Also, then I was thinking is
16 that what the point of differentiation is from a
17 marketing standpoint, but it sounds like it still
18 came from the company, and then you have to analyze
19 it. Is that correct?

20 DR. FARCHIONE: Yes. I mean, the data
21 always originates with the company, but we do our
22 own independent evaluation of what they submit,

1 yes.

2 MS. WITCZAK: Okay. Thank you.

3 DR. NARENDRAN: Our next question is
4 Dr. Cudkowicz.

5 DR. CUDKOWICZ: Thank you. I have two
6 questions, first about 019. I understand that this
7 is the trial really being considered as whether
8 it's persuasive enough, or not, because it's in
9 Alzheimer's.

10 One thing that has come up from the FDA is
11 the concern about the primary outcome measure, and
12 I wanted to learn a little bit more about that
13 because we did hear from the experts that Acadia's
14 brought in, who are treating patients, and leaders
15 in this field, that this is a good outcome measure.
16 And not having that much familiarity about it, I'd
17 like to understand more about that, because this
18 trial was positive and, in my opinion, was
19 persuasive on that outcome measure.

20 So can you explain a little bit more, aside
21 from the examples you gave, why you don't think
22 it's a good outcome measure? What's better in this

1 field for psychosis in Alzheimer's?

2 DR. FARCHIONE: This is Dr. Farchione again.
3 I will pass that over to David Reasner from our
4 clinical outcome assessment group.

5 DR. REASNER: Yes. Thank you. David
6 Reasner, Division of Clinical Outcome Assessment.

7 Well, that's a very broad question, so I
8 will identify a couple of areas where we have an
9 interest in additional evidence that supports the
10 endpoint. One area is what we would describe as
11 content validity. Often that comes from
12 qualitative research with patients, caretakers, and
13 treating healthcare professionals. Another area is
14 on the quantitative side. Those would be the
15 psychometric properties. Interesting psychometric
16 properties might include reliability between
17 raters, for instance.

18 With regard to this particular assessment,
19 while there was qualitative research conducted, it
20 didn't include all the areas we would typically
21 expect when a sponsor provides a supportive
22 evidence dossier for a particular endpoint.

1 With respect to the psychometric properties,
2 we've already pointed out, to a certain extent, the
3 difficulty of working with the total score, but in
4 general, the psychometric properties neither
5 correct nor undermine the core content validity of
6 the instrument, which we believe reflects relevant
7 and important concepts, however, the evidence
8 package as a whole is not as broad as we would have
9 expected.

10 I think, in part, there was a focus on the
11 other targeted concepts of interest, so we have
12 fewer assessments, secondary endpoints, secondary
13 assessments, with which to rely on, and thank you
14 for your question.

15 DR. CUDKOWICZ: Thank you.

16 I had just a question about 045, which I
17 view as the supportive study. The sponsors provide
18 some other analyses around the percent of patients
19 with worsening symptoms by degree, and I didn't see
20 that in the FDA's presentation or I missed it in
21 the briefing book. I was just wondering your
22 thoughts on that and the relevant -- this is like a

1 percent of people who worsened by a certain amount,
2 comparing in the Alzheimer's group the treated
3 versus placebo.

4 DR. LING: This is Xiang Ling, statistical
5 reviewer.

6 DR. FARCHIONE: Thank you, Xiang Ling. I
7 was just about to throw it over to you. Thanks.

8 DR. LING: We did talk about the analysis of
9 exploratory endpoints of the SAPS-H+D score, and
10 that plot is just another presentation. It's a
11 discrete presentation of the SAPS-H+D score. It's
12 descriptive in nature, but the analysis the
13 applicant conducted related to that plot is a
14 non-parametric test that we mentioned in their
15 presentation.

16 So in our conclusion, they said that the
17 analysis showed a nominally statistically
18 significant result with a p-value of about 0.04,
19 and our own analysis takes into consideration the
20 relapses, as well as the actual maximal change
21 score for all the patients, and our analysis
22 resulted in a p-value of 0.1355.

1 DR. CUDKOWICZ: Okay. Thank you.

2 DR. NARENDRAN: We have another question
3 from Dr. Walter Dunn.

4 DR. W. DUNN: Hi. Walter Dunn, UCLA. This
5 question is regarding the statistical approach used
6 by the FDA in their subsequent analyses for
7 Study 045. This is a similar question to what I
8 posed to Dr. Hendrix.

9 It looks like there were two different
10 approaches. The FDA looked at the effect of ADP,
11 or an ADP subgroup, by removing PDD. And as you
12 mentioned in your presentation, Dr. Ling, you lose
13 power, and the conclusion, at least, was that it
14 was not significant, based off the nominal p-value.
15 Acadia went at it a different way, where they did a
16 tipping-point simulation, where they preserved that
17 population that decreased the contribution from the
18 Parkinson's group.

19 So can you qualitatively comment on the
20 advantages and disadvantages of both approaches,
21 and perhaps why the FDA shows that approach versus
22 a similar tipping-point simulation that the sponsor

1 carried out? Thank you.

2 DR. LING: Sure. The applicant conducted a
3 simulation to show that the overall study still had
4 a large probability of success at the final
5 analysis, even if this treatment effect in the PDD
6 subgroup were simulated. However, the trial
7 conclusion should be based on actual data instead
8 of attenuated data.

9 Additionally, as the applicant's proposed
10 indication for the resubmission is changed to ADP,
11 we are interested in the probability of success at
12 the final analysis for the AD subgroup instead of
13 the overall population if in truth the treatment
14 effects in the AD and the PDD differ. The
15 probability of success at the final analysis for
16 the AD subgroup is about 19 percent, assuming that
17 treatment effect would not change over time.

18 This suggests that even if the trial was not
19 stopped early, the study would have low chance of
20 success for the AD subgroup at the completion of
21 the study. This is due to a smaller sample size
22 for the AD subgroup, as well as a smaller treatment

1 effect size for the AD estimated at the interim
2 analysis, compared to the assumed effect size at
3 the trial designing stage.

4 DR. W. DUNN: So if I understand the main
5 differences in the two approaches, the applicant's
6 approach would maintain a similar effect size but
7 have a larger end, while the FDA's approach would
8 maintain a similar effect size but have a smaller
9 end; hence, the different conclusions.

10 DR. LING: That's correct. The applicant's
11 approach, by adding more relapses, was actually an
12 increase in number of events and increase of power,
13 and with our analysis, the subgroup on AD will
14 decrease the power.

15 DR. W. DUNN: So When the applicant added
16 events, did they actually change the overall end or
17 did they just switch from non-relapse to relapse?

18 DR. LING: The power is related to the
19 number of events, not the number of subjects. So
20 by increasing the number of events, it increased
21 the study power.

22 DR. W. DUNN: Okay. Thank you.

1 DR. NARENDRAN: The next question is
2 Dr. Follmann.

3 DR. FOLLMANN: Yes. Thanks. This is just a
4 comment on the point about the bias of the
5 randomized withdrawal study, if that's ok to talk
6 about.

7 (No audible response.)

8 DR. FOLLMANN: Yes. An analogy is that in
9 blood pressure trials, we'll try and identify
10 people who are hypertensives. So you'll get people
11 who have true high blood pressures, but they might
12 read particularly high on that day, sort of a
13 random high in addition to having a true high blood
14 pressure, so if mentioned the next day, the blood
15 pressure goes down. It's known statistically as an
16 aggression to mean problem, and you can correct for
17 that.

18 I think what's going on here is the themes
19 are -- maybe you don't call it relapsing and
20 remitting, but there are periods when you have
21 psychoses, and then not. And if you grab people
22 when they're not having psychoses, it's sort of

1 what you're doing during the open-label stage, and
2 maybe they're due for a bad episode later.

3 So I think that's the fundamental thing. It
4 doesn't really lead to a biased estimate of the
5 between-group difference because you're selecting
6 both groups during open-label, and everything's
7 fine, but if you want to know what is the risk of
8 relapse, then within the drug arm, then you do have
9 this bias problem. Over.

10 DR. NARENDRAN: Thank you for that comment.

11 Our next question is Dr. Baker.

12 DR. BAKER: Thank you. Yes, this is Robert
13 Baker, the industry representative. I also was
14 going to ask about the randomized withdrawal design
15 for Dr. Farchione or whomever she'd like to
16 designate on this. I think we've heard a few
17 concerns and may be accepting the last one tied to
18 the exclusion of patients who don't respond in the
19 open period, or even that it might be particularly
20 biased in psychiatry.

21 From the perspective of industry, I was
22 thinking about randomized withdrawal, which is an

1 enrichment design and has to be interpreted fairly
2 as to how generalizable it is for the population
3 outside the enriched cohort, but nevertheless is
4 commonly used across therapeutic areas. And I
5 wouldn't see a particular reason why psychiatry
6 would be not a place for it to be used; and it
7 looked like the division had, after some discussion
8 with the sponsor, agreed to the approach.

9 So I just would be interested in your
10 thoughts on this or confirming that in the context
11 of other sources of evidence, it is a way to
12 establish a drug effect.

13 DR. FARCHIONE: Right. This is
14 Dr. Farchione again. I think your last comment, in
15 terms of it being in the context of other evidence,
16 is the key point here. In terms of standing on its
17 own, I'm not sure that that would be appropriate.
18 In this case, we have two other potential sources
19 of evidence. You have the assertion from the
20 applicant that we should be considering these as
21 closely related conditions, so we do have the prior
22 approval in Parkinson's disease psychosis, but then

1 you also have the data from Study 019.

2 So again, that's really why we're asking
3 about the overall strength of the evidence. And
4 we're ultimately going to ask the committee to
5 discuss the contribution of Study 020 to the
6 overall evidence base for this program, because
7 that's really the crux of the question here, is how
8 much can we glean from those other studies, given
9 that this Study 045, the randomized withdrawal is
10 really intended to be supportive data in this
11 context, not as a primary source of evidence?

12 DR. BAKER: Okay. Thank you. That's
13 helpful.

14 DR. NARENDRAN: The next question is
15 Dr. Thambisetty.

16 (No response.)

17 DR. NARENDRAN: Dr. Thambisetty?

18 DR. THAMBISETTY: Thank you. Sorry about
19 that. Madjav Thambisetty, NIH.

20 This is a question again for the FDA. It's
21 not entirely clear to me that looking to Study 020,
22 the initial study that formed the basis for the

1 approval, is valid here in this situation because
2 the data that the FDA has presented and analyzed
3 clearly show that the treatment response is
4 different in AD psychosis and some PD psychosis.

5 So given that there is convincing evidence
6 of a treatment by subgroup interaction to me is far
7 more compelling that these subgroups behave
8 differently in response to treatment than looking
9 to Study 020 as a prior, indicating that that lends
10 itself some support. I think the analysis
11 presented today is very convincing, at least in my
12 mind, that there is a very strong interaction for
13 treatment by subgroup, and the results presented
14 clearly show that the AD psychosis subgroup behaves
15 entirely differently from the PD dementia subgroup.

16 My question was with regards to the
17 uncertainties presented on slide 56, and I think
18 this is important, at least in my mind, because it
19 draws a sharp contrast between the interpretation
20 of the results by the FDA's reviewers and those the
21 sponsor presented earlier this morning.

22 So to my mind, I think slide 56 clearly

1 summarizes the reasons why Study 019 is not
2 persuasive because it seems to be driven by the
3 placebo worsening at week 6. It's not durable
4 because the curves are not separated out at 9 and
5 12 weeks, and the magnitude of the effect calls
6 into question the clinical meaningfulness, and that
7 is well summarized in slide 56.

8 I just wanted to clarify with the FDA that
9 that is in fact their position; that there is a
10 clear difference between how they interpret the
11 results of 019 to what the sponsor presented
12 earlier in the morning with respect to placebo
13 worsening, driving the results, and the lack of
14 durability beyond week 6. Thank you.

15 DR. FARCHIONE: This is Dr. Farchione. As
16 you note, these are things that we're presenting as
17 uncertainties, stuff that we have questions about.
18 But again, this is one of the primary reasons for
19 seeking advice from the committee at this point,
20 because these remain unresolved issues in the
21 review process.

22 So we're very interested in your opinions,

1 and I think that you've stated your opinion fairly
2 clearly at this point but, again, you'll have an
3 opportunity to summarize in the discussion portion
4 and with the vote.

5 DR. NARENDRAN: Our next question is
6 Dr. Iyengar.

7 DR. IYENGAR: Sorry. I had just forgotten
8 to put my hand down. Sorry.

9 DR. NARENDRAN: Thank you.

10 Then the next question is from Dr. Krishna.

11 DR. KRISHNA: Hi. This is Sonia Krishna at
12 University of Texas, Austin. I'm also very
13 concerned about the change at day 43. Like
14 Dr. Thambisetty's last comment, in the morning
15 presentation by Acadia, it was clear that that's
16 the endpoint showing that the medicine was
17 efficacious, and in the FDA presentation, it looks
18 like this could be a random error.

19 Is there any recommendation by the FDA of
20 how to determine whether or not this is random?

21 Then my second question related is, do we
22 know how long it actually takes the medicine to

1 start working, if it appears that the main point is
2 by 6 weeks and maybe not be sustained after? Thank
3 you.

4 DR. FARCHIONE: This is Tiffany Farchione
5 again. I can start with the second question, first
6 in terms of the other source of information that we
7 have for this would be the original pimavanserin
8 development program in Parkinson's, where that was
9 also a 6-week endpoint and, again, that was a very
10 strongly positive study at the time. So I think
11 that 6 weeks is a reasonable expectation, and the
12 study was designed based on the assumption that
13 we'd be able to see an effect at 6 weeks.

14 As for trying to determine whether it was a
15 random blip or a real effect, this is, again, one
16 of the reasons why we list the uncertainties that
17 we have. We would typically look to things like
18 related secondary endpoints or things of that
19 nature. In this case, when we look at the
20 secondary endpoints, we don't have additional
21 support. Now, not all -- really, the secondaries
22 that are really measuring the same thing are the

1 things looking at time point, and you can see on
2 the various graphs what that looks like.

3 The other secondary endpoints would not be
4 considered supportive either because they're not
5 nominally statistically positive or they're
6 measuring different things. So it makes it more
7 difficult to really understand what that effect at
8 day 43 is.

9 DR. NARENDRAN: Our next question is
10 Dr. Walter Dunn.

11 DR. W. DUNN: Hi. Walter Dunn again here
12 from UCLA, and kind of a broader question for the
13 division and also a clarifying question.

14 In the briefing documents, there was not
15 extensive mention about Study 020, although the
16 applicant certainly emphasized the positive results
17 from that study, and none of the voting questions
18 or discussion questions talk about opining on the
19 results of that in terms of influencing our
20 decision.

21 Is that something that you would want us to
22 formally consider when talking about overall

1 effectiveness about the evidence?

2 DR. FARCHIONE: This is Tiffany Farchione
3 again. No. Study 019 was the study that supported
4 the original approval, so we're not here to
5 re-litigate those findings. That was a positive
6 study. It led to the original approval. We
7 believe that pimavanserin works well in the
8 population for whom it's indicated right now.

9 The question of the relevance of Study 020
10 for this application has to do with the relatedness
11 question. It's being positioned as the idea that
12 you have a closely related condition. Like we
13 said, there's psychosis present in both Parkinson's
14 disease and Parkinson's with dementia, as well as
15 in Alzheimer's.

16 Now, normally speaking, if you were just
17 looking at the symptom across different
18 disorders -- so you have two different types of
19 dementia, you have two neurodegenerative disorders,
20 and both of them have psychosis -- again, a priori,
21 that's why we thought that it was reasonable to
22 include the two populations together in a single

1 study because they seem related. We had no reason
2 to believe otherwise.

3 Now what we're asking the committee to
4 discuss related to that is, in that context of
5 using it as support for this application and
6 looking at the data from Study 045, which again was
7 not powered to detect subgroup differences, how
8 would you interpret that, and how would you weigh
9 Study 020 in your overall evaluation of the
10 evidence for this program?

11 DR. W. DUNN: Yes. I probably should have
12 clarified why I asked that question. You addressed
13 it specifically about -- yes, I think another
14 key -- or probably the critical question for me is
15 how related are they, ADP and PDP, and what does
16 the current evidence tell us?

17 Okay. So that sounds like that's something
18 that you would certainly want to kind of hear about
19 our opinions as far as why we either believe or do
20 not believe that the two conditions are either
21 closely related or completely unrelated.

22 DR. FARCHIONE: Absolutely, yes. That's

1 what we're hoping for in the discussion. Thank
2 you.

3 DR. W. DUNN: Thank you.

4 DR. NARENDRAN: We have another question
5 from Dr. Thambisetty.

6 DR. THAMBISETTY: Thank you, Dr. Narendran.
7 I'd like to call attention to slide 48 from the
8 FDA's presentation, if possible. This slide refers
9 to one of several post hoc analyses performed for
10 Study 045.

11 While they are pulling up the slide, I can
12 also reference page 33 of the applicant's
13 submission and Figure 19, under the heading,
14 Substantial Evidence for Effectiveness for AD
15 Psychosis, and this is, again, relevant to the one
16 of many post hoc analyses that were performed by
17 the applicant. It looks as if the results that the
18 applicant chose to present on page 33 are what are
19 being referred to here as the applicant's modified
20 Cox analysis. It's slide 48, the previous slide.

21 To me, I respect the fact that the applicant
22 did say that all of these analyses were post hoc,

1 and therefore should be considered exploratory. I
2 think that's commendable. But to me it looks as
3 this is really an exercise in data dredging because
4 you're using a set of post hoc covariates that were
5 not prespecified. You're dropping a covariate that
6 was in fact prespecified. So the region factor was
7 a prespecified covariate that has been dropped for
8 no reason, at least no reason in the materials that
9 we were presented with.

10 So I'd like to ask if the FDA's reviewers,
11 or other people who analyzed the data at the FDA,
12 have any rationale presented to them by the sponsor
13 for why this particular set of covariates were
14 chosen; why a prespecified covariate was dropped
15 from these analyses; and was there a list of other
16 models that were run with other covariates that did
17 not show comparable results?

18 So I'm just trying to understand the charge
19 to the covariates used in this analysis by the
20 applicant, and whether the FDA had any data or
21 information as to why these were chosen. Thank
22 you.

1 DR. FARCHIONE: Dr. Ling?

2 DR. LING: The applicant didn't provide a
3 rationale for dropping the region factor, but they
4 did provide a rationale for selecting the four
5 covariates. It's basically based on literature and
6 the results of prior studies. Maybe the applicant
7 could add more details.

8 DR. THAMBISETTY: There's no reason to only
9 choose baseline severity of psychosis during the
10 open-label phase and not in the double-blind phase.
11 So you've clearly shown in your own analysis,
12 Dr. Ling, that when you use the psychosis severity
13 in the double-blind phase, you get a different set
14 of results. But to me, it looks as if -- the
15 impression that I'm getting is that a variety of
16 models were run with various permutations of
17 covariates, and what is being shown here is the
18 model that used the most ideal combination of
19 covariates to show the result that we're seeing
20 here.

21 DR. FARCHIONE: This is Dr. Farchione. I
22 would like to point out that we don't have any

1 evidence that the applicant would have done a bunch
2 of analyses, and then only presented the most
3 favorable to us. Again, perhaps Acadia can comment
4 on the specifics of why they chose this model, and
5 talk a little bit about their model development
6 process.

7 MR. DeKARSKE: Thanks, Dr. Farchione. I'll
8 ask Dr. Suzanne Hendrix to speak a little bit
9 further about various covariate adjusted models.

10 DR. HENDRIX: Thank you. Suzanne Hendrix,
11 statistical consultant.

12 When we were developing the model for the
13 covariate adjustment, we were looking at a couple
14 of things. The first is whether there were
15 baseline imbalances in some of these factors, and
16 then correcting for those imbalances because of the
17 post hoc subgroup nature of the ADP population
18 specifically. We had achieved significant overall
19 in the DRP, but because we weren't powered to see
20 significance in the smaller subgroup, we knew that
21 those baseline imbalances could make a bigger
22 difference.

1 We excluded region primarily because there
2 were smaller sample sizes in some of the regions,
3 in four separate regions. So with those smaller
4 sample sizes, there were some potential convergence
5 issues with that model. When we received the
6 response from the FDA, we went back and took their
7 model, which they had determined with an AIC
8 criteria, and we actually did another model where
9 we included our baseline, which was the
10 double-blind baseline, with their model. So we put
11 region in the double-blind baseline, and we got
12 actually even a better AIC, again, using the FDA's
13 criterion for the model selection.

14 The main reason we use double-blind
15 baseline -- or sorry; that we used open-label
16 baseline rather than double-blind baseline was that
17 at the open-label baseline, there was a lot more
18 difference in the patients because it was prior to
19 treatment, so they came in with all their different
20 severities of disease, and at the double-blind
21 baseline, everyone was on treatment, so they looked
22 much more homogeneous.

1 When we put both baseline models, baseline
2 terms in the model together, the double-blind
3 baseline does not add significantly to the
4 open-label baseline. But across all of these
5 different models within the ADP group and the ADP
6 34-mg dose, we get consistency of the hazard
7 ratios, with hazard ratios on the top of the
8 figure, from 0.48 to 0.64 within the all doses
9 group, and with 34-mg, 0.35 to 0.49.

10 The primary model, in my mind, based on the
11 AIC, is actually the second from the bottom, where
12 we have a 0.42 hazard ratio, a p-value 0.064, that
13 had the best AIC and included both terms that the
14 FDA had suggested and the terms that we had
15 prespecified, or that we had designated from the
16 literature and from past experience.

17 DR. NARENDRAN: Another question from
18 Dr. Apostolova.

19 DR. APOSTOLOVA: It's not a question. I
20 just, again, will postulate a bit and extend some
21 observations from the pathology literature, which
22 might actually explain, thus, the smaller effect

1 size in Alzheimer's. And that is that we know that
2 psychosis, first of all, is one of the defining
3 criteria for dementia with Lewy body, and also is
4 extremely frequent in Parkinson's disease dementia.
5 That is because it's strongly associated with the
6 presence of Lewy bodies.

7 In Parkinson's disease dementia, everybody
8 has Lewy bodies. In Alzheimer's disease and all
9 other disorders, about 50 percent of patients have
10 concomitant Lewy body pathology in the limbic, at a
11 minimum, part of the brain. So that could explain
12 why there is a little bit differential effect. We
13 know Lewy body pathology is associated with the
14 dopaminergic dysfunction. So I'm just offering the
15 explanation that we don't have to anticipate a
16 similar effect size in these disorders, based on
17 what we know pathologically. Thank you.

18 DR. NARENDRAN: If there are any other
19 questions --

20 (No response.)

21 DR. NARENDRAN: I have a quick question for
22 Dr. Farchione.

1 One of the things is this NPI psychosis
2 scale, it seems suboptimal, there are questions
3 about content validity, and there's a very small
4 effect. I mean, was this discussed early on? All
5 their other trials were done with this SAPS. It
6 seems a lot more robust and reliable.

7 I don't know. To what extent did this come
8 up ahead of the trial, or was it just kind of let
9 go because it was phase 2, and it's exploratory at
10 that point?

11 DR. FARCHIONE: This is Dr. Farchione. The
12 earliest discussions of the study design and the
13 endpoint, everything happened back in like 2008.
14 To give you some impression, that was before I even
15 started at the agency. So at that time, we didn't
16 actually even have -- the current iteration is the
17 clinical office assessment division, but prior to
18 that, it was something called "SEALD," which was
19 the study endpoints and labeling development team.
20 We didn't even have SEALD yet at that time, so
21 really the assessment of endpoints back then was
22 primarily one of face validity more so than

1 anything else.

2 But again, with it being initially
3 conceptualized as an exploratory study and to be
4 part of a larger development program, the idea of
5 going back to look at that endpoint with greater
6 scrutiny, even as time went on, didn't really come
7 up. So that's sort of the history there.

8 DR. NARENDRAN: Thank you.

9 Are there any other questions? I just want
10 to do one last screen.

11 Dr. Apostolova, I see your hand is still
12 raised. Do you have another comment or question?

13 DR. APOSTOLOVA: No. Sorry.

14 DR. NARENDRAN: Okay.

15 If that's it, I guess we could break for
16 lunch at 10 minutes earlier than anticipated.

17 We will now break for lunch. We'll
18 reconvene at 2:00 p.m. Eastern time. Panel
19 members, please remember that there should be no
20 chatting or discussion of the meeting topic with
21 other panel members during the lunch break.

22 Additionally, you should plan to rejoin at around

1 1:45 to ensure that you are connected before we
2 reconvene at 2:00. Thank you.

3 (Whereupon, at 1:08 p.m., a lunch recess was
4 taken.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 A F T E R N O O N S E S S I O N

2 (2:00 p.m.)

3 **Open Public Hearing**

4 DR. NARENDRAN: Both the FDA and the public
5 believe in a transparent process for information
6 gathering and decision making. To ensure such
7 transparency at the open public hearing session of
8 the advisory committee meeting, FDA believes that
9 it is important to understand the context of an
10 individual's presentation.

11 For this reason, FDA encourages you, the
12 open public hearing speaker, at the beginning of
13 your written or oral statement to advise the
14 committee of any financial relationship that you
15 may have with the sponsor, its product, and if
16 known, its direct competitors. For example, this
17 financial information may include the sponsor's
18 payment of your travel, lodging, or other expenses
19 in connection with your participation in this
20 meeting.

21 Likewise, FDA encourages you, at the
22 beginning of your statement, to advise the

1 committee if you do not have any such financial
2 relationships. If you choose not to address this
3 issue of financial relationships at the beginning
4 of your statement, it will not preclude you from
5 speaking.

6 The FDA and the committee place great
7 importance in the open public hearing process. The
8 insights and comments provided can help the agency
9 and the committee in their consideration of the
10 issues before them.

11 That said, in many instances and for many
12 topics, there will be a variety of opinions. One
13 of our goals for today is for the open public
14 hearing to be conducted in a fair and open way,
15 where every participant is listened to carefully
16 and treated with dignity, courtesy, and respect.
17 Therefore, please speak only when recognized by the
18 chairperson. Thank you for your cooperation.

19 Speaker number 1, your audio is connected
20 now. Will speaker number one begin and introduce
21 yourself? Please state your name and any
22 organization you are representing for the record.

1 DR. ALVA: Well, thank you very much for the
2 opportunity. This is Dr. Gus Alva speaking. I am
3 currently the medical director of ATP Clinical
4 Research in Costa Mesa, California, and the medical
5 director for the Senior Brain Health Program at
6 Hoag Hospital in Newport Beach, as well as being an
7 associate professor at the University of California
8 in Riverside for the Department of Neuroscience.

9 I'm speaking on my own behalf, and obviously
10 the testimony that I'm giving is as a practitioner
11 and clinician, but I also need to let you know that
12 I was one of the investigators in the HARMONY trial
13 that you are reviewing right now. I sit on the
14 scientific advisory group for Acadia
15 Pharmaceuticals, and I lecture extensively,
16 nationally and internationally, and obviously have
17 had support from all of the major companies that
18 are out there, including Acadia.

19 But the reason for wanting to share some
20 thoughts with you right now is that there's a
21 serious unmet need of patients that suffer with
22 Alzheimer's disease, and then subsequently the

1 psychosis that comes about with them.
2 Unfortunately, this is a neurodegenerative
3 condition just like Parkinson's disease, which
4 you've had an opportunity of reviewing, where we
5 oftentimes see behavioral dysregulation and
6 psychotic symptoms flare in individuals, between a
7 third up to 40 percent of individuals thus
8 experiencing it, and we clearly note that there's a
9 serious ripple effect that affects not just the
10 patient, but also their loved ones, their family
11 members. So this is something that we see on a
12 daily basis.

13 I'm a neuropsychiatrist, and my patient
14 population is such that I see quite a few patients
15 with both Alzheimer's, as well as Parkinson's
16 disease, and oftentimes these conditions lead to
17 dementia. I obviously also treat other dementias,
18 including frontotemporal, dementia of Lewy body,
19 and so on, and thus my interest in having served as
20 an investigator in the HARMONY trial.

21 At the present time, we have a sense of
22 urgency in that there are no approved agents to

1 address the psychosis associated with dementia, and
2 unfortunately patients oftentimes get prescribed
3 off-label antipsychotics without a proven positive
4 benefit-risk. We note that cognition, motor
5 function, and increased morbidity and mortality are
6 clearly documented, based on multiple studies that
7 have been done in this particular arena, and as a
8 consequence of that, we need something that's been
9 proven and safe for our patients.

10 The important thing right now is that
11 there's a serious unmet need. The current
12 medication that you are reviewing is obviously
13 indicated for Parkinson's disease psychosis, but as
14 has been noted by individuals working for the FDA,
15 the overall mortality in patients that suffer with
16 dementia, including Parkinson's, when treated with
17 an agent like pimavanserin versus an atypical
18 antipsychotic, and in most cases, the most common
19 atypical antipsychotic that people reach for is
20 quetiapine, we certainly know the higher overall
21 morbidity and mortality in individuals being
22 prescribed medicines that do not have an FDA

1 approval right now.

2 So obviously, the reason that I wanted to
3 chime in is that, again, I see this on a daily
4 basis. It's a serious important unmet medical
5 need. We certainly note the overall risk-benefit
6 ratio for patients is something that is important
7 to consider.

8 We have the fortune of having committees
9 like yours that can review data, and then take a
10 look at potentially helping us. We obviously need
11 guidance, and we obviously need individuals to
12 peruse through all of the information as a
13 consequence of that and garner the potential aid
14 for many of the individuals that suffer with this
15 illness. When someone can't trust their family
16 members, when they're thinking that their spouse is
17 unfair --

18 DR. NARENDRAN: Sorry to interrupt. We have
19 to move on to the next speaker.

20 DR. ALVA: Oh. I apologize. Well, I thank
21 you kindly for your consideration of my thoughts.
22 Thank you.

1 DR. NARENDRAN: Speaker number 2, your audio
2 is connected now. Will speaker number 2 begin and
3 introduce yourself? Please state your name and any
4 organization you are representing for the record.

5 DR. SMALL: My name is Gary Small, and I've
6 spent most of my career studying and caring for
7 patients suffering from Alzheimer's disease and
8 their families. Both my mother and mother-in-law
9 are among the approximately 6 million Americans
10 living with the disease.

11 My geriatric psychiatry practice at UCLA for
12 three decades and Hackensack Meridian Health the
13 past two years has focused on patients with
14 cognitive impairment. I've served as an adviser
15 and speaker for Acadia in the past, but today I
16 speak on my own behalf.

17 Most people think of Alzheimer's disease as
18 a cognitive problem, but some of the scariest
19 symptoms for patients and caregivers are these
20 psychotic symptoms that afflict 30 percent of
21 patients with the disease. These symptoms may
22 worsen insomnia, confusion, and agitation, and

1 signal greater risk for nursing home placement and
2 mortality.

3 Most of the family caregivers in my practice
4 work outside the home all day and return home to
5 care for their loved one. These two full-time jobs
6 often lead to burnout and depression. Imagine a
7 daughter's frustration when her mother accuses her
8 of stealing her wallet when really her mother's
9 wallet is simply out of sight. Despite my best
10 efforts to explain that such paranoid thoughts
11 shouldn't be taken personally, caregivers still
12 feel hurt when the person they love and care for
13 lashes out at them.

14 The burden of caregiving is intense, and we
15 need to do a better job supporting caregivers. We
16 also need safe and effective therapies to manage
17 the symptoms and caregiver burden. Currently,
18 there is no approved treatment for Alzheimer's
19 related psychosis, and clinicians often prescribe
20 off-label antipsychotics with limited efficacy.
21 Such off-label use increases risk for further
22 cognitive decline, infection, and even death.

1 There's an urgent need for approved
2 therapies to treat the psychosis related to
3 Alzheimer's disease. Patients, families, and
4 caregivers need help in recognizing the onset of
5 symptoms that are psychosis so they can better
6 address them sooner rather than later. We also
7 need more institutional support for caregivers,
8 including affordable community resources,
9 personalized medical care, education, and advocacy.

10 I remember how painful it was for my sisters
11 and me to observe my mother, once a brilliant and
12 vital force in our lives, as her mental abilities
13 and engaging personality gradually slipped away
14 from us. That emotional anguish was almost
15 unbearable when she then started accusing us of
16 stealing her clothing and jewelry as we tried our
17 best to help. This disease impacts the entire
18 family, and we've got to do a better job in
19 providing support. Thank you.

20 DR. NARENDRAN: Thank you.

21 Speaker number 3, your audio is connected
22 now. Will speaker number 3 begin and introduce

1 yourself? Please state your name and any
2 organization you're representing for the record.

3 DR. WORZ: Good afternoon, and thank you for
4 allowing me to speak today. My name is Chad Worz,
5 and I'm chief executive of ASCP, the American
6 Society of Consultant Pharmacists. ASCP represents
7 thousands of pharmacists members managing drug
8 therapies and improving the quality of life of
9 geriatric patients and others in various settings,
10 long-term care facilities, and home and
11 community-based care.

12 Every day, pharmacists like me and members
13 of ASCP are in communities helping people live
14 better lives by effectively managing medications.
15 This experience has led me to speak today about
16 pimavanserin. At present, this medication is
17 approved for Parkinson's disease psychosis. Since
18 its approval for this indication, it has proven to
19 be an effective and reliable tool for many
20 clinicians and family caregivers.

21 I have witnessed pimavanserin improving the
22 quality of life of patients with Parkinson's

1 disease psychosis. It quells harmful
2 hallucinations and delusions that can manifest in
3 advancing Parkinson's. I can recount stories of
4 improvement that lessen the intensity, frequency,
5 and sometimes eliminated those hallucinations and
6 delusions.

7 One such patient I helped manage was seeing
8 children outside her window who seemed to be in
9 danger. The anxiety and agitation associated with
10 the hallucination was significant, impacting
11 everything from that person's eating and social
12 habits to their behavioral management.
13 Pimavanserin was able to eliminate those
14 hallucinations and delusions from daily occurrences
15 to monthly occurrences in a short 2-month time
16 span.

17 The patient's use of supportive medications
18 for anxiety and agitation were able to be
19 eliminated, her eating habits improved, and her
20 participation in social activities returned. Those
21 kinds of real-world outcomes are common in patients
22 treated with pimavanserin with PDP, and represent

1 an opportunity in people with hallucinations and
2 delusions, and other conditions, specifically
3 dementias.

4 Based on the evidence available,
5 pimavanserin shows effectiveness and reliability
6 for hallucinations and delusions in Alzheimer's
7 disease. Adding this new indication would add
8 another tool to providers working to support
9 patients living with Alzheimer's disease and its
10 associated neuropsychiatric symptoms like
11 psychosis.

12 At present, there are no tools in this
13 toolbox, and providers are left to select between
14 inaction and using other medications off label and
15 against an existing black box warning. The safety
16 of pimavanserin and the evidence of its utility in
17 patients with dementia make it a safe and
18 potentially effective option in a devastating
19 condition, which has no safe options.

20 An approval would bring hope to millions of
21 patients, family members, and healthcare
22 professionals struggling with this terrible

1 disease. We know that nearly half of families who
2 turned to nursing homes do so because of their
3 loved ones behaviors; in many cases, a direct
4 result of their psychosis. The ability of
5 providers and families to try this medication could
6 allow thousands of patients to stay home longer and
7 age in place.

8 As America ages, the ability of patients to
9 remain in their homes and communities is critical.
10 Geriatrics, like pediatrics, is a sensitive and
11 vulnerable population. It is common and crucial
12 that we ensure access to safe and potentially
13 beneficial treatments where often no other safe or
14 effective options exist.

15 I ask the committee to allow clinicians to
16 practice good medicine and recommend approval, and
17 put a potentially powerful and already proven tool
18 in the hands of providers for patients, families,
19 and caregivers. Thank you again for your time and
20 attention.

21 DR. NARENDRAN: Thank you.

22 Speaker number 4, your audio is connected

1 now. Will speaker number 4 begin and introduce
2 yourself? Please state your name and any
3 organization you are representing for the record.

4 MS. PESCHIN: Hi, everyone. I'm Sue
5 Peschin, and I serve as president and CEO of the
6 Alliance for Aging Research. The alliance receives
7 funding from the sponsor for non-branded health,
8 education, and advocacy on neuropsychiatric
9 symptoms of dementia, but today I'm here as a great
10 granddaughter who loved her Bubby.

11 I was lucky to know my great grandmother
12 until I was 13. We spent countless hours together
13 at the Riverview Senior Apartments in Pittsburgh.
14 We played cards, took walks, and visited people at
15 the nursing home up the path from her building at
16 the Jewish Home for the Aged.

17 When I was 11, my mom and I started noticing
18 how Bubby would forget to turn the stove off or
19 leave the water running. She slowly lost the
20 cadence in her step and her quick wit. When my mom
21 made the decision that Bubby needed nursing home
22 care, it was really hard, and I think the weight of

1 those decisions are often not recognized. It
2 helped that we knew many of the residents and staff
3 there. We were allowed to sometimes help with
4 Bubby's bathing and making sure her hair was
5 properly done.

6 For a few months, Bubby would occasionally
7 mention that she saw Hitler sneaking around the
8 building. When constant coverage of Princess
9 Diana's wedding was on TV, Bubby started to believe
10 I was Princess Diana. She would kiss my hand and
11 ask me to promise to keep kosher in the castle.

12 The staff taught my mom and me to go with
13 Bubby wherever she went in her mind. They knew
14 validation before it was a recognized thing to do.
15 We used distraction or told her Hitler left, and
16 that seemed to calm her. But after many months,
17 her hallucinations and delusions came more
18 intensely and more often, and they were harder to
19 redirect. She'd become very scared to the point of
20 not wanting to leave her room.

21 When I listen to somebody speak about
22 psychosis as something that only needs to be

1 managed with behavioral techniques, I wonder to
2 myself, has that person ever seen someone they care
3 about thrash around, screaming in abject fear, to
4 the point of soiling themselves and crying
5 uncontrollably. Have they ever seen it happen
6 multiple times or even more than once in a given
7 day? If not, I would ask them to think about what
8 that might be like for the person experiencing it
9 and for the people around that person trying their
10 best to help.

11 I recently saw a slide presentation against
12 antipsychotic use that included a picture of a
13 crying toddler. The presenter framed Alzheimer's
14 psychosis as if it were a developmental issue that
15 just needed proper prompting to fix. In truth, my
16 Bubby would have been badly injured had she not
17 been given Haldol back then.

18 Today, there are better therapies being
19 developed for neuropsychiatric symptoms, but we
20 still don't talk about symptoms like psychosis and
21 agitation as openly as we do about memory loss, or
22 about the importance of diagnosing and treating

1 them. The impact of this on care for people with
2 Alzheimer's is significant. Moderate-to-severe
3 neuropsychiatric symptoms diminish quality of life,
4 and they hasten death in people with Alzheimer's.
5 Please consider the perspectives of patients and
6 families as you make your important decisions
7 today, and thank you.

8 DR. NARENDRAN: Thank you.

9 Speaker number 5, your audio is connected
10 now. Will speaker number 5 begin and introduce
11 yourself? Please state your name and organization
12 you're representing for the record.

13 MR. SCHALL: Hi. John Schall, chief
14 executive officer of Caregiver Action Network. CAN
15 is the nation's leading nonprofit family caregiver
16 organization for the more than 90 million Americans
17 who care for loved ones with chronic conditions and
18 the frailties of old age. Acadia is one of more
19 than 40 companies that support CAN's nonprofit
20 mission.

21 On behalf of family caregivers, millions of
22 them, I'm speaking in support of Nuplazid for the

1 proposed treatment of hallucinations and delusions
2 associated with Alzheimer's disease psychosis. If
3 approved, the drug would be the first therapy
4 indicated for this purpose.

5 Alzheimer's takes a huge toll not only on
6 our loved ones, but on us as family caregivers as
7 well. There are 17 million family caregivers of
8 over 6 million loved ones with Alzheimer's in the
9 United States. Family caregivers provided
10 15 billion hours of unpaid care in
11 2020 -- \$257 billion -- to people living with
12 Alzheimer's. Family caregivers suffer higher
13 levels of depression, face disruptions in their
14 jobs and careers, and sacrifice financially and
15 emotionally for their loved ones.

16 A recent survey of family caregivers of
17 loved ones with dementia identified paranoid
18 delusions, visual hallucinations, and lack of trust
19 are common symptoms. For example, someone's mother
20 might have a false belief that her son or daughter
21 is stealing her personal items, and then be
22 verbally and physically aggressive towards them.

1 In fact, more than three-quarters of family
2 caregivers reported paranoid delusions as occurring
3 at least weekly, so hallucination and delusions are
4 much more common than many people realize.

5 We desperately need an FDA-approved
6 treatment for these symptoms. Right now, with
7 nothing else available, the off-label use of
8 antipsychotics is sometimes prescribed, but
9 antipsychotics often pose safety risks associated
10 with increased mortality and hospital admissions,
11 and they can actually worsen cognitive decline.
12 This puts us as family caregivers in a no-win
13 situation, having to make hard choices between
14 doing nothing or treating our loved ones with
15 antipsychotics, and maybe creating even greater
16 cognitive loss.

17 Hallucinations and delusions don't just go
18 away, and the problems these symptoms present are
19 very real. Hallucinations and delusions lead to
20 increased risk of hospitalization, they can lead
21 our loved ones to take actions that could be
22 harmful to themselves or their families, and they

1 make it difficult for us as family caregivers to
2 care for our loved ones at home. In fact, these
3 challenges are a leading reason why many family
4 caregivers decide that they need to place their
5 loved ones in a nursing home.

6 To finally have a therapy available, we as
7 family caregivers will be better able to care for
8 our loved ones at home longer, and at last give us
9 hope that these very serious symptoms can be
10 treated. For these reasons, we strongly support
11 the approval of Nuplazid for hallucinations and
12 delusions associated with Alzheimer's related
13 psychosis. Thank you.

14 DR. NARENDRAN: Thank you.

15 Speaker number 6, your audio is connected
16 now. Please introduce yourself and state your name
17 and organization for the record.

18 (No response.)

19 DR. NARENDRAN: Speaker number 6?

20 I guess we will move to speaker number 7.

21 Speaker number 7, your audio is connected
22 now.

1 DR. STEINBERG: Hi. I'm Dr. Karl Steinberg.
2 I'm a long-term care geriatrician, and I've been a
3 nursing home and hospice medical director in the
4 San Diego area for over 25 years. Most of my
5 patients are nursing home residents, and probably
6 just over half of those suffer from dementia,
7 mostly of the Alzheimer's type. I don't have any
8 financial disclosures.

9 I am the immediate past president of AMDA, a
10 national medical specialty society for nursing
11 facility medical directors and other professionals
12 who practice in that setting, and I take my dogs to
13 work with me in the nursing home whenever I can.

14 As a front-line physician attending to many
15 people with Alzheimer's, I want to emphasize just
16 how devastating the psychotic symptoms of this
17 disease can be, most importantly to the patients
18 themselves who may be suffering extreme and
19 distressing hallucinations or paranoid delusions,
20 but also to their caregivers, both family and
21 professional, and to those around them like other
22 nursing home residents, including their roommates.

1 Alzheimer's psychosis and agitated behaviors
2 related to psychosis are also very common,
3 affecting well over 25 percent of the population at
4 some point in their disease trajectory. There are
5 well over a million nursing home residents in the
6 U.S., and millions more in other congregate care
7 settings. Symptoms can range from crying, to
8 screaming, to actual physical violence against
9 caregivers.

10 In geriatrics, we try to avoid using
11 medications of all types whenever we can, and
12 especially in Alzheimer's psychosis since there are
13 no medications approved for its treatment. For
14 Alzheimer's psychosis, we always try to use
15 non-pharmacological interventions first;
16 unfortunately, though, they are often ineffective.
17 So when these patients continue to experience
18 severe distress or present a danger to themselves
19 or others because of psychosis, we're left with the
20 off-label use of generally atypical antipsychotics
21 or other medications like anticonvulsants or
22 antidepressants.

1 Antipsychotic use is very highly scrutinized
2 in nursing homes, as it should be considering the
3 known risks of their use, including cardiovascular,
4 metabolic, cognitive, and motor issues. And while
5 they've been historically over-utilized, because of
6 the scrutiny, many prescribers and facilities today
7 are reluctant to use antipsychotics even when the
8 patient is having severe distress. Of course, even
9 when we do use antipsychotics, they don't always
10 work either.

11 The lack of an FDA-approved medication for
12 Alzheimer's related psychosis is a major gap for us
13 and for our patients. There's an urgent need for
14 us to have something in our armamentarium that we
15 can use to alleviate the extreme, severe, and
16 sometimes enduring distress that these unfortunate
17 patients and those around them suffer without any
18 understanding of what's going on, terrified and
19 acting out in ways that would no doubt mortify them
20 if their previous intact selves could see them in
21 their current state.

22 I very much appreciate your attention and

1 the time today, and I ask that you please consider
2 the severe unmet need these patients have, and
3 don't let the good be the enemy of the perfect. I
4 urge you to help us on the frontlines to help this
5 vulnerable population we serve in nursing homes,
6 dementia units, and private homes across the
7 country, by making a medication approved and
8 available for them, and to continue the research to
9 find more pharmaceuticals that can make a
10 difference in this large and growing unfortunate
11 group of patients. Thank you so much.

12 DR. NARENDRAN: Thank you.

13 Speaker number 8, your audio is connected
14 now. Please introduce yourself.

15 DR. RITTER: Good afternoon. Thank you for
16 allowing me to speak today. My name is Aaron
17 Ritter. I'm currently a cognitive disorder
18 specialist at the Cleveland Clinic; Lou Ruvo Center
19 for Brain Health in Las Vegas, Nevada. My practice
20 is entirely focused on the care of patients with
21 neurodegenerative disorders such as Alzheimer's
22 disease, Parkinson's disease, Lewy body disease,

1 and frontotemporal dementia. My particular
2 interest is treating the neuropsychiatric symptoms
3 that emerge in dementia, and I have over
4 30 publications in my six years of practice, and
5 have received over more than \$2 million in NIH
6 funding for various research projects.

7 Today I'll be speaking on behalf of the
8 patients I treat. I have participated in clinical
9 trials sponsored by Acadia, but have never received
10 any direct salary, support, or financial
11 compensation from Acadia or any of its competitors.

12 Simply put, the behavioral manifestations
13 that accompany Alzheimer's disease and other
14 related dementias are devastating, and often have a
15 greater impact in the cognitive symptoms. In fact,
16 many patients may not remember that they don't
17 remember or aren't bothered that they cannot
18 remember the date or what they ate for breakfast.
19 But on the other hand, patients and families are
20 acutely aware and frequently tormented by the
21 beliefs that a spouse is cheating, a son or
22 daughter is emptying money from a bank account, a

1 phantom boarder is hiding in the shadows, or the
2 appearance of a variable cast of characters emerges
3 from the shadows each night to watch over them as
4 they sleep.

5 Research evidence is very clear that the
6 behavioral manifestations of Alzheimer's and
7 related dementias, including psychosis, are the
8 primary determinants of institutionalization and
9 the number one driver of caregiver burden.

10 As I'm sure you're well aware of black box
11 warnings that accompany all of the known
12 medications that may provide relief from psychosis
13 in AD, and most expert commentary, rightly so,
14 argues against the use of antipsychotics in the
15 elderly, these recommendations however fail to
16 acknowledge the situation in the clinic when you're
17 presented with a family and patients in desperate
18 need of relief from the torment of AD-related
19 psychosis.

20 Clinicians such as myself are left with
21 facing the decision of, one, treating those
22 dreadful and terrible symptoms using dopamine

1 blocking antipsychotic agents, which by all
2 accounts slowly kill patients over time; or two,
3 offer nothing, which I believe most practitioners
4 do. Offering no medication for ADP, however, have
5 unintended consequences and leaves patients sick
6 and untreated. This is precisely why many of our
7 inpatient psychiatric wards and emergency rooms are
8 filled with patients with dementia.

9 I am in the unique position of having
10 extensive experience of using pimavanserin both
11 clinically and in the phase 4 for patients with
12 Parkinson's-related psychosis. I'm also in the
13 unique position of not having it available for my
14 patients with Alzheimer's and Lewy body disease.
15 The bottom line is that after four years of
16 experience with pimavanserin, I believe that to be
17 an important and effective treatment in most cases.
18 I would urge the committee to consider providing
19 some weapons in our armamentarium that is currently
20 empty. Thank you very much.

21 DR. NARENDRAN: Thank you.

22 Speaker number 9, your audio is connected

1 now.

2 DR. ZELDES: Good afternoon. I am Dr. Nina
3 Zeldes, a senior fellow at the National Center for
4 Health Research. We analyze scientific data to
5 provide objective health information to patients,
6 health professionals, and policymakers. We do not
7 accept funding from drug companies, so I have no
8 conflicts of interest.

9 As we all know, in 2018, FDA was concerned
10 about, quote, "the number of reports of death and
11 other serious adverse events," unquote, regarding
12 this drug, which already carries a black box
13 warning that there is, quote, "increased mortality
14 in elderly patients with dementia-related
15 psychosis," unquote. And in 2021, a study
16 published in Urology found a statistically
17 significant increase in hospitalizations among
18 Parkinson's patients taking this drug compared to
19 non-users.

20 Though it raises serious safety risks, the
21 benefits of this drug would need to be substantial.
22 There is no such evidence. Moreover, this

1 resubmission of a previously rejected application
2 of broader indication relies on the same two
3 studies which FDA previously criticized that
4 describes Study 019 as, quote, "not an adequate and
5 well-documented control study," unquote, and noted
6 that there are several study design concerns and
7 protocol deviations. And although Nuplazid showed
8 a statistically significant improvement compared to
9 placebo, it only translated to a treatment
10 difference of less than 2 points on a 24-point
11 scale.

12 Is that a clinically meaningful improvement
13 for patients, especially since there is no evidence
14 that this tiny improvement last more than a few
15 days or weeks? The validity is questionable since
16 statistical significance was not reached for the
17 secondary endpoint.

18 FDA described Study 045 as not, quote,
19 "powered to determine an effect in the included
20 dementia subgroups," unquote. The results for AD
21 patients are not statistically significant. We
22 agree with the FDA that the proposed post hoc

1 analyses for this subgroup, quote, "are very
2 challenging to interpret," unquote. For example,
3 there is no scientific reason for the sponsor to
4 use the open-label baseline score instead of the
5 double-blind baseline score when testing the
6 treatment effect on relapse in a double-blind
7 period.

8 Lack of diversity is a serious problem. For
9 example, in Study 019, only 3 patients were black
10 and only 17 were men in the treatment group. This
11 is not enough to draw any conclusions about either
12 group, and together these two groups comprise close
13 to half of Alzheimer's patients. If the sponsor
14 had made a serious effort to recruit more men and
15 more non-white patients, they could have done so.

16 My final point is that AD drugs are taken
17 for years. The 12-week Study 019 cannot provide
18 adequate evidence of long-term benefit or safety.
19 To determine if the benefits outweigh the risks, we
20 need longer placebo-controlled studies. In
21 conclusion, I respectfully urge you to consider
22 whether the evidence of a possible small benefit is

1 clinically meaningful, and if so, does it outweigh
2 the known serious safety risks of Nuplazid? Thank
3 you for your time.

4 DR. NARENDRAN: Thank you.

5 Speaker number 10, your audio is connected
6 now.

7 DR. CALLAHAN: Hello. I am Dr. Leigh
8 Callahan, a professor of medicine at the University
9 of North Carolina, Chapel Hill. I have no
10 financial relationships with the sponsor, and I'm
11 here today representing myself. I am here because
12 I recently lost my husband, Dr. John Winfield, to
13 Alzheimer's disease.

14 John was a nationally recognized physician
15 scientist. I watched my brilliant husband decline
16 from this devastating disease over 10 years. There
17 are many terrible aspects of Alzheimer's disease,
18 but the worst symptom that John experienced was
19 psychosis, including hallucinations, delusions, and
20 paranoia. These symptoms were not only scary and
21 heart-wrenching for me, but they were absolutely
22 terrifying for John.

1 Let me give you a few examples. John would
2 often think we were living in a different city, but
3 in our same home. As the disease progressed, his
4 suspicions and paranoia grew. Just this past
5 December, when one of our longtime caregivers was
6 taking down the Christmas tree and putting the
7 ornaments away, John entered the room and became
8 enraged, convinced Glenn was stealing the dead tree
9 and taking our family heirlooms. He remained
10 highly agitated, and the task had to stop.

11 A far more disturbing event happened a few
12 years ago when John had his first real psychotic
13 break. I heard crashing sounds on our screen
14 porch. I found John surveying a room of wreckage,
15 tables were broken, and glass shattered. I am a
16 slight woman. I could not intercede physically,
17 but had to convince him there were no aliens and to
18 join me inside. It was powerful, harmfully
19 disturbing, and crushing to see John come back to
20 reality, survey the scene, and ask me in disbelief,
21 "Are you telling me that I did this?"

22 Following this event, John's treating

1 physicians suggested Seroquel, and I felt strongly
2 that this was not an acceptable choice. This would
3 have blunted John's ability to function in general,
4 whereas this event was transitory. We need
5 something very different for this disease and it's
6 dimension of psychosis.

7 The drug you are considering has been
8 approved by the FDA for use in Parkinson's so
9 patients and caregivers like me can rely on
10 evidence of its safety in an elderly population.
11 If you find that pimavanserin is effective in
12 treating Alzheimer's related psychosis, this will
13 have the potential of addressing a very high unmet
14 need.

15 My primary goal was always for John to feel
16 safe. It broke my heart that these hallucinations
17 and delusions cost him such distress, and even
18 terror. As you consider your task and weigh the
19 benefits and risks of this potential therapy,
20 please keep the dementias and psychosis in the
21 context of this unique disease, and the experience
22 of the patient and caregiver at the forefront of

1 your considerations. Thank you.

2 DR. NARENDRAN: Thank you.

3 Speaker number 11, your audio is connected
4 now.

5 MR. ARTILES: Thank you. Hello. My name is
6 Agustin Artiles. I have been a research manager
7 with Premier Clinical Research since 2012. We are
8 located in Miami, Florida, with the majority of our
9 patients and families being of Hispanic origin.
10 I'm here to share my experience working with
11 patients and their caregivers in the HARMONY trial
12 with pimavanserin.

13 In my role, I have heard many stories from
14 caregivers, where at the beginning of the study
15 expressed feeling scared and worried, not knowing
16 how they would continue to care for their loved one
17 as they became disoriented from the illness and
18 progressed in hallucinations. Some expressed
19 already feeling burnout and desperate either due to
20 the behaviors or the constant supervision needed
21 for the patient, and there are many stories I've
22 heard about just how much this treatment has

1 changed patients' and families' lives.

2 One story I will share today is of a patient
3 and his caregiver, his wife, because I think it
4 will help you understand why this treatment is
5 needed, especially in the Latin community we serve,
6 and just how much of a difference it can make in a
7 patient's life and in the lives of their families
8 because ADP impacts everyone.

9 We saw a gentleman in his late 60s in a
10 HARMONY trial whose wife is the only caregiver.
11 Her husband had lost interest in family hobbies,
12 social interactions; a complete departure from the
13 man he once was. He needed constant supervision.
14 His wife was experiencing her own health issues due
15 to the burden of having to constantly provide care
16 for her husband. She worried what would happen
17 next if she were to fall ill.

18 One of the recurrent worries from caregivers
19 is that in the Latin culture, it is not well
20 accepted to place a family member in a long-term
21 care facility. This forces caregivers and families
22 to make many changes and sacrifices in their lives,

1 with lasting impact to support the care of the
2 beloved family member at home.

3 With this gentleman and to the trial, he was
4 unable to write a sentence or draw a simple figure.
5 It was a challenge to have him communicate or
6 engage in every conversation. His wife worried
7 about episodes that might occur where she wouldn't
8 be able to manage things on her own.

9 Since taking pimavanserin, this man is now
10 engaged, listening to music, watching TV,
11 socializing, caring for a dog, talking
12 appropriately and coherently with his children and
13 friends. His wife has expressed she is extremely
14 grateful for, quote, "Giving me my life back and
15 giving me my husband back," unquote.

16 These kinds of findings can be seen in many
17 other patients in the HARMONY trial, with symptoms
18 declining enough to allow patients to, in most
19 cases, regain interest in their surroundings,
20 family, hobbies, and social life, and function
21 independently or semi-independently.

22 I ask you to remember what I've shared as a

1 treatment like pimavanserin that will help families
2 in the Latin community care for their loved ones at
3 home and honor their culture while sustaining their
4 own well-being. Thank you for your time and
5 consideration.

6 DR. NARENDRAN: Thank you.

7 Speaker number 12, your audio is connected
8 now.

9 DR. GROSSBERG: Thank you very much. This
10 is Dr. George Grossberg. I'm an academic geriatric
11 psychiatrist, and I've spent my whole career at
12 St. Louis University as director of the Division of
13 Geriatric Psychiatry. I have over 25 years of
14 clinical experience in dealing with Alzheimer's
15 patients, as well as their family care partners.

16 I'm actually speaking to you this afternoon
17 from one of our teaching nursing homes, and one of
18 the new patients that we've been asked to see is a
19 lovely 83-year-old woman with Alzheimer's disease,
20 in kind of the middle to later stages, who was also
21 accompanied by her daughter at the bedside.

22 Her daughter is increasingly distressed and

1 anxious because her mom is now starting to become
2 accusatory toward her, as well as toward the staff,
3 to the point where her mom believes that the staff
4 is trying to harm her, maybe kill her. She's
5 refusing to take her medication. She's also maybe
6 moving toward refusing to eat, and her daughter is
7 obviously very, very concerned.

8 These delusions or paranoid type symptoms,
9 psychotic symptoms, are not rare, as you have
10 heard, in patients with Alzheimer's disease. They
11 significantly impact the quality of life of the
12 patient, the family, and the professional
13 caregivers in this kind of scenario.

14 Unfortunately, the current antipsychotic
15 medications sometimes do or do not work, but they
16 come with a lot of baggage, with a lot of side
17 effects, particularly for patients in their 80s and
18 90s, as we often see with Alzheimer's disease,
19 other Parkinsonian side effects, sedation,
20 orthostasis, and so on and so forth; even further
21 impairing cognition.

22 So there's a great need for a safe and

1 effective treatment that can really improve the
2 quality of life of patients and their care
3 partners, whether its family or professional
4 caregivers. I'm hoping that with the development
5 of pimavanserin, we're going to be able to fill
6 this significantly needed void. Thank you all for
7 listening, and thank you for the work that you're
8 doing.

9 DR. NARENDRAN: Thank you.

10 Speaker number 13, your audio is connected
11 now.

12 MS. COMER: My name is Meryl Comer. I'm the
13 co-founder and board member of Us Against
14 Alzheimer's. My written statement is abbreviated
15 here to respect the time limit. I have no
16 conflicts of interest.

17 For more than two decades, I cared for my
18 husband and my mother with Alzheimer's, both of
19 whom exhibited a range of psychoses that put them
20 in harm's way and complicated their care. My
21 57-year-old husband, a respected physician and
22 researcher at NIH, was misdiagnosed for four years

1 with everything from depression, to pernicious
2 anemia, and even mad cow disease, while we were
3 privately held captive to his paranoia,
4 hallucinations, and delusions. The private advice
5 to me from his attending, "You may want to get out
6 while you can." His other advice, "Call 911 if he
7 gets too dangerous."

8 Several months later, my husband was
9 admitted to Johns Hopkins for evaluation. For the
10 next 2 and a half months, he was confined on a
11 locked ward where every available antipsychotic was
12 tried, slowly titrated, and then discarded. My
13 husband's final diagnosis read, "Alzheimer's
14 disease with a behavior disorder," discharged to me
15 with prescriptions that included 16 Depakote, an
16 antiseizure medication, and 4 Ativan a day.

17 There was nothing left to try. The damage
18 had been done. No facility would take him. I
19 brought him home, and slowly weaned him off all the
20 medication that in turn put me in harm's way. He
21 passed two years ago, 24 years later.

22 My other experiences, the garden variety

1 psychosis suffered by my 80-year-old mother.
2 During her early Alzheimer's paranoia, she was
3 insistent she was being spied on by neighbors and
4 that her personal items were being stolen, so she
5 let no one in her house. She would scream out the
6 window at a car to strangers to rescue her, and
7 even called 911 to report she was being held
8 against her will. The doctor's prescription for
9 Seroquel never filled because we feared the long
10 list of potential side effects more.

11 The reality is that whatever the FDA
12 approves and doctors prescribe, we are left to
13 manage the consequences. The real numbers and
14 societal impact of psychosis and dementia are
15 masked. As a family caregiver, we keep the secret
16 about these behaviors, even from our adult
17 children, to support and protect the loved one's
18 dignity.

19 An FDA-approved drug, if deemed effective by
20 this panel in treating Alzheimer's related
21 psychosis, will help us support them at the
22 intersection where the scaffolding of their

1 identity begins to fall apart due to the ravages of
2 this fatal neurodegenerative disease with no cure.

3 Thank you for your consideration.

4 DR. NARENDRAN: Thank you.

5 Speaker number 14, your audio is connected
6 now.

7 MR. LEVINE: Good afternoon. I am Jed
8 Levine, president emeritus of CaringKind, the heart
9 of Alzheimer's caregiving, formerly known as the
10 Alzheimer's Association's New York City chapter.
11 CaringKind is the premier resource for all things
12 related to dementia care in New York City, and I
13 should say that Acadia is a financial supporter of
14 CaringKind. We provide guidance and support for
15 individuals diagnosed with Alzheimer's and related
16 disorders, and most importantly, those who care for
17 them. I have over 40 years of experience with this
18 population.

19 Caring for a relative who's now experiencing
20 progressive cognitive decline is unlike any other
21 caregiving. Unless you've lived it, done it day in
22 and day out, you don't really know what it's like,

1 and how exhausting and demanding it is. The
2 challenges evolve as the disease progresses from
3 the early stage, where the individual is still
4 interacting in many ways as they did; to the middle
5 stage, where the confusion, memory loss, anxiety,
6 frustration, psychotic symptoms, and functional
7 disabilities become more pronounced; to the end
8 stage where the individual lost language and the
9 ability to walk, sit up, and is dependent on
10 someone else for all personal care.

11 Caregivers report that the neurobehavioral
12 symptoms -- agitatedly asking the same question,
13 aggression during personal care, resistance to
14 bathing or washing hair, anxiety, pacing, sleep
15 disruption, apathy -- are particularly distressing.
16 And significantly adding to the stress are the
17 psychotic features such as hallucinations and
18 paranoid delusions.

19 The hallucinations, almost always visual,
20 might not be upsetting, but often they are.
21 Delusions, too, can be extremely troubling for the
22 individual. I recall one member in our early stage

1 center who had an extremely fearful reaction to his
2 reflection in a mirror, believing there was a
3 threatening stranger in the home; or the former
4 church organist who had the persistent delusion
5 that she had to play for a service. No amount of
6 distraction or reassurance would calm her. Common
7 delusions include that a family member is an
8 imposter, that the home is not their home, that a
9 spouse is cheating or has stolen money or property.

10 These symptoms are a frequent feature of
11 dementia, with some studies showing that they exist
12 in 15 to 75 percent of patients, with delusions
13 happening in up to 30 percent of patients. And I
14 have heard from family caregivers who were fearful
15 for their own safety when their person with
16 Alzheimer's was experiencing delusions, threatening
17 to harm them, or at times striking out at them.

18 We teach non-pharmacological approaches that
19 are useful, but some individuals have persistent
20 and resistant psychotic features that are
21 distressing not only for that family caregiver or
22 staff member, but for the individual themselves.

1 The experience of psychotic symptoms can be
2 frightening and extremely upsetting for the person
3 having them.

4 Current anti-psychotic medication, as you've
5 heard, are often ineffective, contraindicated for
6 use with people with dementia and can result in
7 overly sedating the patient and have concerning
8 adverse effects. Having a new drug to address the
9 psychotic features will be an extremely helpful
10 adjunct to the repertoire of non-pharmacological
11 approaches we use now, and can greatly improve
12 quality of life for the diagnosed individual, as
13 well as those caring for him.

14 The lack of an FDA-approved antipsychotic
15 for Alzheimer's psychosis is a substantial unmet
16 clinical need. On behalf of the millions of
17 individuals with Alzheimer's and their caregivers,
18 I thank you for your consideration of the
19 supplemental new drug application for the treatment
20 of Alzheimer's psychosis. Thank you.

21 DR. NARENDRAN: Thank you.

22 Speaker number 15, your audio is connected

1 now.

2 DR. KIRSHNER: Yes. Hello, everyone, and
3 thank you for allowing me to speak. My name is
4 Howard Kirshner. I am a professor of neurology at
5 Vanderbilt University Medical Center in Nashville.
6 I've been the vice chair of the department and the
7 head of the behavioral and cognitive neurology
8 division. I also am speaking on behalf of the
9 Clinical Neurology Society of America, which is
10 working on a white paper on the issue of psychosis
11 and Alzheimer's disease.

12 I would just say, from my own experience of
13 44 years of practice as an attending neurologist,
14 that Alzheimer's is one of the most distressing
15 diseases we deal with, and the psychotic features,
16 the delusions and hallucinations, are the most
17 troubling. They are the single leading cause of
18 patients being institutionalized, which is
19 distressing for patients and families, and then
20 they're also a major problem in the extended-care
21 facilities because patients are often oversedated.
22 There is a tremendous need for a good treatment for

1 Alzheimer's related psychosis. There is no
2 FDA-approved treatment as of now, as you all know,
3 and frequently off-label use of either
4 benzodiazepines or antipsychotic drugs, either one,
5 is definitely harmful to the patient.

6 So when you consider that there are no
7 alternatives, I think a new drug is particularly
8 appealing. It may not be perfect. It may not have
9 been tested in long enough courses, but it appears
10 relatively safe, at least in short-term use, much
11 more so than the existing medications that are
12 tried. So I would urge, at least in the interim,
13 approval of the drug pimavanserin, and hope for
14 other future treatments.

15 I want to thank everyone on the committee
16 for your attention to this very important issue and
17 for the service you do every day. Thank you.

18 DR. NARENDRAN: Thank you.

19 Speaker number 16, your audio is connected
20 now.

21 MS. ARCE: Good afternoon, everyone. I am
22 Nadine Arce, and I represent our medical resource

1 [indiscernible] center, specifically,
2 Dr. Navarro [ph]. We are in Miami, Florida. I am
3 caregiver of my father, Raul [ph] Arce, who has
4 been suffering from Alzheimer's psychosis since
5 September 2018, when he was officially diagnosed
6 after countless tests and medications. He was only
7 69 years old.

8 I begin by telling you that I'm a single
9 mother of two beautiful children, a 13 year old and
10 3 year old. To this day, we share our life with my
11 father, the man he was, and still is today the most
12 loving, affectionate, and hard-working man, an
13 example to follow up our family, and the most
14 respected and loved being that exist. This person
15 was always present for anything we needed, in good
16 and bad.

17 In May 2015, my father began to show signs
18 of mental decline, signs that I didn't recognize, I
19 think because I didn't want to recognize reality.
20 I realized that something was very wrong the day he
21 called me because he didn't remember how to get
22 home.

1 The next day, I took him to his doctor.
2 After the appointment, everything began to change
3 in our lives, and not for the good. Even though my
4 best doctor put him on medication, it hurt later,
5 and he suffered from the side effects of this
6 medications: irritable, depressed, anxious, having
7 trouble sleeping, and a million other things more.
8 He also couldn't be left at home alone because of
9 his illness.

10 So I decided to start to work from home to
11 take care of him. My income decreased and my life
12 situation also changed. I got divorced, not
13 because of my father's situation, but I think that
14 influenced our decision. I remain strong for my
15 family, but I'm heartbroken from seeing such an
16 amazing man dying day by day to such a hard and
17 silent disease.

18 Thank God, in early June 2021, we were told
19 about the pimavanserin trial. Once my father was
20 accepted into the trial, we saw changes. This
21 medication has helped manage my father's care and
22 kept him at home with us where he belongs, at the

1 center of our family, no matter how ill he might
2 be.

3 I ask you to think about my story and my
4 father's story as you make your decision today.
5 There are so many other families like mine out
6 there who want to keep the people they love at home
7 and give them the care they deserve and the life
8 they deserve. Pimavanserin will have a great
9 impact on the person who suffers Alzheimer's
10 disease psychosis and their families. Thank you so
11 much. Please make it available to us.

12 DR. NARENDRAN: Thank you.

13 Speaker number 17, your audio is connected
14 now.

15 (No response.)

16 DR. NARENDRAN: Speaker number 17?

17 MS. ROYAL: Hello? Hello? Can you hear me?

18 DR. NARENDRAN: Yes, we can hear you.

19 MS. ROYAL: Okay. Thank you. I'll start
20 again.

21 My name is Anita Louise Royal. I have no
22 financial relationships to disclose. For 21 years,

1 I served as the Pima County public fiduciary as a
2 lawyer, providing guardianship services to several
3 hundreds of vulnerable adults, many of whom
4 suffered from neurocognitive disorders. Often our
5 goal was to preserve their self-independence, their
6 self-determination, while ensuring their safety.
7 Many suffered from Alzheimer's and dementias with
8 psychotic features, including delusions and
9 hallucinations, requiring often them to leave their
10 own homes and be placed in residential
11 extended-care facilities.

12 However, I'm not here to talk about my prior
13 experience as a lawyer; I'm here to talk about the
14 fact that I am one of 19 million in this country
15 who served as a full-time caretaker for my beloved
16 mother, who was diagnosed with dementia almost
17 20 years ago. I have served as her caretaker for
18 12 years. During that period of time, she has
19 begun experiencing -- and actually in the last 5 or
20 6 years, she's begun experiencing auditory, and
21 less often visual hallucinations.

22 We've tried medications. We started with

1 Depakote and went to Lexapro and Seroquel to deal
2 with some of her very disruptive behaviors; nothing
3 has worked. Thankfully, we are in the University
4 of Colorado's senior center, where we are now
5 getting sufficiently appropriate care for her
6 dementia and her behaviors, however, she continues
7 to suffer from auditory hallucinations in which she
8 hears children crying and often loud music. When
9 this happens, she becomes so distressed and so
10 upset that she often tries to get out of bed,
11 despite her limited mobility, which has resulted in
12 her falling and having injuries.

13 I need as a caretaker some medication to
14 help her from being so upset and distraught when
15 she hears the babies crying. She thinks she has a
16 duty to in fact help them, but she has not. I need
17 a medication, as so many other Alzheimer's and
18 dementia caregivers in this country do, to help our
19 loved ones to deal with these symptoms, so that
20 they can have more palatable, loving lives.

21 I just want to also thank all the rest of
22 the speakers before me. I learned so much from

1 them, and I just want to thank you all for the work
2 that you're doing on behalf of this population.

3 DR. NARENDRAN: Thank you.

4 Speaker number 18, your audio is connected
5 now.

6 MS. MOREIRA: Good afternoon. My name is
7 Jany Moreira. I am a caregiver of my
8 mother-in-law, Amara [ph] Moreira, in
9 [indiscernible] Medical Center. I am blessed to
10 have a beautiful family here in Miami that really
11 enjoys spending time together and taking a good
12 Cuban coffee in the morning, especially my
13 mother-in-law, Amara Moreira. This is her story.

14 In 2014, Amara is starting to put salt
15 instead of sugar in the coffee and/or forget the
16 water in the coffee maker due to depression and
17 other psychotic symptoms. No more coffee for us.
18 This is a small and simple thing, I know, but here
19 I tell you about the story of Alzheimer's disease
20 psychosis and how it changes lives and people. Due
21 to that, I had to stop working to take care of my
22 mother-in-law full time at home, something that

1 causes problems in our family. The medication she
2 was prescribed helped a little but she continues to
3 be depressed and sicker.

4 In August 2021, after no improvement, her
5 daughter told us about the pimavanserin trial.
6 Once my mother-in-law was accepted into that trial,
7 we saw a big change in Amara. The loving
8 grandmother and the dedicated mother came back to
9 us. She pays more attention to lives today, and
10 she gained interest in her hobbies and activities.
11 In fact, with some assistance, of course, she has
12 begun to make her own coffee in the morning. Her
13 family tradition is back thanks to that medication.

14 So please, take my story into consideration
15 when you are making your decision today to help
16 many families like me who need this important
17 treatment for family members. Thank you very much
18 for your attention.

19 DR. NARENDRAN: Thank you.

20 Speaker number 19, your audio is connected
21 now.

22 DR. CLAASSEN: Good afternoon. This is

1 Dr. Daniel Claassen, and I am the chief of
2 behavioral neurology at Vanderbilt University
3 Medical Center and professor of neurology here at
4 Vanderbilt. I've been able to listen to the other
5 18 calls, and it's been quite a powerful story to
6 hear some of the caregiver burden and some of the
7 patients' stories.

8 I just wanted to give you some perspective
9 as a physician of what things we deal with. I
10 don't speak for Acadia. We do have some research
11 grants from them and people in my division, but
12 this is from my own accord.

13 As a clinician, especially one that takes
14 care of those with neurodegenerative disorders, I
15 just want to convey to you that what we do is
16 really a practice of medicine. I know that you've
17 probably been spending a lot of time looking at
18 numbers and data, but I really want to give you the
19 perspective that what we do is an art form.

20 Just like an artist would need to have
21 different colors to paint a picture, I think we
22 need to have different colors to treat psychosis,

1 and the colors that we have right now, especially
2 when you think of Alzheimer's disease psychosis and
3 other related psychoses, they're dark colors. They
4 have a lot of side effects: Parkinsonian symptoms,
5 weight gain, sedation, akathisia, just to name a
6 couple of them.

7 I think our current practice is limited
8 based on the labeling of pimavanserin. I'd just
9 encourage you, from a clinician point of view, we
10 really need new colors in our color palette, and I
11 think pimavanserin could be an important color as
12 we practice our art, as we partner with patients
13 and families and try to find remedies for these
14 terrible symptoms.

15 I know you have a difficult decision, and
16 you have to make a decision based on numbers, and
17 statistics, and data, but perhaps if you could
18 consider how this decision really has profound
19 implications for how me as a doctor, as my
20 colleagues as physicians, my colleagues as nurse
21 practitioners, how we take care of our patients,
22 pimavanserin really does have a lot of

1 opportunities for us to practice better medicine,
2 especially the lack of a titration schedule, the
3 side effect profile, and the clinical benefits.

4 So I ask you as a clinician to give us a
5 chance to practice with these new colors, and I
6 thank you for considering this, and I thank you for
7 your hard work and your service to make these
8 important decisions. Thank you.

9 DR. NARENDRAN: Thank you.

10 Speaker number 20, your audio is connected
11 now.

12 MR. CHAMBERS: Hello. Good afternoon. My
13 name is Stephen Chambers. I'm a physical
14 therapist. I live in Oakhurst, New Jersey. I've
15 been practicing in both New York and New Jersey for
16 the past 20 years, but I'm not speaking today in
17 any sort of professional capacity. I have no
18 financial disclosures or conflicts to disclose.

19 I'm speaking today because I know the
20 emotional pain that the loved ones of those
21 stricken with Alzheimer's disease endure. I
22 support and advocate for the approval of safe

1 medications that minimize delusions,
2 hallucinations, and other symptoms of Alzheimer's
3 dementia psychosis.

4 My father, Chester Chambers, was diagnosed
5 with Alzheimer's in 2014, but started showing the
6 signs as early as 2012. We lost my father on
7 September 8, 2021, two months prior to his 79th
8 birthday. My father Chet was drafted into the U.S.
9 Army within two months of graduating from college,
10 and married my mother, his college sweetheart.
11 After two years of service during the Vietnam War,
12 he was honorably discharged and began his career as
13 recruitment manager at the Social Security
14 Administration, where he spent the next 36 years in
15 various human resources roles.

16 He became a lifelong mentor and friend to
17 many whom he hired and placed at the agency. He
18 was also a loving and devoted son, husband, father,
19 and friend. He was the patriarch of our family and
20 a caregiver to us all, right up until he was unable
21 to provide that care for us anymore, and we had to
22 take over caring for him. He was a friend to

1 everyone and beloved in our community. He was
2 truly one of the kindest people you could ever
3 meet.

4 My family has a history of Alzheimer's
5 disease. My father's mother and older brother both
6 preceded him in death due to Alzheimer's related
7 dementia. Despite his history, it took me and my
8 sisters some time before we started comparing
9 notes, and realized that he needed increasing
10 amounts of assistance to manage his day-to-day
11 tasks.

12 As the disease progressed, he began to
13 experience delusions and hallucinations. For
14 example, he often believed there were parties going
15 on in his basement or extra people visiting, even
16 when it was simply he and his home health aide
17 alone in the house. On another occasion, he was
18 convinced that a food delivery person had stolen
19 his wallet when he provided a tip, although he had
20 actually hidden the wallet in the cabinet. This
21 incident led to me running out of the house
22 barefoot and chasing down the delivery guy, who

1 assured me that there was no wallet or need for
2 money to change hands, as I placed the order online
3 and paid and tipped in advance.

4 This is just one of many instances where
5 delusions caused extreme distress to both my father
6 and me, and those who cared for him. My sister and
7 I struggled with the fact that our father's
8 delusions were just close enough to being plausible
9 that they had to be checked out regularly, adding
10 to the extreme levels of stress and effort required
11 to ensure his safety and care.

12 These extreme levels of effort, it came to
13 be too much, and in 2016, we had to move him to a
14 place where he could receive around-the-clock care,
15 which was a very difficult decision for us, as my
16 father was a very independent, strong-willed, and
17 highly functioning individual until that time.

18 Dad was prescribed Namenda and donepezil
19 with the intent of slowing the progression of his
20 dementia, and sertraline as well, as a mood
21 stabilizer. We were told that there was no cure or
22 magic bullet, and that these potentially slowed

1 down the inevitable. We were not [indiscernible]
2 the option of an off-label treatment. And
3 honestly, I think that as the disease progressed,
4 whatever effect these medications had was minimal.

5 Families like mine, who love and are tasked
6 with caring for a loved one with Alzheimer's, or
7 some other form of dementia, are desperate for an
8 approved treatment for Alzheimer's dementia
9 psychosis. I hope and pray that we are not far
10 from a cure or a successful way to minimize amyloid
11 plaque. However, until that day comes, if there
12 are medications like pimavanserin that can help
13 minimize the trauma of Alzheimer's dementia
14 psychosis, then I implore you to approve it for
15 this purpose.

16 Please think of the emotional and physical
17 distress, and frankly trauma, that this disease can
18 inflict on those experiencing this kind of
19 psychosis, and their families who love and care for
20 them. If treatments like pimavanserin can help
21 families to preserve and salvage the quality time
22 that they have left with their loved ones, then

1 please make it available to patients who are
2 experiencing Alzheimer's dementia psychosis. I
3 thank you for your time and for allowing me to
4 speak here today.

5 DR. NARENDRAN: Thank you.

6 Speaker number 21, your audio is connected
7 now.

8 DR. FUGH-BERMAN: Good afternoon. I'm
9 Adriane Fugh-Berman, a physician and professor at
10 Georgetown University Medical Center and director
11 of PharmedOut, a rational prescribing project at my
12 university. My conflict of interest disclosure is
13 that I'm a paid expert witness on behalf of
14 plaintiffs in litigation regarding pharmaceutical
15 marketing practices.

16 PharmedOut opposes Acadia's application to
17 expand pimavanserin's indications to include
18 Alzheimer's disease psychosis. Last year, the FDA
19 rejected a broader indication for dementia-related
20 psychosis. That rejection was correct;
21 pimavanserin should be rejected for any subset of
22 dementia-related psychosis.

1 Acadia has already begun its pre-launched
2 marketing through its more than memory loss and
3 more than cognition websites. It bears noting that
4 these websites focus on dementia-related psychosis
5 despite the black box warning on Nuplazid that
6 states the drug should not be used for patients
7 with dementia-related psychosis.

8 Tellingly, even the Alzheimer's Association,
9 Us Against Alzheimer's, and the Alliance for Aging
10 Research, all industry-friendly organizations that
11 receive funding from Acadia, couldn't bring
12 themselves to wholeheartedly back their sponsor's
13 drugs, perhaps because that drug has the potential
14 to kill their constituents.

15 You've heard the term, "unmet need" numerous
16 times in this session, but unmet need doesn't trump
17 data. There's always an unmet need for a symptom
18 turned into a disease by a drug maker. The
19 symptoms are certainly real. Psychotic episodes
20 may accompany many diseases, including depression;
21 bipolar disorder; Huntington's; HIV and malaria;
22 the use of cannabis, alcohol, other recreational

1 drugs and prescription drugs can cause psychotic
2 symptoms; and so can hypoglycemia. A tedious
3 reframing of Parkinson's disease psychosis, and now
4 Alzheimer's disease psychosis, as unique diseases
5 benefits Acadia, but changing symptoms into
6 diseases won't benefit patients.

7 At \$4,173 a month, the price of the drug
8 itself is high, but with 2.4 million vulnerable
9 patients who could be prescribed pimavanserin
10 outside of the controlled conditions of a trial,
11 only a markedly effective relatively safe drug can
12 address an unmet need. Nuplazid is not that drug.

13 A 2021 study by Hwang found both increased
14 risk of hospitalization mortality with
15 pimavanserin, and although it's been claimed that
16 pimavanserin causes fewer deaths than other
17 antipsychotics, a very recent study by Mosholder on
18 Medicare beneficiaries found that it's true only in
19 the first 6 months. After that, the risk is the
20 same.

21 Antipsychotic drugs are already excessively
22 used among vulnerable elders, especially in nursing

1 homes; 1 in 5 in nursing home residents are on
2 antipsychotics. As the head of Acadia said
3 himself, "The symptoms of dementia can overlap with
4 symptoms of psychosis." A committee member noted
5 that psychosis can be difficult to separate from
6 agitation and aggression.

7 If any drug is approved for Alzheimer's
8 related psychosis, the diagnosis, as well as the
9 drug, will be legitimized. Diagnoses for this
10 questionable condition will skyrocket, hundreds of
11 thousands of elders will be sedated into oblivion,
12 and many will die prematurely as a direct effect of
13 the drug.

14 It's bad enough the FDA approved
15 pimavanserin for Parkinson's disease psychosis.
16 Please don't compound this error by recommending
17 additional approval for an unclear diagnosis in a
18 vulnerable population in whom pimavanserin can only
19 cause harm. Thank you.

20 DR. NARENDRAN: Thank you.

21 We're going to give speaker
22 number 6 -- whose audio was blocked at that time;

1 there were some technical difficulties -- to have
2 an option.

3 Speaker number 6, your audio is connected
4 now.

5 DR. WOLFE: Can you hear me now?

6 DR. NARENDRAN: We can hear you now.

7 DR. WOLFE: I'm Sidney Wolfe. I'm the
8 founder of health research group, Public Citizen.
9 I have no financial conflict of interest.

10 A study published in 2018, which now is
11 known as Study 019, this is from the original
12 published study, where Dr. Ballard, who is in this
13 meeting today, said, "Pimavanserin showed efficacy
14 in patients with Alzheimer's disease at 6 weeks,
15 but follow-up at 12 weeks did not show significant
16 advantage over placebo." This is in a published
17 article four years ago.

18 The FDA added a few other concerns when they
19 finally were able to get ahold of the documents
20 from this study. The FDA inspectors had concerns
21 about the reliability of Study 019 because of many
22 protocol deviations, and you've seen a chart with

1 all of this. They principally involved subjects
2 who did not have clear documentation that psychotic
3 symptoms developed after AD diagnosis had been
4 established or who received exclusionary
5 medications at the time of randomization. As it
6 was stated earlier, in addition to that, roughly
7 half of the patients did not get adequate conformed
8 consent.

9 So we now go to Study 045, and again,
10 Dr. Tariot and his colleagues' paper published in
11 the New England Journal, he stated, quote, "Longer
12 and larger trials are required to determine the
13 effects of pimavanserin in dementia-related
14 psychosis. Approximately 15 percent of the
15 patients in the trial had Parkinson's disease,
16 which may have skewed the results in favor of
17 pimavanserin."

18 The FDA has looked into this more carefully,
19 and has data pretty much showing that whereas that
20 subgroup who had Parkinson's disease had a
21 statistically significant improvement -- and the
22 data are up there -- a narrower confidence

1 interval, whereas the people in the AD had a
2 non-significant improvement.

3 So the apparent differential effects of
4 pimavanserin in the PDD subgroup, relative to the
5 other dementia subgroups, was the main reason that
6 FDA filed a complete response action in the first
7 review, and a reason that the broad -- this is
8 quoted from the FDA, "the broad dementia-related
9 psychosis indication is no longer being
10 considered."

11 In addition, the FDA concluded they would
12 need a much larger sample size to be able to really
13 find robust findings, if they exist, in the AD
14 group. Because the trial was terminated early at
15 the initial analysis, the conclusion can be based
16 only on the IA results, and that concludes in,
17 again, the briefing documents. "The study failed
18 to demonstrate a treatment effect in the AD
19 population."

20 So the voting is really on do these two
21 studies support a conclusion that it works for AD.
22 Given the serious flaws in both studies, we would

1 agree with FDA's conclusion that, quote, "the study
2 failed to demonstrate a treatment effect in the AD
3 population," end quote.

4 It's not much more than a year ago where the
5 FDA mistakenly approved aducanumab for treating
6 Alzheimer's disease despite the fact that the
7 evidence was as weak, or possibly weaker, than
8 here. So the idea of the FDA approving a drug
9 that's been studied with a mixture of not only
10 Alzheimer's patients, but patients who had
11 Parkinson's disease, and the conclusion of the FDA
12 is that's why the study overall looked good.

13 In the 50 years I've been going to FDA
14 advisory committees, I've never seen a situation
15 where someone is asking for supplementary
16 approval --

17 DR. NARENDRAN: Time's up.

18 DR. WOLFE: -- of a drug, where the
19 study -- I'll be done in about 10 seconds -- where
20 the population of the study includes not only the
21 one you were trying to approve it for, Alzheimer's
22 disease, but also Parkinson's disease, and this

1 should not be approved. Thank you very much.

2 DR. NARENDRAN: Thank you.

3 The open public hearing portion of this
4 meeting is now concluded, and we will no longer
5 take comments from the audience.

6 The sponsor wanted to respond to Dr. Walter
7 Dunn's question with a slide. So if they can do
8 that very quickly, in 2 to 3 minutes, we would
9 really appreciate that. So I'm going to give the
10 sponsor a second to respond to Dr. Dunn's comments.

11 MR. DeKARSKE: Thank you so much, and thank
12 you, Dr. Narendran, for giving us a few minutes to
13 speak to Dr. Dunn's question.

14 Dr. Hendrix, can you please come to the mic?

15 DR. HENDRIX: Thank you. Suzanne Hendrix,
16 statistical consultant.

17 In Study 045, among those who did not
18 achieve stable response at both 8 and 12 weeks, and
19 therefore were not randomized, approximately 20 to
20 30 percent of people had early response at 2, 4, or
21 8 weeks, as shown in this figure. We saw a similar
22 pattern also in the ADP population.

1 The second question, we have confirmed that
2 a hundred percent of the PD patients that did not
3 qualify for randomization were on dopaminergic
4 therapies, and the non-PDD patients had a low rate
5 of dopaminergic use, which is consistent with the
6 randomized patient population.

7 Thanks for the opportunity.

8 DR. NARENDRAN: Thank you

9 Dr. Dunn, do you have anything to add to
10 this?

11 DR. W. DUNN: Oh, no. Thank you. Thank you
12 very much.

13 **Questions to the Committee and Discussion**

14 DR. NARENDRAN: Okay. Thank you.

15 The committee will now turn its attention to
16 address the task at hand, the careful consideration
17 of data for the committee, as well as the public
18 comments.

19 We will proceed with questions to the
20 committee and panel discussion. I would like to
21 remind public observers that while this meeting is
22 open for public observation, public attendees may

1 not participate except at the specific request of
2 the panel.

3 Discussion question number one, discuss
4 whether the evidence supports the effectiveness of
5 pimavanserin for the treatment of hallucinations
6 and delusions in the Alzheimer's disease psychosis
7 population. In your discussion, comment on the
8 strengths, limitations, and the extent to which
9 each of the following potential sources of evidence
10 contribute to your overall assessment of
11 effectiveness; Study 019, Study 045, and then the
12 prior approval of pimavanserin for the treatment of
13 hallucinations and delusions associated with
14 Parkinson's disease psychosis.

15 Are there any questions about the question
16 from the committee to the agency, before we open
17 this up for discussion; questions about the
18 question?

19 (No response.)

20 DR. NARENDRAN: No questions? So I assume
21 it's clear.

22 I think I would like to call on every

1 committee member to weigh in on their thinking on
2 this question. So we would like to get everybody's
3 opinion on this discussion question.

4 Is there anybody who wants to go first?

5 (No audible response.)

6 DR. NARENDRAN: Dr. Thambisetty, we'll start
7 with you.

8 DR. THAMBISETTY: Thank you, Dr. Narendran.
9 This is Madhav Thambisetty, NIH. I'd like to thank
10 you for the opportunity to go first in this open
11 discussion.

12 In my opinion, Study 019 remains not
13 adequate and not well controlled, as assessed by
14 the FDA in their complete response letter, with a
15 substantial number of major protocol deviations,
16 65 percent in the placebo group and 56 percent in
17 the pimavanserin group.

18 Most importantly is the separation of drug
19 and placebo groups at week 6 as coincidence of the
20 marked placebo group worsening. The small
21 treatment effect at this point is not maintained at
22 any other subsequent time point. There is also no

1 support of efficacy from analysis of any of the
2 secondary or exploratory endpoints.

3 The use of the NPH-NH [ph] to measure the
4 primary outcome has limited content validity, as
5 pointed out by the FDA's analysis, and the FDA's
6 concern with the scoring and interpretation of
7 group and individual differences within this
8 instrument appears to be well-founded.

9 With regard to Study 045, this used a
10 randomized withdrawal design that is associated
11 with several well-known limitations because it
12 selects out treatment response in the open-label
13 phase and measures the same treatment response in
14 the double-blind phase, and this likely
15 overestimates drug versus placebo differences in
16 favor of the drug.

17 The study design also required an abrupt
18 withdrawal of the drug, and this likely results in
19 confounding the effects of drug withdrawal with the
20 relapse of psychosis, thereby further undermining
21 validity in the results from the study design.

22 The primary endpoint results in Study 045

1 are clearly driven by the PD subgroup. FDA's
2 analysis clearly shows a strong treatment by
3 subgroup effect, with the AD psychosis subgroup
4 showing a lack of decent signal on the primary
5 outcome, as well as virtually all of the secondary
6 and [indiscernible] post hoc analyses done.

7 So in my opinion, Study 045 does not provide
8 any supportive efficacy for pimavanserin in AD
9 psychosis.

10 With regard to the third question about
11 whether the prior approval is relevant here, I
12 would go by the data that we have before that. So
13 rather than look to the prior approval to
14 Study 020, what I would focus on and what I would
15 emphasize on is the actual data analysis that
16 clearly shows a strong treatment by subgroup
17 effects in 045, showing that these two subgroups,
18 PD dementia and AD dementia, in fact behaved very
19 differently in response to this drug.

20 Therefore, I do not think that the prior
21 approval of pimavanserin here is relevant because
22 the data that we have, and the analysis of the data

1 that we have, clearly indicates otherwise. Thank
2 you.

3 DR. NARENDRAN: Thank you.

4 I have Dr. Cudkowicz next.

5 DR. CUDKOWICZ: Thank you. I'll start with
6 Study 019. I do think it's a positive; it's
7 definitely a positive study. It's primary, and
8 it's supportive of an effect. It's not a perfect
9 study. I'm reassured by the FDA, your audit and
10 your conclusion that deviations were balanced.

11 The complexity of doing a study in a nursing
12 home in people with advanced Alzheimer's, I would
13 have been surprised not to see deviation. You
14 never want them but, again, I'm going to go with
15 the FDA's conclusion that it's still a study that
16 could be considered for registration, and that
17 those deviations were balanced, so I was concerned
18 about the deviations.

19 That's a secondary [indiscernible], but
20 they're measuring different things, and it's not
21 that clear that this drug will work. So again, I
22 think it has a short-term effect and may bring

1 faster relief to people with an awful symptom and
2 awful disease, and I think we heard from the
3 community how important that is. Of course it
4 would be better if it was sustained over the
5 12-week period, and that's a concern, whether
6 another study or other ways to get at that is going
7 to be important long term.

8 05 [ph] is a more complicated study. It was
9 really designed to answer the question, if this
10 works in AD psychosis because it was broader, and
11 it wasn't powered for that; if there are some
12 trends for it, but it's not conclusive. So I'm
13 putting a little less weight on that, than 019, in
14 my thoughts about this.

15 If we believe that the mechanism of
16 hallucinations and delusions is similar in
17 Alzheimer's and Parkinson's, and the prior approval
18 of pimavanserin is actually highly relevant, I
19 think there's not clarity on that. We heard from
20 some of the experts in the field that there are
21 overlapping biologies, so there's certainly some.
22 Whether it's all, I don't think the field actually

1 knows.

2 I do think the safety part is important from
3 the prior [indiscernible] from pimavanserin in
4 Parkinson's disease. My understanding from the FDA
5 briefing booklet is that that was not something
6 that's up for discussion or concern; it's really
7 about the efficacy. So I think it's something safe
8 for Parkinson's, and it's probably safe for
9 Alzheimer's. So it's really down to whether we
10 think it's efficacious or not. Again, I do think
11 that Study 019 is persuasive. Thank you.

12 DR. NARENDRAN: Thank you, Dr. Cudkowicz.

13 Dr. Dunn, you're next.

14 DR. W. DUNN: Thank you. Walter Dunn, UCLA.

15 So in reviewing the data and listening to
16 discussions today, for myself there's this
17 reoccurring theme of viewing today's issues, either
18 from abroad all-encompassing approach versus the
19 narrow precision perspective. Each has its merits
20 and drawbacks.

21 Unfortunately, I think many of the issues
22 before the committee today, they've been applied in

1 questionable context, and I'll refer back to this
2 theme as I outline my opinions on the question
3 proposed by the agency.

4 For Study 019, from a narrow consideration
5 of the data, I agree that Study 019 is technically
6 a win for the applicant. The additional strength
7 of the study was that despite a considerable number
8 of protocol deviations that actually appeared to
9 work against the study drug, there was still an
10 overall statistical separation from the placebo,
11 which suggests there's a potential for a large
12 effect from active treatment.

13 However, I think the totality of evidence
14 from 019 questions a conclusion of drug efficacy.
15 The pattern of response in the placebo arm is quite
16 concerning, as it does suggest a chance effect at
17 week 6, driven by worsening in the placebo arm.
18 While I can appreciate the waxing and waning nature
19 of psychosis in Alzheimer's dementia, I think the
20 fact that we do not see a similar pattern in the
21 active treatment arm suggests that the worsening of
22 symptoms at week 6 in the placebo arm may be an

1 artifact completely unrelated to the disease or
2 treatment.

3 However, as a side note, you actually do see
4 a bump in symptom severity at week 9 for the drug
5 arm, however, I don't have a good explanation as to
6 why the waxing and waning nature of the psychosis
7 would be, quote/unquote, "delayed" by drug
8 treatment.

9 The lack of signal for all the other
10 secondary outcomes is another concerning
11 observation that places into question drug
12 efficacy, and also to the question of clinical
13 utility. The use of a primary outcome that only
14 captures a narrow slice of symptom presentation, I
15 think goes against what I think we should be aiming
16 for in drug development; treatments that have an
17 impact on functional outcomes. I agree it's
18 challenging to win on all of your outcomes,
19 however, I think at least a signal on the agitation
20 and aggression domain would have made the case more
21 compelling.

22 As also has already been discussed, the

1 differences in ethnic and racial composition of the
2 UK study population compared to the U.S. population
3 I think is a limitation of the study design.
4 Obviously, this is something that occurs even
5 within U.S. studies, and something that we should
6 endeavor to resolve.

7 Regarding Study 045, I think the result from
8 Study 045 is the prime example why we need to be
9 working towards a more precise diagnosis in
10 treatments for our neuropsychiatric illnesses. The
11 field clearly appreciates that the future of
12 medicine is about developing precision treatments,
13 which can only occur with physician diagnoses.

14 So while not powered to do so, I strongly
15 believe the results from Study 045 suggest that
16 Parkinson's disease and Alzheimer's disease
17 psychosis are different illnesses with differential
18 responses to the study drug. Even within a unitary
19 diagnosis such as schizophrenia, those of us in the
20 field clearly believe that multiple underlying
21 pathophysiologies across different patients drive
22 similar clinical presentation, but with different

1 responses to medications.

2 To the question of the dopaminergic drugs
3 driving the high relapse rate, so while that
4 proposal is plausible, I think there is,
5 unfortunately, no other evidence to support that
6 conclusion. In fact, the differential response in
7 the open-label phase between Parkinson's and
8 Alzheimer's patients suggest otherwise.

9 While there was not a formal comparison,
10 there was a higher numerical response in the
11 Parkinson's patients compared to the Alzheimer's
12 patients, and these were the same patients who were
13 on the dopaminergic drugs. If that explanation
14 were true, or partly true, I would have at least
15 expected a numerically lower response rate in the
16 Parkinson's disease psychosis, as their symptoms
17 would have been complicated by the presence of
18 dopamine agents, but in fact you actually see a
19 better response in those patients.

20 Finally, to the final question about,
21 essentially, Study 020, this leads me to the
22 question -- I'll preface my comments by saying that

1 I'm only addressing the question of whether I
2 believe the two conditions are closely related
3 because that's, obviously, essential and necessary
4 if I'm going to give Study 020 consideration in
5 this new indication.

6 So it's ultimately up to the agency to
7 decide what level of evidence is required for
8 approval, but I do not believe the prior approval
9 of pimavanserin in Parkinson's support efficacy in
10 Alzheimer's because there's limited evidence
11 suggesting psychosis between the two conditions is
12 being driven by similar mechanisms or that they
13 respond similarly to pimavanserin. In fact, as I
14 outlined earlier, from my interpretation of
15 Study 045, they were actually probably quite
16 different. Thank you.

17 DR. NARENDRAN: Thank you.

18 Dr. Iyengar, you're next.

19 DR. IYENGAR: Thank you. Actually, my
20 concerns were very well articulated by the earlier
21 speakers, so I'll be a little bit brief.

22 I appreciate the unmet need for the ADP

1 patients and the attempts by Acadia to martial
2 evidence from Studies 019 and 045, however, I see
3 just too many problematic issues with the evidence.
4 First, there's the unexplained blip for placebo at
5 week 6 in Study 019; there's a demographic mismatch
6 between the population in England and here; and
7 there's a lack of support from secondary endpoints.
8 There's also this issue of the treatment by
9 subgroup interaction, which makes taking evidence
10 from Study 020 and trying to support the current
11 application.

12 In short, I think what's really needed is a
13 well-powered study on an appropriate Alzheimer's
14 disease psychosis sample. Thank you.

15 DR. NARENDRAN: Thank you.

16 Dr. Follmann?

17 DR. FOLLMANN: Yes. Thanks.

18 Sometimes I struggle with the decision on an
19 advisory committee, but not today, and I think it's
20 really just a simple and unfortunate story.

21 Study 045 stopped early at an interim analysis,
22 which was almost entirely driven by the result in

1 the PDD, and then after the study had stopped, you
2 find this enormous statistical interaction between
3 PDD and AD, suggesting and telling us that it's not
4 appropriate to combine these two groups, and that
5 we need to look at the evidence individually. So
6 now you're left with the AD subgroup, which is
7 simply underpowered, and while it might have had a
8 positive numerical effect in this study, the
9 evidence really is not there to support 019.

10 I thought Study 019 by itself was just
11 significant in the ITT. It just met the p 0.05
12 bar. But like the comments of the FDA and a lack
13 of a consistency across results for the secondary
14 endpoint, and not maintaining the effect for the
15 second half of the study, it didn't really give
16 further support to the story of the p of 0.045 in
17 Study 019, so I didn't find that very helpful.

18 In Study 045, the sponsor did many analyses,
19 some of which showed the similarity of the response
20 of the three different subgroups on pimavanserin,
21 but these aren't randomized comparisons. What we
22 really care about is the randomized comparison of

1 the treatment effect for the different subgroups,
2 which is where we see this enormous effect, which
3 allows us or ensures that we should look at the
4 subgroups separately.

5 A lot of the points that I want to make, I
6 agree a lot with what Dr. Thambisetty was saying.
7 In particular, there were a lot of analyses that
8 the sponsor made, but these are I think the best
9 analyses that would support the sponsor's case, and
10 I just found them in aggregate weak.

11 Then finally, Study 020, I don't think is
12 really relevant here. I think Study 045 shows the
13 two groups are not comparable, so I don't think
14 that's supportive evidence. I think it's just not
15 a very compelling story. Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Fiedorowicz?

18 DR. FIEDOROWICZ: Yes. Jess Fiedorowicz,
19 University of Ottawa. My comments are going to be
20 much in line of what you've heard already.

21 (Audio feedback.)

22 DR. FIEDOROWICZ: Hold on. I'm getting some

1 feedback.

2 Study 019 is a phase 2 study. It included a
3 questionably validated clinical outcome measure.
4 The measure was significant at the 6-week time
5 point from the protocol, although that was not
6 appropriately publicly registered, and I do think
7 that is an important issue.

8 Differences at that time point were also not
9 consistent with surrounding time points, and that
10 adequately was supported by secondary and
11 exploratory endpoints, and there were some concerns
12 about protocol deviation, some of which I think are
13 understandable, but there are concerns nonetheless.
14 The consent ones concern me most. The ones about
15 the diagnosis and the timeline of that were a
16 little less concerning because that is very
17 difficult to tease out in clinical history.

18 Overall, I felt Study 019 supported the
19 conclusions that were published in that Ballard
20 Lancet paper, where it was published, where they
21 said, quote, "The findings from the study suggest
22 potential efficacy and acceptable tolerability of

1 pimavanserin for psychosis in Alzheimer's disease,
2 encouraging the development of a phase 3 clinical
3 trial program." So while Study 019 indeed supports
4 the design of such a study and program, it falls
5 short, in my mind, of the FDA definition of an
6 adequate and well-controlled clinical study.

7 Moving on to Study 045, while the overall
8 study was positive, it was indeed strongly
9 influenced by the Parkinson's subgroup, where there
10 already is an indication given. There is evidence
11 of differential results by diagnostic group, and
12 it's not clear to me whether this is induced by
13 concurrent use of dopaminergic agents, and is a
14 relevant question.

15 When Dr. Follmann asked the question of why
16 does it matter, it's not clear to me that it
17 matters. We know that there's differential
18 response to those groups, and presumably those
19 differences also apply to other study designs where
20 those with Parkinson's are going to be more likely
21 on this medicine.

22 The subgroup of analysis relevant to

1 Alzheimer's was not statistically significant. And
2 ultimately when you look down at the raw data, it
3 boils down to 14 relapsing with placebo versus 9
4 with pimavanserin for the Alzheimer's disease in
5 FTD spectrum disorders.

6 On the prior approval, I think the overlap
7 in dementia pathophysiology provides biological
8 plausibility for consideration of that, but the
9 results of Study 045 showing differential response
10 ultimately question it, as others have noted.

11 Thank you.

12 DR. NARENDRAN: Thank you.

13 Dr. Stander?

14 DR. STANDER: Yes. Thank you. I appreciate
15 the opportunity to participate in this panel. I
16 need to make sure people understand that my
17 expertise or experience is virtually entirely as a
18 clinician over the years, and I really appreciate a
19 lot of the insight that's been given by the other
20 members of the panel who have greater expertise in
21 study design and now with this statistical
22 interpretation. I am currently at Phoenix VA and

1 the faculty of University Arizona Phoenix.

2 I won't repeat a lot of the details from the
3 other speakers, but I would agree that my concerns
4 of Study 019 are some of the conclusions based on a
5 relatively short duration, the makeup of the
6 population, and a relatively small statistical
7 benefit.

8 On Study 045, it was acknowledged that this
9 wasn't powered to distinguish really between
10 subgroups, and stopped early [indiscernible] for
11 the PD population. I don't think that the efficacy
12 prior to approval really [indiscernible].

13 I did want to add, though, that in listening
14 to many of the comments, I do empathize with those
15 individuals, because speaking from not just
16 experience of treating patients with Alzheimer's, I
17 did spend a considerable amount of time as a
18 caregiver and have a mother-in-law with dementia
19 and my mother.

20 So I sorely recognize the desperate need for
21 effective treatments here, but I do think caution
22 is necessary because a desperate need doesn't

1 necessarily define approval of medication that has
2 limited or short-term benefit particularly. I also
3 need to weigh in on what is likely to be a
4 relatively [indiscernible]. Thank you.

5 DR. NARENDRAN: I think you're breaking up,
6 Dr. Stander.

7 DR. STANDER: I was finished. I'm sorry.

8 DR. NARENDRAN: Okay. Thank you.

9 I heard the agency wanted to comment on the
10 registration, clinical trials registration issue,
11 before we continue, and I'd be happy to hand it to
12 the agency.

13 DR. FARCHIONE: Thank you, Dr. Narendran.

14 This is Tiffany Farchione. This issue about
15 clinicaltrials.gov and 12 weeks versus 6 weeks I
16 think keeps coming up. I just want to make sure
17 that that -- we have plenty of data to consider. I
18 don't want that to color the committee's opinion.

19 Obviously, the company submitted their
20 protocols to us prior to initiating any studies.
21 From the very first submission, week 6 was listed
22 as the endpoint for that study, so it's always been

1 week 6 since the beginning. So regardless of what
2 was posted on clinicaltrials.gov, it sounds like
3 there may have been a snafu there, but it has
4 always been week 6. That's it.

5 DR. NARENDRAN: Thank you for the
6 clarification.

7 Dr. Krishna, I want to give you a chance to
8 weigh in.

9 DR. KRISHNA: Hi. This is Sonia Krishna.

10 That week 6 question is what I had brought
11 up before, and I appreciate the clarification. If
12 you look at that, it looks like Study 019 is
13 positive, but I wanted more data points to confirm
14 that, and I would like the benefit to be a bit more
15 sustained since we do have at least the 12 weeks of
16 data.

17 So it does make me more concerned about a
18 status of placebo variation. Also, it would have
19 been nice if any of the secondary endpoints were
20 also positive.

21 I'm also curious because we have spent a
22 long time talking about this drug labeling for PDP,

1 and we've talked a lot, actually, about the
2 off-label use of atypical antipsychotics. But in
3 the six years this drug has been out, there's no
4 discussion about the off-label use if people have
5 been using it for ADP, obviously understanding that
6 we're trying to consider the labeling now. Thank
7 you.

8 DR. NARENDRAN: Thank you.

9 Ms. Witczak?

10 MS. WITCZAK: Thank you. Kim Witczak,
11 consumer rep.

12 First of all, I'd like to just start out
13 with this idea of unmet need. It seems like a lot
14 of the drugs that are coming before us maybe do
15 come with this unmet need and this idea of is it
16 symptoms. It just feels what happens is it does
17 lower -- because of using the fast tracking
18 mechanism, it does lower clinical trial
19 requirements.

20 So with that being said -- that's just an
21 overall comment. But the first study, 019 -- and I
22 go back to the fact that it was a broad, sweeping

1 dementia-related psychosis, and that the FDA at
2 that time rejected it, and it wasn't an adequate
3 well-controlled study. It sounds like, from our
4 papers, that the FDA really would have liked to
5 have seen a new study, and that's really what I
6 would have liked to have seen; especially
7 Alzheimer's, when I asked that question earlier,
8 how do we know that they were actually Alzheimer's
9 versus just dementia and were they given brain
10 scans?

11 So I feel like it becomes very subjective,
12 especially because now we're trying to go, okay, it
13 didn't work here; let's try to go here, and the
14 narrow application, so that I would have liked to
15 have seen, and agreed with the agency, a new study,
16 and yet I know it's expensive, et cetera, to do all
17 of that.

18 The other issues, that it was over in the UK
19 with predominantly a white population, when we know
20 that here -- and not a whole lot of men as well,
21 but then when we know that, according to the CDC's
22 numbers, predominant Alzheimer's is in the black

1 population, followed by Latin and white. So that's
2 just something that I know that, overall, a lot of
3 trials have this issue, but I hope that it
4 encourages sponsors and the industry to do a better
5 job on that.

6 In terms of the 045, then going back to the
7 primary, it didn't reach it. Secondary in my mind
8 is the fact that there wasn't any substantial --
9 and really, is 2 points meaningful enough to the
10 patient population? And I heard, and I sympathize,
11 when people are talking about what it is like to
12 live in real-world situations with this, but I
13 think it's not set for that.

14 Then 045, we've got the different subgroups,
15 and I think whether it's Parkinson's, or the Lewy
16 bodies, or Alzheimer's, it just feels like it was
17 too all put together, and it's hard to parcel out;
18 that, again, from what I have understood in my
19 layperson is that a lot of times Parkinson's, could
20 that be the psychosis because the drugs that are
21 used to treat as opposed to Alzheimer's, which is a
22 different type of psychosis or mechanism that does

1 creates that.

2 Then in terms of the last study using the
3 original Parkinson's psychosis, I think it's
4 far-reaching. It doesn't feel relevant. It feels
5 like we're just reaching for straws so that we can
6 get this unmet need, and we can get out there and
7 market. I don't know who is right before me, but
8 she mentioned something of aren't there -- I mean,
9 I would assume, since I've heard many times at this
10 committee that the FDA is not in the business of
11 regulating off label, that I would think there are
12 probably physicians out there right now that have
13 been using this drug off label, so I just say that.

14 Then, of course, I'm not even touching
15 safety yet because I know that's not what we're
16 doing. But safety is always a concern, and given
17 even just the last Parkinson's psychosis, we know
18 what's happening in the latest rounds of data on
19 the safety that's coming out of that, and
20 Alzheimer's is a longer term disease. So those are
21 some of my concerns with the three studies. Thank
22 you.

1 DR. NARENDRAN: This is Raj Narendran. I'm
2 just going to add in that I agree that it kind of
3 met the primary endpoint, Study 019, but I feel
4 like there were too many issues in terms of the
5 scales used were not well quantified, or it was a
6 very severely cognitively compromised population
7 where symptoms fluctuate a lot, so maybe that
8 explains some of the issues there.

9 The single time point was a concern. I
10 thought the study sample was relatively small
11 because it was designed as a phase 2 study, and the
12 functional outcome issue and lack of response on
13 agitation and aggression, and things that go along
14 with psychosis kind of give me pause to think how
15 effective this drug is, based on Study 019.

16 Study 045, I felt the randomized-controlled
17 trial design works very well if we know that there
18 is an established data set. Like antipsychotics in
19 schizophrenia, you remove them, and they worsen.
20 It seems fair, or if your efficacy in the
21 short-term trial prospectively is clear-cut
22 defined, based on some mechanism, I think the

1 randomized-controlled trial design is a good way to
2 look at durability and maintenance, but I don't
3 know if that was the right design to go for. And I
4 think, as we all know, it didn't work out and,
5 unfortunately, was terminated early, and the study
6 was underpowered to gauge its efficacy in
7 Alzheimer's disease psychosis.

8 The last thing, I do not think the 020 study
9 is relevant here. I think Parkinson's disease
10 psychosis, as we know, is mostly LBD, Lewy body
11 dementia. There's a lot of inclusions. It has a
12 predominance of visual hallucinations. There's a
13 lot more stability for hallucinations in
14 Parkinson's disease as opposed to in Alzheimer's
15 dementia psychosis, it's mostly delusions.

16 So I'm not sure we can really use that data
17 to support this particular indication. So that was
18 kind of my thoughts.

19 Is there anybody else who wants to weigh in
20 before I summarize? Did everybody have a chance?

21 (No response.)

22 DR. NARENDRAN: I don't see any raised

1 hands.

2 (No response.)

3 DR. NARENDRAN: People forgot to put their
4 hands down.

5 DR. APOSTOLOVA: Hi. Sorry. It's Liana. I
6 haven't weighed in. It's Liana.

7 DR. NARENDRAN: Dr. Apostolova?

8 DR. APOSTOLOVA: It's Liana Apostolova.

9 Yes, thank you.

10 I guess I'm the one with the more positive
11 outlook from the data that was presented. First of
12 all, I'm a neurologist, a dementia doctor, and I
13 hundred percent care for patients largely with
14 Alzheimer's, and very little with Parkinson's
15 disease dementia, to be honest, with Lewy body
16 dementia. And in my experience, in Alzheimer's
17 per se, unlike in schizophrenia, psychosis doesn't
18 always associate that strongly with agitation and
19 aggression, cognitive decline and the ability of
20 patients to actually understand what's going on;
21 it's more likely to cause aggressive behavior and
22 agitation, and not so much the psychotic episodes.

1 But that's my observations from the population I
2 care for.

3 I thought Study 019 met its primary outcome
4 in that the exploratory analysis support the
5 findings after controlling for the protocol
6 deviation. I found the responder analysis by
7 presenting good separation of the curves. My only
8 question was about durability of effect, the
9 various stats [indiscernible] fluctuating after
10 psychosis, unquestionably so.

11 In terms of Study 045, I found the data on
12 SAPS-H+D and CGI convincing. It is unfortunate
13 that there were not more Alzheimer's disease
14 subjects enrolled for better power, and the study
15 was stopped early. But the effect size in AD meets
16 my expectations. I don't anticipate it to ever
17 match Parkinson's disease dementia or dementia with
18 Lewy body for that matter, and the time to relapse
19 curves were persuasive.

20 The Parkinson's study in its own doesn't
21 support an indication for Alzheimer's, but the fact
22 that the medication has been on the market and has

1 been administered to tens of thousands of people
2 safely does matter, as our other options have a
3 black box warning, and have cognitive side effects,
4 and reduce the mobility of our patients by virtue
5 of Parkinsonism.

6 So there is the unmet need; we tend to
7 ignore that, and also to consider that these
8 advanced dementia trials are very hard to conduct.
9 I cannot help but see more positive than negative
10 in the data presented today. That's it.

11 DR. NARENDRAN: Thank you.

12 Is there anybody else on the committee with
13 their hands up?

14 (No response.)

15 DR. NARENDRAN: I see everybody's lowered
16 their hands, so I will summarize the discussion I
17 heard so far.

18 From the committee, we heard mostly from the
19 committee members that the Study 019 was not
20 adequate. People raised the protocol deviations
21 issue, although they felt that somewhat was
22 addressed by the agency's review. There were some

1 concerns about the separation only at week 6.
2 People felt the effect was very small, and also
3 there were some concerns that it was not
4 maintained.

5 There were also questions raised about the
6 validity and construct of the outcome measures
7 used, lack of signal in the secondary measures, and
8 lack of functional outcome improvement was a
9 concern. People also raised concerns about the
10 ethnic composition. Also I heard that people
11 thought that it was designed as a phase 2 trial, so
12 it didn't provide sufficient evidence as a phase 3
13 larger trial would have done.

14 But I also heard some positive comments on
15 019, that some people felt it was positive and the
16 data was supportive but not perfect. They also
17 felt it was persuasive despite the audit and the
18 deviations. I also heard that it was technically a
19 win.

20 With respect to Study 045, I heard that the
21 randomized trial by design was not the best to look
22 at the efficacy because of the selection bias of

1 only including responders and withdrawing the drug.
2 People also felt that the premature termination of
3 the study, because it was underpowered to really
4 gauge the efficacy in Alzheimer's disease
5 psychosis, was unfortunate that it was terminated
6 early. I also heard that the dopaminergic drug
7 issue is not very convincing, and I also heard that
8 the post hoc analysis in the separate groups is not
9 necessarily a randomized comparison to provide us
10 clear-cut efficacy data.

11 With respect to the Parkinson's disease
12 psychosis 020 study relevant to here, I felt many
13 people say it was not relevant or the relevance was
14 unclear. People thought there was a different
15 illness, but people also agreed that there was some
16 overlap between the two conditions, and maybe
17 there's some biological plausibility that psychosis
18 could be effectively treated with pimavanserin.

19 I also heard that because it has been
20 administered safely for a large population, PDP
21 population, it could be reasonable to go forward.

22 That's my summary. We would like to move to

1 question number 2.

2 Is there anything else anybody wants to add
3 to the summary before I move to the voting
4 question?

5 (No response.)

6 DR. NARENDRAN: I do not see any other hands
7 raised. If there is no further discussion on the
8 discussion question, we will now move to the next
9 question, which is a voting question. Dr. Joyce
10 Frimpong will provide the instructions for the
11 voting.

12 DR. FRIMPONG: Question 2 is a voting
13 question. Voting members will use the Adobe
14 Connect platform to submit their votes for this
15 meeting. After the chairperson has read the voting
16 question into the record, and all questions and
17 discussions regarding the wording of the vote
18 question are complete, the chairperson will
19 announce that voting will begin.

20 If you are a voting member, you'll be moved
21 to a breakout room. A new display will appear
22 where you can submit your vote. There will be no

1 discussion in the breakout room. You should select
2 the radio button that is the round circular button
3 in the window that corresponds to your vote, yes,
4 no, or abstain. You should not leave the "no vote"
5 choice selected. Please note, you do not need to
6 submit or send your vote. Again, you only need to
7 select the radio button that corresponds to your
8 vote.

9 You'll have the opportunity to change your
10 vote until the vote is announced as closed. Once
11 all voting members have selected their vote, I will
12 announce that the vote is closed. Next, the vote
13 results will be displayed on the screen. I will
14 read the vote results from the screen into the
15 record.

16 Thereafter, the chairperson will go down the
17 roster, and each voting member will state their
18 name and their vote into the record. You can also
19 state the reason why you voted as you did, if you
20 want to, however, you should also address any
21 subparts of the voting question, if any.

22 Are there any questions about the voting

1 process before we begin?

2 DR. STANDER: Yes. This is Dr. Stander;
3 just a quick question. It says for the no vote, if
4 you vote that way, of if not, if it's yes, you're
5 supposed to provide your rationale. Is there going
6 to be someplace to type that in on the site?

7 DR. FRIMPONG: No, for your rationale, when
8 you vote, Dr. Narendran will ask you your reason
9 why you voted as you did, and you can state.

10 DR. STANDER: Okay. Thank you.

11 DR. FRIMPONG: No problem.

12 Alright, Dr. Narendran.

13 DR. NARENDRAN: Okay.

14 So our voting question, question number 2,
15 does the available evidence support the conclusion
16 that pimavanserin is effective for the treatment of
17 hallucinations and delusions in the Alzheimer's
18 disease psychosis population? If yes, provide the
19 rationale. If no, provide the rationale and a
20 recommendation for what further evidence should be
21 generated.

22 Are there any questions about the question

1 concerning the wording before we decide to vote?
2 If you do have questions about the wording, please
3 raise your hand.

4 (No response.)

5 DR. NARENDRAN: It seems pretty clear.

6 Joyce, I'll hand it to you.

7 DR. FRIMPONG: We will now move voting
8 members to the voting breakout room to vote only.
9 There will be no discussion in the voting breakout
10 room.

11 (Voting.)

12 DR. FRIMPONG: The voting has closed and is
13 now complete. Once the vote results display, I
14 will read the vote result into the record.

15 (Pause.)

16 DR. FRIMPONG: The vote results are
17 displayed. I will read the vote totals into the
18 record. The chairperson will go down the list and
19 each voting member will state their name and their
20 vote into the record. You can also state the
21 reason why you voted as you did, if you want to,
22 however, you should also address any subparts of

1 the voting questions, if any.

2 For our result, we have 3 yeses, 9 noes, and
3 no abstained.

4 DR. NARENDRAN: Thank you.

5 We will now go down the list and have
6 everyone who voted state their name and vote into
7 the record. You may also want to address the
8 subpart questions and provide the rationale if you
9 voted yes, and if no, provide the rationale and a
10 recommendation for what further evidence should be
11 generated.

12 We will start with Dr. Johnston.

13 MS. JOHNSTON: Thank you. That would be
14 giving me a promotion. I'm actually the patient
15 advocate.

16 DR. NARENDRAN: Ms. Johnston. Sorry.

17 MS. JOHNSTON: I did vote yes, and my name
18 is Colette Johnston. What I thought was going to
19 be a fairly easy day turned out to be very
20 difficult for me. I have over 25 years of
21 experience in reviewing clinical trials on various
22 IRBs, and from that perspective, I have so many

1 concerns, and they're all the concerns that have
2 been addressed, from patient population, to
3 informed consent, to this not being applicable to
4 our patient population.

5 That said, my role here today is as a
6 patient advocate, so I have to take myself back to
7 the night I got a phone call that my father in a
8 care center had just been in a physical altercation
9 with another patient, and who was the most docile,
10 kind man you would ever meet. And I'm 250 miles
11 away, and the only thing they can do is send him to
12 a psych ward in an ambulance.

13 If I would have had the opportunity to use
14 this drug, that whole scenario would have changed,
15 and the next two months of his life before he
16 passed could not have been -- possibly would not
17 have been -- spent in a drug-induced sedation.

18 So from a patient advocate's point, I know
19 that desperation should not drive us, and I do feel
20 like this is being pushed towards the market, and I
21 have to say I was fairly safe in my yes vote
22 because it was pretty obvious that we were going to

1 have more noes. I couldn't look at somebody in
2 that position and justify that they couldn't have
3 access to that drug, especially since it's being
4 used off label. So that's my rationale.

5 DR. NARENDRAN: Sorry. I lost connection.

6 Dr. Follmann?

7 DR. FOLLMANN: Yes. Thanks. This is Dean
8 Follmann of NIAID. I voted no, and I think the
9 reasons I articulated in the last question, and I
10 agree with a lot of what had been said.

11 In terms of further evidence, I'd like to
12 see a randomized trial in ADP. And just one small
13 comment on that, I've not really seen the
14 randomized withdrawal design before, but it seems
15 like if you have such a design and you show a
16 striking benefit, then you would want to give those
17 randomized to placebo the effective drug. And you
18 could do this in a blinded way and look at what is
19 the change; like a symptoms score at the time they
20 get the drug, or in a blinded way, the drug people
21 continue to get the drug, look at the change.

22 If something like that had been done in 045,

1 we would have additional evidence; yes, we'd have
2 evidence whether the drug worked or not; so anyway,
3 just a consideration for a future twist on a
4 randomized withdrawal design. That's all.

5 DR. NARENDRAN: Dr. Fiedorowicz?

6 DR. FIEDOROWICZ: Yes. Jess Fiedorowicz.
7 My vote was a no. A lot of the reasons were clear
8 from the prior discussion. As far as further
9 evidence, I would suggest a phase 3, an RCT in
10 Alzheimer's psychosis, as was proposed by that
11 original Lancet paper for Study 019.

12 I do want to also just add that while I
13 understood from the applicant and the agency that
14 the original protocol specified 6 weeks, the
15 registration is what is available to the global
16 public and the scientific community, and I do want
17 to underscore that. For any such follow-up study,
18 I think everyone's already touched on this, but the
19 adequate representation, particularly racial
20 representation, of this study would be valuable.
21 Thank you.

22 DR. NARENDRAN: Ms. Witczak?

1 MS. WITCZAK: Kim Witczak, Woodymatters,
2 consumer rep. I voted no, and I articulated a lot
3 of the reasons in prior conversation. But again, I
4 always say that without the benefit, we also must
5 look at the harms in totality, although I know that
6 wasn't our assignment.

7 Then in terms of what I would like to see,
8 and we heard it from Colette, and from people, and
9 the public speakers, that there is a desire and a
10 need for this, but I would encourage the sponsor to
11 do a phase 3 in the proper population with the
12 proper racial ethnic background, as well as test
13 for Alzheimer's disease. And I would love to see
14 those results and see what comes back with it.

15 So that would be my encouragement, and
16 again, thank you for today.

17 DR. NARENDRAN: Thank you.

18 Dr. Apostolova?

19 DR. APOSTOLOVA: Hi. Liana Apostolova,
20 Indiana University. I voted yes, and the rationale
21 behind that is that despite the fact that both
22 studies were small in terms of AD population, there

1 was modest efficacy in both, which survived after
2 controlling for protocol deviations, and it also
3 was evident in the prematurely stopped trial, to
4 some extent.

5 I also am swayed by the fact that there is
6 real-life use data on pimavanserin, and we know
7 it's safe. It doesn't cause the side effects like
8 typical antipsychotics, which is the only other
9 class of drugs we have available, so my vote is
10 yes. Thank you.

11 DR. NARENDRAN: Thank you.

12 Dr. Thambisetty?

13 DR. THAMBISETTY: Thank you, Dr. Narendran.
14 Madhav Thambisetty, NIH. I voted no, and I think
15 all of the reasons for my vote were described in
16 the discussion question, number 1.

17 As far as what further evidence should be
18 generated, I would echo back what the FDA advised
19 the applicant in the June 2021 Type A review
20 meeting, as well as the December 2021 Type B
21 guidance meeting, when they advised that an
22 additional adequate and well-controlled study in AD

1 psychosis would likely provide the strongest data
2 and support of a resubmission. So I would echo
3 that advice. I think they got it spot on.

4 If I may just add on an unrelated note, I
5 found the patient testimony today extremely moving
6 and powerful. I myself am a neurologist who has
7 cared for patients for more than 20 years. I
8 recognize the unmet need in the field. I just
9 think that the unmet need should not be a
10 justification for us to cut corners. It should, on
11 the other hand, inspire us to do the best science
12 and apply the most rigorous standard to analyzing
13 the results from those studies.

14 In this context, I would also like to
15 acknowledge the significant contributions that the
16 applicant has made. I think these are incredibly
17 difficult studies to run in very, very difficult
18 patients, and I think the applicant also might be
19 congratulated for doing their best to bring
20 tangible benefits to our patients. Thank you very
21 much.

22 DR. NARENDRAN: Thank you.

1 Dr. Cudkowicz?

2 DR. CUDKOWICZ: Yes. Merit Cudkowicz. I
3 voted yes, and the reason was that Study 019 was
4 positive. It was a primary outcome that was agreed
5 on in advance with the FDA, and is not atypical for
6 looking at psychiatric symptoms. I think 6 weeks
7 and getting their faster is highly relevant for
8 people suffering from psychosis and Alzheimer's and
9 for their family members.

10 I thought 045 was mildly supportive, no new
11 safety issues, and I was persuaded by the disease
12 experts' points about the similarities and the
13 biology of hallucinations and delusions in
14 Parkinson's and Alzheimer's.

15 I do think that there are open questions
16 still, but that many of those could be addressed in
17 a postmarketing type study. Thank you.

18 DR. NARENDRAN: Thank you.

19 Dr. Stander?

20 DR. STANDER: Yes. Thank you. I voted no.
21 I expressed many of my concerns, which are similar
22 to those expressed by others just relatively

1 limited at the [indiscernible] and in a limited
2 population. As Dr. Thambisetty said, I think it's
3 very commendable the applicant is trying to conduct
4 very difficult studies. And as I said earlier, I
5 can empathize and identify with those in the
6 community and elsewhere who acknowledged and
7 expressed their deep concern in the need for
8 effective therapies in this domain, but I do have
9 also concerns that once medications or treatments
10 are made available for problems like this, it's a
11 little like the genie being let out of the bottle,
12 and they tend to get used for a wide range of
13 symptoms for patients that may not really be
14 applicable, extreme costs, and show negative
15 effects.

16 So I would recommend, as others have said, a
17 more fine study focused entirely on the Alzheimer's
18 population, and preferably from my perspective,
19 longer duration of efficacy that is showing benefit
20 for 6 weeks or [indiscernible]. Thank you.

21 DR. NARENDRAN: Thank you.

22 Raj Narendran, and I voted no for the

1 reasons mentioned before. I think an additional
2 randomized-controlled trial which maybe
3 incorporates some Alzheimer's blood markers, which
4 is a bigger sample size with better outcome
5 measures I think would be reasonable to generate
6 strong data to support an indication going forward.
7 That's all I have to say.

8 Dr. Iyengar, you're next.

9 DR. IYENGAR: This is Satish Iyengar from
10 Pittsburgh. I also voted no for the reasons I
11 stated before. I also think that what's really
12 needed is a well-powered study on a demographically
13 appropriate and large ADP sample. Thank you.

14 DR. NARENDRAN: Dr. Krishna

15 DR. KRISHNA: This is Sonia Krishna. I
16 voted no for the discussion we've had, and I would
17 like to add that, yes, I also would agree with a
18 new study just in this patient population. And I'm
19 also very interested to find out what has been
20 going on for the past six years when this drug has
21 been out, and other people have used it, even
22 anecdotal information maybe from the community

1 providers who have been treating these patients.

2 Thank you.

3 DR. NARENDRAN: Thank you.

4 Dr. Dunn?

5 DR. W. DUNN: Walter Dunn, UCLA. I voted no
6 based on the interpretation that the term
7 "conclusion" in the question requires compelling
8 evidence. I do think that Study 019 provides some
9 evidence that pimavanserin can be effective in
10 Alzheimer's dementia psychosis, but that further
11 study is warranted to reach a level of a
12 conclusion.

13 But I would give Study 019 partial credit;
14 again, technically a positive study, but attenuated
15 by, one, the positive outcome in week 6 looks like
16 it's being driven by worsening in the placebo arm,
17 which appears unrelated to the disease process;
18 two, limitations in the primary outcome scale
19 captured only a narrow view of symptoms and
20 impairment; and then three, lack of concurrence of
21 course in the secondary outcomes.

22 As far as Study 045, the only two

1 conclusions I can make is that there is a
2 differential response between Parkinson's and
3 Alzheimer's disease psychosis, and that
4 pimavanserin can be very effective as a maintenance
5 treatment for Parkinson's disease psychosis.
6 Accordingly, therefore Study 020 in Parkinson's
7 patients would not support efficacy in the
8 Alzheimer's population.

9 In terms of what additional evidence should
10 be generated, I think there is no way around the
11 need to run another study, specifically in the
12 Alzheimer's population. However, if the division
13 is agreeable, I believe a positive randomized
14 withdrawal study would provide compelling evidence.
15 I think that's despite what's been said about such
16 designs enriching for responsive patients.

17 All that being said, I'd like to return back
18 to my original thought about narrow versus broad
19 considerations. The questions before the committee
20 have been narrow and precise, so I trust that the
21 agency will take a broad approach in their final
22 decision about approval. There are many factors

1 which we have not formally discussed today such as
2 safety and unmet clinical need. There's clearly a
3 need in this highly vulnerable population. As a
4 clinician, I am a proponent for having as many
5 tools in the toolbox as possible, so I trust the
6 agency will take into consideration all these
7 factors in their final decision.

8 I would also like to convey the final
9 message to the sponsor and payors if advocating for
10 our patients about improving access to this drug.
11 As this is an approved medication already on the
12 market, the real issue at hand with this approval
13 is about lowering the financial barriers to access
14 this treatment. Therefore, improving access is
15 something well within the capability of the company
16 and payors without having to involve the agency.
17 Thank you.

18 DR. NARENDRAN: Thank you.

19 So it seems like from what I heard, just to
20 summarize, many of the members wanted to see an
21 additional controlled randomized trial. Some
22 people thought a positive randomized withdrawal

1 trial would suffice. People wanted to see adequate
2 representation in terms of ethnicity and race.
3 Also, people wanted to see a trial where there's a
4 longer duration of efficacy being assessed.

5 The people who voted yes felt there was a
6 strong unmet need. They felt there was modest
7 efficacy and a reasonable signal, although small,
8 within the two trials that were done, especially
9 019, which many members who even voted no had
10 agreed that it met the positive endpoint.

11 Other people who voted yes felt that the
12 drug is already available and doesn't have any
13 safety concerns as available atypical
14 antipsychotics which are used to treat patients
15 off-label. So that's my summary.

16 Are there any other comments from the agency
17 before we adjourn the meeting? Anybody from the
18 agency want to comment or make any last --

19 (No response.)

20 DR. NARENDRAN: Dr. Farchione, if you're
21 there?

22 DR. BOSSIE: Hi. This is Paul -- I'm sorry.

1 Go ahead, Bernie.

2 DR. FISCHER: Hi. This is Bernie, deputy
3 for psychiatry. Tiffany just had her call drop, an
4 inopportune moment. But I just wanted to thank the
5 members of the AC for their careful consideration,
6 thank the public hearing comments, and we will take
7 all of this under consideration when making our
8 decision.

9 **Adjournment**

10 DR. NARENDRAN: Thank you, Dr. Fischer.

11 We will now adjourn the meeting. Thank you,
12 everyone, for attending. I do want to thank the
13 sponsor. I want to thank all the people who
14 participated in the open public hearing and gave
15 powerful testimony, and I also want to thank the
16 agency staff for all the hard work they do. Thank
17 you.

18 (Whereupon, at 4:21 p.m., the meeting was
19 adjourned.)
20
21
22