

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PERIPHERAL AND CENTRAL NERVOUS SYSTEM
DRUGS ADVISORY COMMITTEE MEETING (PCNS)

Virtual Meeting

Wednesday, September 7, 2022

12:00 p.m. to 6:41 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Jessica Seo, PharmD, MPH

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS

ADVISORY COMMITTEE MEMBERS (Voting)

G. Caleb Alexander, MD, MS

Professor of Epidemiology and Medicine
Johns Hopkins Bloomberg School of
Public Health
Center for Drug Safety and Effectiveness
Baltimore, Maryland

1 **Robert C. Alexander, MD**

2 Chief Scientific Officer

3 Alzheimer's Prevention Initiative

4 Banner Alzheimer's Institute

5 Research Professor, Department of Psychiatry

6 University of Arizona College of

7 Medicine - Phoenix

8 Phoenix, Arizona

9

10 **Liana G. Apostolova, MD, MSc, FAAN**

11 Distinguished Professor in Neurology

12 Barbara and Peer Baekgaard Chair in

13 Alzheimer's Disease Research

14 Professor in Radiology and Medical and

15 Molecular Genetics

16 Indiana University School of Medicine

17 Indiana Alzheimer's Disease Center

18 Indianapolis, Indiana

19

20

21

22

1 **Thomas J. Montine, MD, PhD**

2 *(Chairperson)*

3 Chair, Department of Pathology

4 Stanford Medicine Endowed Professor

5 Stanford University School of Medicine

6 Stanford, California

7

8 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

9 **(Non-Voting)**

10 **Jeffrey M. Dayno, MD**

11 *(Acting Industry Representative)*

12 Executive Vice President & Chief Medical Officer

13 Harmony Biosciences

14 Plymouth Meeting, Pennsylvania

15

16 **TEMPORARY MEMBERS (Voting)**

17 **Kenneth Fischbeck, MD**

18 NIH Distinguished Investigator

19 National Institute of Neurological Disorders and

20 Stroke, National Institutes of Health (NIH)

21 Bethesda, Maryland

22

1 **Dean Follmann, PhD**

2 Assistant Director for Biostatistics

3 National Institute of Allergy and

4 Infectious Diseases

5 National Institutes of Health

6 Bethesda, Maryland

7

8 **Avindra Nath, MD**

9 Clinical Director

10 National Institute of Neurological Disorders and

11 Stroke, National Institutes of Health

12 Bethesda, Maryland

13

14 **Bryan J. Traynor, MD, PhD**

15 Senior Investigator

16 National Institute for Ageing

17 National Institutes of Health

18 Bethesda, Maryland

19

20

21

22

1 **Mark Weston**

2 *(Patient Representative)*

3 Person with Amyotrophic Lateral Sclerosis (ALS)

4 Lakewood, Colorado

5

6 **FDA PARTICIPANTS (Non-Voting)**

7 **Billy Dunn, MD**

8 Director

9 Office of Neuroscience (ON)

10 Office of New Drugs (OND), CDER, FDA

11

12 **Teresa Buracchio, MD**

13 Director

14 Division of Neurology 1

15 ON, OND, CDER, FDA

16

17 **Emily Freilich, MD**

18 Cross Discipline Team Leader

19 Division of Neurology 1

20 ON, OND, CDER, FDA

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Thomas Montine, MD	9
5	Introduction of Committee	
6	Jessica Seo, PharmD, MPH	9
7	Conflict of Interest Statement	
8	Jessica Seo, PharmD, MPH	15
9	FDA Introductory Remarks	
10	Billy Dunn, MD	19
11	Applicant Presentations - Amylyx	
12	Introduction	
13	Tammy Sarnelli, MPAHC	47
14	Current Landscape in ALS	
15	Sabrina Paganoni, MD, PhD	54
16	Biomarker Data	
17	Lahar Mehta, MD	60
18	CENTAUR Results and New Overall	
19	Survival Analyses	
20	Jamie Timmons, MD	63
21	Clinical Perspective	
22	Merit Cudkowicz, MD, MSc	86

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions to the Applicant	93
FDA Presentations	
FDA Overview	
Teresa Buracchio, MD	106
Tristan Massie, PhD	111
Emily Freilich, MD	119
Teresa Buracchio, MD	123
Clarifying Questions to FDA	145
Open Public Hearing	168
Clarifying Questions (continued)	241
Questions to the Committee and Discussion	259
Adjournment	317

P R O C E E D I N G S

(12:00 p.m.)

Call to Order

DR. MONTINE: Good afternoon, and welcome.

I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Thomas Montine, and I'll be chairing this meeting. I will now call the September 7, 2022 Peripheral and Central Nervous Drugs Advisory Committee meeting to order. Dr. Jessica Seo is the designated federal official for this meeting and will begin with introductions.

Introduction of Committee

DR. SEO: Good afternoon. My name is Jessica Seo, and I'm the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and your affiliation.

We'll begin with Dr. Caleb Alexander.

1 (No response.)

2 DR. SEO: Dr. Caleb Alexander --

3 DR. C. ALEXANDER: Hi. Can you hear me?

4 DR. SEO: Yes, we can hear you, sir.

5 DR. C. ALEXANDER: Hi. Can you hear me?

6 DR. SEO: Yes. Dr. Alexander --

7 DR. C. ALEXANDER: Thank you

8 Good afternoon. Sure. My name is Caleb

9 Alexander. I'm a practicing general internist and

10 professor of epidemiology and medicine at the Johns

11 Hopkins Bloomberg School of Public Health. Thank

12 you.

13 DR. SEO: Thank you, sir.

14 Next, we have Dr. Robert Alexander.

15 DR. R. ALEXANDER: Hi. It's Robert

16 Alexander. I'm a chief scientific officer at the

17 Banner Alzheimer's Institute, and a research

18 professor at the University of Arizona School of

19 Medicine in Phoenix. Thank you.

20 DR. SEO: Thank you.

21 Dr. Apostolova?

22 DR. APOSTOLOVA: Yes. I'm Liana Apostolova.

1 I'm professor of neurology from Indiana University
2 School of Medicine.

3 DR. SEO: Thank you.

4 Dr. Montine?

5 DR. MONTINE: Thank you, Dr. Seo.

6 Good afternoon, everyone. My name is Tom
7 Montine. I'm professor and chair of the Department
8 of Pathology at Stanford University.

9 DR. SEO: Thank you, sir.

10 Next, we'll go to our temporary voting
11 members, and we'll begin with Dr. Fischbeck.

12 DR. FISCHBECK: Hi. This is Kenneth
13 Fischbeck. I'm a neurologist in the neurogenetics
14 branch of Intramural NINDS at the NIH in Bethesda.

15 DR. SEO: Thank you, Dr. Fischbeck.

16 Next, we have Dr. Follmann.

17 DR. FOLLMANN: Yes. Good afternoon. This
18 is Dean Follmann. I'm head of biostatistics at the
19 National Institute of Allergy and Infectious
20 Diseases.

21 DR. SEO: Thank you, sir.

22 Dr. Nath?

1 DR. NATH: Yes. I'm a neurologist and the
2 clinical director at the National Institute of
3 Neurological Disorders and Stroke at NIH.

4 DR. SEO: Thank you.

5 Dr. Traynor?

6 DR. TRAYNOR: Hi. My name is Dr. Bryan
7 Traynor. I'm a neurologist and senior investigator
8 at the NIH, with expertise in ALS and other
9 neuromuscular diseases.

10 DR. SEO: Thank you, sir.

11 And Mr. Weston?

12 MR. WESTON: Good morning, everybody. My
13 name is Mark Weston. I am the patient
14 representative on today's advisory committee,
15 diagnosed with ALS three years ago next month and
16 obviously still kicking, unlike some of my friends,
17 three of whom I want to mention.

18 April 1st, Bridget died; June 10th, Ken
19 died; July 4th, Bruce died. These were all people
20 that I knew very well through support groups, and
21 I'll be thinking of them a lot today as we proceed.
22 Thank you.

1 DR. SEO: Thank you, Mr. Weston.

2 Next we have our acting industry
3 representative, Dr. Dayno.

4 DR. DAYNO: Good afternoon. My name is
5 Jeffrey Dayno. I'm a neurologist, and I am the
6 chief medical officer at Harmony Biosciences.
7 Today I am serving as the industry representative
8 on the panel for this advisory committee meeting.
9 Thank you.

10 DR. SEO: Thank you.

11 We'll now go to our FDA participants. I'll
12 begin with Dr. Dunn.

13 DR. DUNN: Good afternoon. I'm Dr. Billy
14 Dunn. I'm the director of the Office of
15 Neuroscience at the FDA.

16 DR. SEO: Thank you.

17 Next is Dr. Buracchio.

18 DR. BURACCHIO: Hi. I'm Teresa Buracchio.
19 I'm the director of the Division of Neurology 1 at
20 FDA.

21 DR. SEO: Thank you.

22 And finally, we have Dr. Freilich.

1 DR. FREILICH: Hi. This is Dr. Emily
2 Freilich. I'm the cross-discipline team leader for
3 this application.

4 DR. SEO: Thank you all.

5 Dr. Montine?

6 DR. MONTINE: Thank you.

7 For topics such as those being discussed at
8 this meeting, there are often a variety of
9 opinions, some of which are quite strongly. Our
10 goal is that this meeting will be a fair and open
11 forum for discussion of these issues and that
12 individuals can express their views without
13 interruption. Thus, as a gentle reminder,
14 individuals will be allowed to speak into the
15 record only if recognized by the chairperson. We
16 look forward to a productive meeting together.

17 In the spirit of the Federal Advisory
18 Committee Act and the Government in the Sunshine
19 Act, we ask that the advisory committee members
20 take care that their conversations about the topic
21 at hand take place in the open forum of the
22 meeting.

1 We are aware that members of the media are
2 anxious to speak with the FDA about these
3 proceedings, however, FDA will refrain from
4 discussing the details of this meeting with the
5 media until its conclusion. Also, the committee is
6 reminded to please refrain from discussing the
7 meeting topic during breaks or lunch. Thank you.

8 Dr. Jessica Seo will read the Conflict of
9 Interest Statement for the committee.

10 **Conflict of Interest Statement**

11 DR. SEO: The Food and Drug Administration,
12 or FDA, is convening today's meeting of the
13 Peripheral and Central Nervous System Drugs
14 Advisory Committee under the authority of the
15 Federal Advisory Committee Act, or FACA, of 1972.
16 With the exception of the industry representative,
17 all members and temporary voting members of the
18 committee are special government employees, or
19 SGEs, or regular federal employees from other
20 agencies and are subject to federal conflict of
21 interest laws and regulations.

22 The following information on the status of

1 this committee's compliance with the federal ethics
2 and conflict of interest laws, covered by but not
3 limited to those found at 18 U.S. Code Section 208,
4 is being provided to participants in today's
5 meeting and to the public.

6 FDA has determined that members and
7 temporary voting members of this committee are in
8 compliance with federal ethics and conflict of
9 interest laws. Under 18 U.S. Code Section 208,
10 Congress has authorized FDA to grant waivers to
11 special government employees and regular federal
12 employees who have potential financial conflicts
13 when it is determined that the agency's need for a
14 special government employee's services outweighs
15 his or her potential financial conflict of interest
16 or when the interest of a regular federal employee
17 is not so substantial as to be deemed likely to
18 affect the integrity of the services which the
19 government may expect from the employee.

20 Related to the discussions of today's
21 meeting, members and temporary voting members of
22 this committee have been screened for potential

1 financial conflicts of interests of their own as
2 well as those imputed to them, including those of
3 their spouses or minor children and, for purposes
4 of 18 U.S. Code Section 208, their employers.

5 These interests may include investments;
6 consulting; expert witness testimony; contracts,
7 grants, CRADAs; teaching, speaking, writing;
8 patents and royalties; and primary employment.

9 Today's agenda involves the discussion of
10 new drug application, or NDA, 216660 for sodium
11 phenylbutyrate and taurursodiol, known as AMX0035,
12 powder for oral suspension, submitted by Amylyx
13 Pharmaceuticals, Incorporated, for the treatment of
14 amyotrophic lateral sclerosis.

15 This is a particular matters meeting during
16 which specific matters related to Amylyx
17 Pharmaceuticals', Incorporated NDA will be
18 discussed. Based on the agenda for today's meeting
19 and all financial interests reported by committee
20 members and temporary voting members, no conflicts
21 of interest waivers have been issued in connection
22 with this meeting. To ensure transparency, we

1 encourage all standing committee members and
2 temporary voting members to disclose any public
3 statements that they have made concerning the
4 product at issue.

5 With respect to FDA's invited industry
6 representative, we would like to disclose that
7 Dr. Jeffrey Dayno is participating in this meeting
8 as a non-voting industry representative acting on
9 behalf of regulated industry. Dr. Dayno's role at
10 this meeting is to represent industry in general
11 and not any particular company. Dr. Dayno is
12 employed by Harmony Biosciences.

13 We would like to remind members and
14 temporary voting members that if the discussions
15 involve any other products or firms not already on
16 the agenda for which an FDA participant has a
17 personal or imputed financial interest, the
18 participants need to exclude themselves from such
19 involvement, and their exclusion will be noted for
20 the record. FDA encourages all other participants
21 to advise the committee of any financial
22 relationships that they may have with the firm at

1 issue. Thank you.

2 Dr. Montine?

3 DR. MONTINE: We will proceed with FDA
4 introductory remarks by Dr. Billy Dunn.

5 Dr. Dunn, please?

6 **FDA Introductory Remarks - Billy Dunn**

7 DR. DUNN: Thank you, Dr. Montine.

8 Good afternoon, and welcome to our committee
9 members and guests who are joining us today for
10 this important meeting. I want to thank the
11 committee for your willingness to be here, your
12 eagerness to consider the important topics we will
13 discuss today, and your forthrightness in sharing
14 with us your perspectives on the application under
15 consideration.

16 I particularly want to note and thank those
17 affected by ALS who are joining us today. For
18 those of you who have requested an opportunity to
19 address the committee or who have provided written
20 comments for the committee, we look forward to and
21 are deeply appreciative of your input. Your
22 efforts to join us are invaluable and tremendously

1 appreciated. Thank you.

2 We are here today to continue the discussion
3 of AMX0035 for the treatment of patients with ALS.
4 ALS is a devastating condition with a significant
5 unmet medical need. Although there are three
6 approved drug products, two of which are different
7 formulations of the same entity, and the
8 development pipeline is active, we are highly
9 sensitive to the urgent need for the development of
10 new treatments for ALS.

11 Before briefly describing some of the issues
12 we will ask you to discuss today, I want to stress
13 that we have not made any final decisions on the
14 approvability of this application. Conclusions and
15 recommendations that you may have encountered
16 during the proceedings of the prior meeting and
17 during your review of the background materials for
18 today's meeting, and that you may encounter during
19 the upcoming presentations and discussions, should
20 be viewed as preliminary considerations. The
21 reason we are here today is to gain your input into
22 some of the issues we have confronted during our

1 review process so that we may incorporate it into
2 our ultimate decision on approvability.

3 We have reconvened the committee to continue
4 discussion of the application in the context of
5 additional information submitted by the sponsor.
6 Following the initial committee meeting in March,
7 the sponsor submitted additional analyses of the
8 survival data from the CENTAUR study and its
9 open-label extension, along with biomarker results
10 from a recently completed phase 2 study of AMX0035
11 in Alzheimer's disease.

12 The sponsor positioned these data as a
13 contribution to the confirmatory evidence intended
14 to support approval. Accordingly, we extended the
15 review period of the application to allow for
16 adequate consideration of this new information.
17 Recognizing the substantial unmet medical need in
18 ALS, we feel that it is important that the
19 committee is afforded the opportunity to consider
20 this new information, along with the information
21 presented at the prior AC meeting, in that context.

22 Scientists at FDA have reviewed the

1 additional information submitted by the sponsor in
2 great detail, and several members of our team will
3 share their thoughts with you today. Following my
4 comments and a series of presentations by the
5 sponsor, you will hear from three members of our
6 team.

7 Dr. Teresa Buracchio, the director of the
8 Division of Neurology 1, will provide an overview
9 of the prior discussion of this application, an
10 introduction to the new information submitted by
11 the sponsor, and a discussion of important
12 regulatory considerations and context. Dr. Tristan
13 Massie, a statistician from the Division of
14 Biostatistics 1, will present commentary on the
15 sponsor's new survival analyses. Dr. Emily
16 Freilich, the cross-discipline team leader for this
17 application, will discuss the sponsor's new
18 biomarker data from an Alzheimer's disease study.

19 As the committee will recall, the sponsor
20 submitted results from the CENTAUR study, a
21 double-blind, placebo-controlled, phase 2 study in
22 137 patients with ALS. This was a successful

1 study. It achieved a statistically significant
2 result on its prespecified primary endpoint, which
3 was verified by our team. Our review, however,
4 raised analytical and interpretive concerns that
5 limited any additional strong support from the
6 placebo-controlled portion of CENTAUR beyond the
7 primary endpoint. Although our concerns did not
8 undermine the primary result, they did suggest that
9 CENTAUR was not a highly persuasive trial.

10 The sponsor also submitted a post hoc
11 survival analysis of an open-label extension of
12 CENTAUR, the results of which suggested a survival
13 benefit in patients that originally received
14 AMX0035 compared to patients that originally
15 received placebo. Our review again raised concerns
16 regarding the interpretability of this finding.

17 Similar to the primary result, our concerns
18 did not undermine the reported survival benefit,
19 but did note that the effect on survival was not
20 statistically persuasive and called into question
21 whether the observed survival benefit could fairly
22 be attributed to an effect of the drug. Thus, the

1 sponsor presented placebo-controlled data from one
2 successful but not highly persuasive study,
3 accompanied by confirmatory evidence of the
4 survival benefit from an open-label extension study
5 with notable interpretability challenges.

6 Given the critical review issue concerning
7 whether the drug's effectiveness had been
8 established by this combination of one
9 double-blind, placebo-controlled study, plus
10 confirmatory evidence from the open-label
11 extension, this was the focus of the prior
12 committee meeting, and the committee was asked to
13 vote on whether these data established a conclusion
14 that AMX0035 was effective for the treatment of
15 ALS. Four committee members voted yes and six
16 committee members voted no.

17 It is our goal today to build on the
18 previous meeting by considering the additional
19 information submitted by the sponsor against the
20 background of the prior discussion.

21 Following the previous committee meeting,
22 the sponsor submitted new information intended to

1 contribute additional confirmatory evidence to
2 support approval. First, the sponsor performed an
3 additional individual responder analysis of
4 progression rate that uses participants as their
5 own controls and compares the response rate in the
6 AMX0035 group to the response rate in the placebo
7 group.

8 As discussed in our background materials,
9 this analysis has substantial interpretive
10 challenges and is highly correlated with the
11 primary analysis. It does not appear to be an
12 appropriate analysis to provide confirmatory
13 evidence of the CENTAUR primary result.

14 Second, the sponsor provided additional
15 analyses of survival intended to complement the
16 original survival analysis. These analyses are
17 intended, in part, to account for the treatment
18 crossover that occurred as placebo patients entered
19 the open-label extension and use a combination of
20 statistical methodologies and comparisons to
21 external populations in an attempt to more fully
22 explore the possibility of a survival benefit

1 attributable to AMX0035.

2 In brief, the sponsor asserts that the
3 results of these analyses strengthen the previously
4 reported survival benefit. Dr. Massie will discuss
5 a variety of concerns and limitations associated
6 with these analyses in his presentation on
7 statistical considerations.

8 Third, the sponsor submitted a summary of
9 exploratory analyses of a variety of CSF biomarkers
10 from a placebo-controlled study of AMX0035 in
11 Alzheimer's disease. Although several of these
12 biomarkers showed nominally significant differences
13 between treatment groups and appear potentially
14 promising as possible evidence of pharmacodynamic
15 activity, the character and relevance of these
16 biomarker findings does not appear to provide clear
17 evidence of a potential for clinical benefit in
18 patients with ALS. Dr. Freilich will discuss these
19 findings in greater detail.

20 It is vital to fully consider several issues
21 when considering the data before us. They include
22 the relevant approval pathway and standards; the

1 seriousness of the disease; the unmet need; and
2 regulatory flexibility. Approval requires a
3 demonstration of substantial evidence of
4 effectiveness.

5 Although such evidence may come from a
6 single study in isolation when the evidence
7 provided by that study is felt to be sufficiently
8 persuasive, it typically comes from more than one
9 study; either in the form of two independent
10 studies that serve to mutually substantiate their
11 results, or in the form of one study with
12 confirmatory evidence providing the independent
13 substantiation of the study's results. It is the
14 latter situation that is the subject of this
15 marketing application, one successful study plus
16 confirmatory evidence.

17 It is important to note that when
18 considering one study plus confirmatory evidence,
19 the single study that is involved in that situation
20 may be a study of conventional persuasiveness
21 rather than the highly persuasive study we prefer
22 to see when considering a true single study in

1 isolation. The degree of persuasiveness required
2 for approval may be influenced by many things,
3 including the seriousness of the disease, whether
4 there is an unmet need, and the character of the
5 confirmatory evidence.

6 The serious nature of ALS contextualizes our
7 consideration of the strength of evidence being
8 presented in support of the effectiveness of
9 AMX0035. ALS is a devastating, relentlessly
10 progressive disease that is serious, severely
11 debilitating, and life-threatening. Additional
12 context is further provided by the tremendous unmet
13 medical need for new treatments for ALS. Although
14 there are approved therapies, their effects are
15 limited, and new therapeutic agents are desperately
16 needed.

17 Finally, regulatory flexibility is a
18 prominent factor in our consideration of these
19 data. It is important, critically so, and
20 deserving of some focused discussion. Our
21 underlying legal authority is clear and not only
22 allowing but also endorsing and encouraging the

1 application of regulatory flexibility in the
2 setting of serious and life-threatening diseases.
3 It is unquestionably relevant to ALS drug
4 development, in general, and to our specific
5 consideration of the data before us.

6 From CFR 314.105(c), which discusses the
7 general review and approval of all new drug
8 applications, not simply those for serious and
9 life-threatening conditions, quote, "FDA will
10 approve an NDA after it determines that the drug
11 meets the statutory standards for safety and
12 effectiveness. While the statutory standards apply
13 to all drugs, the many kinds of drugs that are
14 subject to the statutory standards and the wide
15 range of uses for those drugs demand flexibility in
16 applying the standards.

17 "Thus, FDA is required to exercise its
18 scientific judgment to determine the kind and
19 quantity of data and information an applicant is
20 required to provide for a particular drug to meet
21 the statutory standards," end quote. It is
22 apparent from this that regulatory flexibility is a

1 foundational construct.

2 From CFR 312.80, which discusses drugs
3 intended to treat life-threatening and severely
4 debilitating illnesses and makes explicit reference
5 to 314.105(c), which I just reviewed, quote, "The
6 purpose of this section is to establish procedures
7 designed to expedite the development, evaluation,
8 and marketing of new therapies intended to treat
9 persons with life-threatening and severely
10 debilitating illnesses, especially where no
11 satisfactory alternative therapy exists.

12 "As stated in CFR 314.105(c) of this
13 chapter, while the statutory standards of safety
14 and effectiveness apply to all drugs, the many
15 kinds of drugs that are subject to them and the
16 wide range of uses for those drugs demand
17 flexibility in applying the standards.

18 "The Food and Drug Administration has
19 determined that it is appropriate to exercise the
20 broadest flexibility in applying the statutory
21 standards while preserving appropriate guarantees
22 for safety and effectiveness. These procedures

1 reflect the recognition that physicians and
2 patients are generally willing to accept greater
3 risks or side effects from products that treat
4 life-threatening and severely debilitating
5 illnesses than they would accept from products that
6 treat less serious illnesses. These procedures
7 also reflect the recognition that the benefits of
8 the drug need to be evaluated in light of the
9 severity of the disease being treated," end quote.

10 This makes it abundantly clear that for
11 these serious diseases like ALS and so many other
12 neurological conditions, the maximum degree of
13 regulatory flexibility, quote, "the broadest
14 flexibility in applying the statutory standards,"
15 end quote, is operational.

16 From CFR 312.84, which discusses the
17 risk-benefit analysis in review of marketing
18 applications for drugs to treat life-threatening
19 and severely debilitating illnesses, and makes
20 explicit reference to 312.80, which I just
21 reviewed, quote, "FDA's application of the
22 statutory standards for marketing approval shall

1 recognize the need for a medical risk-benefit
2 judgment in making the final decision on
3 approvability. As part of this evaluation,
4 consistent with the statement of purpose in
5 CFR 312.80, FDA will consider whether the benefits
6 of the drug outweigh the known and potential risks
7 of the drug, and the need to answer remaining
8 questions about risks and benefits of the drug,
9 taking into consideration the severity of the
10 disease and the absence of satisfactory alternative
11 therapy," end quote.

12 From the 2019 FDA draft guidance on
13 Demonstrating Substantial Evidence of Effectiveness
14 for Human Drug and Biological Products, quote, "In
15 all cases, FDA must reach the conclusion that there
16 is substantial evidence of effectiveness to approve
17 a drug. However, the degree of certainty
18 supporting such a conclusion may differ depending
19 on clinical circumstances; for example, severity of
20 the disease and unmet medical need," end quote.
21 The guidance goes on to discuss in a variety of
22 ways the recognition that a greater risk of false

1 positive conclusions may be acceptable.

2 So not only is regulatory flexibility a
3 well-recognized concept, but it is found throughout
4 our regulatory framework. But what is it? Some
5 are accustomed to thinking of regulatory
6 flexibility as granting more numerous formal
7 meetings with sponsors to ensure adequate
8 discussion of a complicated topic; entertaining
9 novel outcomes supported by less-than-ideal data;
10 accepting requests for a rapidly scheduled call
11 with a sponsor to provide clarity on a topic that
12 was left unresolved at a previous meeting; and the
13 list goes on.

14 But these are the easy examples. The more
15 challenging application of regulatory flexibility
16 concerns the need to tolerate a greater degree of
17 residual uncertainty when making a subjective
18 decision on approval. In appropriate circumstances
19 such as serious and life-threatening diseases in
20 settings of substantial unmet need, regulatory
21 flexibility applied to assessments of effectiveness
22 means increased tolerance for concluding that a

1 drug is effective when there is residual
2 uncertainty that the drug may not actually be
3 effective, which would be a conclusion at risk of
4 being a false positive, and decreased tolerance for
5 concluding that a drug is ineffective when there is
6 residual uncertainty that the drug may actually be
7 effective, which would be a conclusion at risk of
8 being a false negative.

9 Have we done this in ALS? We most certainly
10 have. Both of our novel approvals were based on
11 the application of substantial regulatory
12 flexibility. Riluzole was approved in 1995, based
13 on two studies that failed. Both studies failed to
14 demonstrate statistically significant findings on
15 the primary endpoint of survival using the
16 prespecified analysis. No functional benefit was
17 seen.

18 FDA decided another analytical method was
19 more appropriate and conducted alternative,
20 exploratory, post hoc analyses that resulted in
21 approval despite additional interpretive challenges
22 that emerged with the exploratory analyses. The

1 approval memorandum stated that the beneficial
2 effects were small, transient, clinically
3 undetectable, and that the evidence is far from
4 strong.

5 Edaravone was approved in 2017, based on a
6 single non-U.S. study of 137 patients in Japan.
7 The persuasiveness of the primary outcome was quite
8 strong, using the prespecified analytical method,
9 and sensitivity analyses provided strong support
10 for the primary analysis. Secondary outcomes,
11 generally but not uniformly, trended and supported
12 the primary analysis, but were not significant.
13 There are no data on survival benefit. The study
14 had several characteristics that were felt to make
15 it appropriate to consider as a single study.

16 The approval memorandum notes that a high degree of
17 flexibility was applied because of the unmet need.

18 So it is clear that regulatory flexibility
19 is a fundamental aspect of our general regulatory
20 framework, and that we are familiar with its
21 considerations and the neurological space, and it
22 has played a direct role in the ALS drugs that we

1 have approved to date. Notwithstanding the
2 complexities and challenges of comparing results
3 across different programs, these two approvals are
4 a relevant precedent when considering the data
5 supporting this application.

6 We find ourselves in a situation, both
7 straightforward and complex, reasonably straight
8 forward to describe; somewhat complex to consider.

9 First, the straightforward. We have a
10 single successful study. That study is not
11 exceptionally persuasive for a variety of reasons,
12 thus making it unsuited to support approval in
13 isolation without any support from accompanying
14 studies or confirmatory evidence. This appears to
15 be a reasonable stance even when considering the
16 results of the study in the context of regulatory
17 flexibility.

18 For the reasons discussed with the committee
19 previously, it does not appear possible for the
20 study alone to meet the requirements for
21 substantial evidence, however, the study does not
22 exist in isolation. It is accompanied by

1 additional data positioned as confirmatory
2 evidence. At the time of the previous committee
3 meeting, this confirmatory evidence took the form
4 of a single exploratory analysis of survival.
5 Interpretation of that analysis was hampered by
6 many issues that were previously presented to the
7 committee.

8 Since the previous meeting, additional
9 analyses of the survival data, based on different
10 methodological approaches, have been conducted by
11 the sponsor and submitted as additional
12 confirmatory evidence. These new analyses are
13 encumbered by many of the same issues that affected
14 the initial survival analysis, along with
15 additional interpretive challenges of their own.

16 Notwithstanding all these issues, a report
17 of survival benefit was and remains an important
18 part of the consideration of this application. The
19 sponsor has also provided new evidence of
20 pharmacodynamic activity in another disease.
21 Though promising in terms of biological activity,
22 it is of unclear relevance to ALS.

1 Taking the two together, the data provided
2 by the CENTAUR study and the accompanying
3 confirmatory evidence intended to together
4 establish substantial evidence are expanded from
5 those considered at the prior meeting.

6 Now, the complex. Given that the current
7 data are complicated to interpret, even in the
8 setting of regulatory flexibility, the ongoing
9 phase 3 PHOENIX study takes on great relevance and
10 importance. The committee will recall the prior
11 discussion of the PHOENIX study. We have again
12 described it in our background materials, and it
13 will be discussed in our later presentation.

14 Essentially, we have a binary decision to
15 make on the current application with regard to
16 approval, and there will be a binary future outcome
17 of the PHOENIX study with regard to success,
18 resulting in four situations that one may envision.

19 The two situations in which a potential
20 decision on approval, or non-approval, and the
21 future outcome of PHOENIX are concordant with
22 regard to the effectiveness or ineffectiveness of

1 AMX0035 may be dismissed as conceptually
2 non-controversial for the purposes of discussion.
3 It is the other two situations that merits
4 scrutiny, discussion, and careful consideration.
5 In these situations in which a potential decision
6 on approval, or non-approval, and the future
7 outcome of PHOENIX are not concordant, both
8 situations would have endorsed a false conclusion
9 that would require a remedy.

10 Working on the assumption that the large
11 PHOENIX study will provide a more definitive result
12 than we currently have available, the future
13 PHOENIX outcome raises the possibility that a
14 decision based on a conclusion regarding the
15 effectiveness or ineffectiveness of AMX0035 on the
16 basis of the data in front of us today may in fact
17 ultimately be shown to represent a false positive
18 or a false negative.

19 In the false negative setting of
20 non-approval but later success in PHOENIX, the
21 remedy would appear obvious. Although some might
22 reasonably argue that substantial evidence does not

1 currently exist, resulting in non-approval, it
2 would seem that most would find that the
3 combination of the current data and a future
4 successful PHOENIX study would clearly constitute
5 substantial evidence. The remedy in that situation
6 would be future approval based on that combination
7 of data.

8 In the false positive setting of approval
9 followed by an unsuccessful PHOENIX, the remedy may
10 not seem quite so obvious, as the drug would
11 already have been approved based on the current
12 data. There is in fact a remedy for this situation.
13 Under CFR 314.150, FDA has the authority to
14 withdraw approval of a drug if it finds, upon the
15 basis of new information before FDA with respect to
16 the drug, evaluated together with the evidence
17 available when the application was approved, that
18 there is a lack of substantial evidence that the
19 drug will have the effect it is represented to have
20 in its approved labeling.

21 Said differently, the law recognizes that a
22 finding of substantial evidence of effectiveness

1 sufficient to support approval is not a static
2 fixed conclusion, unamenable to future
3 consideration when confronted with new data that
4 calls the original justified conclusion into
5 question.

6 Indeed, this is conceptually consistent with
7 the regulatory environment of other health
8 authorities. Since the last committee meeting,
9 AMX0035 was approved in Canada under a pathway
10 commonly known as conditional approval. We have
11 included information on this approval pathway in
12 the background materials, and Dr. Buracchio will be
13 speaking about it in more detail later.

14 But briefly, this Canadian approval, known
15 as a notice of compliance with conditions, is based
16 on promising evidence rather than substantial
17 evidence and is predicated on the successful
18 outcome of a confirmatory study; here, the PHOENIX
19 study. If the confirmatory study fails or is not
20 completed, the conditional approval may be
21 withdrawn.

22 The company has clearly indicated its

1 awareness of the relevance of the PHOENIX study,
2 stating publicly that it understands that continued
3 approval in Canada is contingent upon success in
4 PHOENIX. Arguably, that should make it easy for the
5 company to make a similar public statement
6 concerning the prospect of an approval of the
7 current application.

8 Given that a company can choose to
9 voluntarily withdraw a product for marketing, it
10 would seem that the committee may be interested in
11 a clear understanding of the sponsor's intent in
12 seeking approval now while PHOENIX is ongoing, and
13 I call on the company's co-CEOs to state for the
14 committee whether the company would voluntarily
15 withdraw the product from marketing if the PHOENIX
16 study does not succeed should their current
17 application ultimately be approved.

18 This request should in no way be interpreted
19 as suggesting that we have reached a decision on
20 the application. It is simply a request for
21 important contextual information for the
22 committee's consideration, especially given the

1 numerous comments by committee members at the last
2 meeting regarding the importance of the ongoing
3 PHOENIX study.

4 The final consideration regarding the
5 complex aspects of this situation is to consider
6 the role of regulatory flexibility. As I discussed
7 previously, FDA has determined that it is
8 appropriate to express the broadest flexibility in
9 applying the statutory standards for drugs intended
10 to treat life-threatening and severely debilitating
11 illnesses.

12 The statutory standard of substantial
13 evidence of effectiveness is a qualitative
14 standard; it is not a quantitative standard.
15 Achieving statistical significance is not in the
16 definition of substantial evidence. While
17 statistical considerations are one factor that
18 plays into our deliberations, scientific judgments
19 taking into account many factors, including the
20 context of the disease, is needed to determine
21 whether substantial evidence of effectiveness
22 exists. Your discussion today will play an

1 important role in that determination.

2 Today we are explicitly asking you to
3 discuss the complexities of this situation as a
4 committee. We will be listening carefully to the
5 conversations you have with each other. We are
6 interested in how you approach and discuss
7 differences of opinion. Today we have attempted to
8 provide you with information that will hopefully
9 serve you well in a conversation about complicated
10 data as you consider the strength of the efficacy
11 information and whether the benefit is sufficient
12 to support approval.

13 We have formulated a question designed to
14 allow for this committee to advise us on this
15 point. We expect you to discuss whether and how
16 you have considered the serious nature of ALS, the
17 unmet need in the disease, and the ongoing PHOENIX
18 study. We encourage you to discuss the role of
19 flexibility in your assessments and how it does or
20 does not influence your considerations. After this
21 first discussion period is concluded, we will be
22 asking you to vote on whether you feel approval is

1 warranted.

2 It is the combination of your preceding
3 discussion and your vote with accompanying
4 explanation that will provide advice of great value
5 to us. We recognize that you carefully reviewed
6 the materials previously and provided us with a
7 thoughtful vote and commentary. We hope that we
8 have provided you with additional information today
9 to bring you up to date on the sponsor's
10 submission, and that we have provided you with the
11 background information that you need to consider
12 whether you do or do not favor approval of the
13 drug.

14 Again, no final decision has been made on
15 approvability, and we very much look forward to the
16 insights you will provide. We have reconvened this
17 committee because we believe that a final decision
18 requires your input and advice. Thank you for the
19 substantial efforts you have made in preparing for
20 and attending this meeting, and thank you for the
21 important work you will do today.

22 Dr. Montine, thank you for the time to offer

1 my comments, and I return the proceedings to you.

2 DR. MONTINE: Thank you, Dr. Dunn.

3 Both the Food and Drug Administration and
4 the public believe in a transparent process for
5 information gathering and decision making. To
6 ensure such transparency at the advisory committee
7 meeting, FDA believes that it is important to
8 understand the context of an individual's
9 presentation.

10 For this reason, FDA encourages all
11 participants, including the applicant's
12 non-employee presenters, to advise the committee of
13 any financial relationships that they may have with
14 the sponsor such as consulting fees, travel
15 expenses, honoraria, and interest in the sponsor,
16 including equity interests and those based upon the
17 outcome of the meeting.

18 Likewise, FDA encourages you at the
19 beginning of your presentation to advise the
20 committee if you do not have any such financial
21 relationships. If you choose not to address this
22 issue of financial relationships at the beginning

1 of your presentation, it will not preclude you from
2 speaking.

3 We will now proceed with Amylyx's
4 presentations.

5 MR. KLEE: Before we begin our formal
6 presentation, this is Justin Klee, co-CEO and
7 co-founder of Amylyx Pharmaceuticals, and with me
8 is Joshua Cohen, co-CEO and co-founder of Amylyx
9 Pharmaceuticals.

10 Thank you, Dr. Dunn, for your remarks, and
11 we would like to address them. To be clear, if
12 PHOENIX is not successful, we will do what is right
13 for patients, which includes voluntarily removing
14 the product from the market.

15 And now I'd like to hand it over for our
16 formal presentation to Tammy Sarnelli.

17 **Applicant Presentation - Tammy Sarnelli**

18 MS. SARNELLI: Good afternoon. I'm Tammy
19 Sarnelli, global head of Regulatory Affairs at
20 Amylyx Pharmaceuticals. I want to thank you all
21 for your time today, and thank the FDA for the
22 invitation to review new and important data with

1 this committee. I also want to acknowledge the
2 thousands of people living with ALS for your
3 continued perseverance and resilience.

4 Before discussing the new data, let me
5 remind the panel of the focus of the last meeting.
6 As the agency noted in their briefing document,
7 CENTAUR met its prespecified primary outcome as
8 measured by the ALSFRS-R, an endpoint considered
9 acceptable by FDA to support approval. Over
10 long-term follow-up, AMX0035 also demonstrated a
11 4.8-month median overall survival benefit.

12 The question today is how this fits into the
13 framework of substantial evidence of efficacy. Of
14 course, one pathway is to have two studies.
15 Another way is to have a single study. Let me
16 review the regulatory pathways for a single study.

17 The FDA has concluded that a single,
18 adequate, and well-controlled trial is sufficient
19 to establish effectiveness when it is either highly
20 statistically persuasive or supported by
21 confirmatory evidence, as confirmatory evidence may
22 help substantiate findings of benefit. In

1 addition, as stated in FDA's briefing document and
2 their own guidance, FDA can exercise broad
3 scientific judgment in applying the evidentiary
4 approval standards to drugs for life-threatening
5 and severely debilitating diseases such as ALS.
6 Today we are discussing this pathway, a single
7 positive study with confirmatory evidence.

8 The CENTAUR study is a positive, adequate
9 and well-controlled trial, as it met its
10 prespecified primary endpoint. There are many
11 acceptable types of confirmatory evidence for this
12 result, and while overall survival is rarely
13 assessed in neurological diseases given their
14 typical progression, it is considered the gold
15 standard in fatal diseases because it is
16 unambiguous and unquestionably clinically
17 meaningful. This is relevant in ALS, a uniformly
18 fatal disease where average survival is just two
19 years after diagnosis.

20 The FDA has cited in their 2017 guidance,
21 quote, "For many serious diseases, there is an
22 endpoint of such great clinical importance that it

1 is unreasonable not to collect and analyze the
2 endpoint data; the usual example is mortality," end
3 quote. Thus, our ITT overall survival data serves
4 as confirmatory evidence.

5 At the last advisory committee meeting, we
6 presented the ITT overall survival data showing a
7 4.8-month survival benefit, using a conservative
8 analysis that does not take treatment crossover in
9 the placebo group into account. In the face of
10 such an important finding, one would wish to
11 confirm that the observed benefit is indeed robust.

12 The FDA's framework in the 2019 substantial
13 evidence guidance provides ways to evaluate and
14 confirm a survival benefit. As noted in the
15 guidance, survival may be ascertained using either
16 concurrent or external controls, and may be further
17 confirms by data from separate sources such as
18 natural history.

19 Thus, to further support the ITT overall
20 survival benefit, we conducted three new survival
21 analyses using three different and independent
22 control groups. The first is a new analysis that

1 adjusts for the placebo crossover effect, and we
2 have two additional analyses with new external
3 controls. All analyses show a treatment benefit on
4 survival, with the new analyses suggesting that the
5 treatment benefit seen may be even greater than
6 what was observed in the ITT overall survival
7 analysis in CENTAUR.

8 No matter the analysis, no matter the
9 control group, all four analyses find the same
10 thing; that people with ALS on AMX0035 live
11 substantially longer than people with ALS on
12 standard of care. These data, in addition to
13 meeting the primary endpoint, serve to establish
14 substantial evidence of effectiveness and are in
15 line with precedents and regulations outlined by
16 the FDA.

17 I want to touch briefly on our ongoing
18 phase 3 PHOENIX trial. I should note that FDA asked
19 us to submit our NDA based on CENTAUR alone and
20 before the start of PHOENIX. We continue
21 recruiting and currently have enrolled more than
22 350 participants in approximately 65 sites across

1 the globe. Completion of this trial is part of our
2 conditional approval in Canada, as well as our
3 review in Europe. Conditional approval is
4 restricted to promising new drug therapies for the
5 treatment of serious or life-threatening diseases
6 where the new product represents a significant
7 improvement in benefit-risk over existing products.

8 We are committed to rapidly and diligently
9 completing PHOENIX. Results from this 48-week,
10 placebo-controlled study will be available and
11 reportable to regulatory agencies by mid-to-late
12 2024. If AMX0035 is not approved now, the FDA
13 anticipated decision will likely happen in 2025,
14 underscoring the critical importance of today's
15 outcome.

16 Given the FDA's request, today's
17 presentation will focus on the new overall survival
18 evidence we have submitted to the agency. We will
19 however provide a brief overview of the primary
20 endpoint results to help put these data into
21 context; and while not provided as confirmatory
22 evidence, we will briefly present new biomarker

1 data to shed light on the mechanistic activity of
2 AMX0035. And because it's important to evaluate
3 the strength of the AMX0035 data within the current
4 landscape of ALS, Dr. Paganoni and Dr. Cudkowicz
5 will both provide their perspective on these
6 important topics.

7 We also have several additional experts with
8 us today to help address your questions. These
9 include Dr. Bowser, chief scientific officer and
10 professor at the Barrow Neurological Institute;
11 Dr. Schoenfeld, professor emeritus, Harvard Medical
12 School; Dr. Robins, Dong Professor of Epidemiology
13 at the Harvard T.H. Chan School of Public Health;
14 Dr. Hendrix, CEO of Pentara; and Dr. Quintana,
15 senior statistical scientist at Berry Consultants.

16 We also have two clinical experts and
17 leading ALS researchers, Dr. Berry, director of the
18 MGH Neurological Research Institute; and
19 Dr. Shefner, senior vice president and Kemper and
20 Ethel Marley Professor of Neurology at the Barrow
21 Neurological Institute. These outside experts have
22 been compensated for their time preparing for

1 today's meeting.

2 Thank you very much, and I'll now turn the
3 presentation over to Dr. Paganoni.

4 **Applicant Presentation - Sabrina Paganoni**

5 DR. PAGANONI: Hello. I'm Sabrina Paganoni,
6 co-director of the Neurological Clinical Research
7 Institute at Mass General. I'm also a physician
8 scientist at the Healey & AMG Center for ALS, and
9 then associate professor at Harvard Medical School.
10 I served as the principal investigator of the
11 AMX0035 CENTAUR trial, and I'm also the co-chair of
12 the steering committee of the ongoing phase 3
13 PHOENIX trial.

14 As the PI of the coordination center of the
15 CENTAUR trial, I have received grant funding from
16 Amylyx, and as a co-chair of the ongoing PHOENIX
17 trial, I am receiving institutional consulting
18 support for my time. And I'm here today to provide
19 some brief background on ALS and importantly what
20 matters to people with ALS, which is living longer
21 and having more time with their families.

22 ALS is an awful disease. By the time I've

1 diagnosed someone with ALS in my clinic, they are
2 already experiencing a series of unrelenting and
3 irreversible losses. The patient, their family,
4 and I know that they are destined to rapidly lose
5 muscle strength and function. Every time I see one
6 of my patients in clinic, I see the impact of this
7 loss. I see my patient go from walking on their
8 own, to using the cane, to needing a wheelchair;
9 from breathing on their own, to requiring assisted
10 ventilation, to meeting with hospice. Median
11 survival is only around two years from diagnosis.

12 Patients tell us that they want to retain
13 independence, but once this function is lost, it
14 cannot be regained, and the next loss is already
15 underway. This is why it's important that we start
16 treatment as early as possible to try to preserve
17 the remaining motor neurons and in turn prolong
18 functional independence and survival.

19 There are only two approved products for
20 treating ALS, riluzole and edaravone. Riluzole was
21 approved by the FDA in 1995. In the original
22 trials, riluzole showed a survival benefit of 2 to

1 3 months and no effect on function. After over
2 20 years, edaravone was approved in 2017. In the
3 trial that led to approval, edaravone slowed
4 functional decline with no effect on survival. So
5 the mainstay of care for my patients is timely
6 intervention to manage symptoms and initiating
7 these drugs when appropriate.

8 I've been treating people with ALS for a
9 decade, and while I'm thankful for the two products
10 and the regulatory flexibility that was utilized in
11 their approval, I can tell you that what we have is
12 not sufficient. The pressing need for new
13 treatments is part of why my colleagues, my
14 patients, and I are excited about AMX0035, but
15 that's not the main reason. The main reason is the
16 data.

17 In the CENTAUR trial, AMX0035 showed a
18 significant and clinically meaningful impact on
19 endpoints that matter, both function and survival.
20 CENTAUR met its prespecified endpoint. Treatment
21 with AMX0035 resulted in slowing of disease
22 progression and retention of functional

1 independence, and given that the ALSFRS-R measures
2 functional independence, it's not surprising that
3 it has shown correlation with quality-of-life
4 measures.

5 We also saw a significant delay in the time
6 to first hospitalization and time to tracheostomy
7 or permanent assisted ventilation, and these
8 outcomes culminated in the key endpoint, longer
9 survival. Each endpoint on its own is important
10 and meaningful. Taken together, the results are
11 compelling and an important addition to current
12 standard of care.

13 Let me briefly touch on why these results
14 are also exciting for the field of ALS clinical
15 research. While the methods used to analyze
16 survival in CENTAUR may be new to the field of ALS,
17 they are aligned with FDA ALS guidance, and they
18 are the direction we're heading in. And while this
19 is probably the first time that results from an
20 open-label extension are included in an application
21 for ALS, it certainly won't be the last.

22 We used robust processes to capture survival

1 in CENTAUR. The ITT overall survival analysis has
2 essentially no missing data, and all participants
3 and investigators were blinded through the end of
4 survival follow-up. This allowed for a
5 placebo-controlled analysis of overall survival.
6 We were also able to leverage external controls to
7 further understand the impact of AMX003535 on
8 survival thanks to many of my colleagues who have
9 conducted large-scale natural history studies that
10 allow us to understand expected disease progression
11 and predictors of survival.

12 Today large data sets are available that
13 include data from thousands of people whose disease
14 trajectory has been carefully captured in clinic or
15 in the context of previous ALS clinical trials, and
16 I'm referring specifically to the ENCALS clinic
17 database and to the PRO-ACT clinical trial
18 database. The existence of these resources allowed
19 us to further analyze the benefit of AMX0035 on
20 survival.

21 What is exciting is the significant progress
22 the academic community is making on biomarkers.

1 This is still an evolving field. There is a lot
2 that we know and a lot that we still need to learn
3 to best use candidate biomarkers for diagnosis,
4 prognosis, and to measure response to treatment.
5 Biomarkers that capture various aspects of ALS are
6 emerging and are becoming an important readout in
7 clinical studies.

8 Neurofilaments are the biomarkers with the
9 largest body of evidence to date, and data show
10 that these markers correlate with prognosis in
11 people with ALS. But many other promising
12 biomarkers are also emerging in ALS, as shown on
13 this slide. This highlights that ALS is a
14 multifaceted disease, and different biomarkers will
15 capture different aspects of disease pathology.

16 To close, we urgently need new treatment for
17 ALS. People with ALS have a short life expectancy,
18 and there is no cure. By the time someone is
19 diagnosed with ALS, their symptoms have already
20 started to take over and they quickly lose
21 independence. People with ALS tell us that they
22 want to retain function for as long as possible.

1 The two currently approved treatments for
2 ALS show either a benefit on survival or a slowing
3 in functional decline, but neither has demonstrated
4 both in the trials that led to their approval. The
5 results from the CENTAUR trial are positive and
6 robust. To have a drug like AMX0035 that help
7 people live longer and extend their functional
8 ability while they're living is exactly what this
9 community has been waiting for.

10 The field of ALS is evolving and exciting
11 things are happening, including in the way we
12 design and analyze data from trials and what we're
13 learning on biomarkers. And why the latter is
14 exciting, what is most important to me is clinical
15 evidence, which AMX0035 has clearly demonstrated.

16 Thank you for your attention, and I'll now
17 turn the presentation over to Dr. Mehta.

18 **Applicant Presentation - Lahar Mehta**

19 DR. MEHTA: Thank you, Dr. Paganoni.

20 I'm Lahar Mehta, head of Global Clinical
21 Development at Amylyx Pharmaceuticals. Today I
22 want to briefly expand on Dr. Paganoni's remarks on

1 biomarkers and discuss some new biomarker data,
2 showing the biologic and mechanistic activity of
3 AMX0035.

4 To date, Amylyx has completed two clinical
5 trials. CENTAUR was the first trial in ALS, where
6 plasma samples were collected for biomarker
7 analysis. Neurofilaments were assessed as a
8 prespecified secondary endpoint. When CENTAUR was
9 designed, biomarkers in ALS were less developed, so
10 additional plasma samples were stored for future
11 analysis of emerging biomarkers.

12 Given that the mechanism of action of
13 AMX0035 is applicable across many neurodegenerative
14 conditions, we also explored the impact of AMX0035
15 in Alzheimer's disease in the 24-week exploratory
16 study, PEGASUS. In PEGASUS, CSF samples were
17 obtained to explore the impact of AMX0035 on a
18 prespecified group of biomarkers relevant to
19 Alzheimer's disease and other neurodegenerative
20 conditions. Starting with the results from
21 PEGASUS, AMX0035 improves several CSF biomarkers in
22 Alzheimer's disease over a period of 24 weeks,

1 providing mechanistic evidence that AMX0035 is
2 active against several key neurodegenerative
3 processes.

4 Here, I am showing some of the most relevant
5 biomarkers that improved. A decrease represents an
6 improvement, except for the A-beta 42 to 40 ratio
7 where an increase is an improvement. Overall,
8 AMX0035 showed a nominally significant improvement
9 across multiple biomarkers. There were a number of
10 biomarkers that did not improve, including
11 neurofilament, and these are shown in the briefing
12 document.

13 It is worth noting that a significant
14 reduction was observed in YKL-40. Recent
15 literature supports the potential of YKL-40 as a
16 prognostic marker in ALS. Therefore, we recently
17 conducted an exploratory analysis from the stored
18 samples from the CENTAUR study to examine whether
19 AMX0035 improves plasma levels of YKL-40 in ALS in
20 addition to Alzheimer's. I will now show some of
21 the new preliminary data generated in the CENTAUR
22 ALS trial using the stored plasma samples.

1 As we can see, there was a significant
2 difference in YKL-40 plasma levels, favoring
3 treatment with AMX0035, representing a 20 percent
4 reduction compared to placebo. Previous studies
5 have shown that YKL-40 correlates with ALS disease
6 progression rate, severity, and survival. This
7 correlation was supported by the data from the
8 CENTAUR study, as we both see a correlation for
9 YKL-40 levels to ALSFRS-R score, as well as
10 progression rate.

11 These new biomarker results are interesting
12 and support the biologic impact of AMX0035, but our
13 focus today is on the clinical evidence and how
14 that constitutes confirmatory evidence. For that,
15 I will turn the presentation to Dr. Timmons.

16 **Applicant Presentation - Jamie Timmons**

17 DR. TIMMONS: Thank you, Dr. Mehta.

18 I am Jamie Timmons, head of Scientific
19 Communications at Amylyx. As Ms. Sarnelli
20 reviewed, there is a clear and established pathway
21 for meeting the regulatory standard of substantial
22 evidence of effectiveness based on a single,

1 adequate, well-controlled trial, plus confirmatory
2 evidence. Let's review the comprehensive data
3 showing that AMX0035 meets this standard.

4 In terms of meeting the first criteria of an
5 adequate and well-controlled trial, CENTAUR was a
6 rigorous study conducted with ALS experts across
7 25 sites in the United States. CENTAUR met its
8 prespecified primary outcome. There is
9 confirmatory evidence for this primary finding in
10 the results of the ITT overall survival analysis,
11 which shows an almost 5-month longer survival for
12 the AMX0035 group. Robustness of the survival
13 benefit is further supported by new analyses that
14 utilize three different control groups.

15 Despite different methodologies, each of
16 these new analyses yield a consistent finding,
17 confirming the ITT overall survival benefits of
18 AMX0035. The data from CENTAUR support substantial
19 evidence of effectiveness of AMX0035 in ALS.

20 Let's start first with a brief recap of the
21 CENTAUR study primary outcome model. AMX0035 met
22 its primary endpoint, showing a 25 percent

1 reduction in the rate of progression of the
2 ALSFRS-R compared to placebo. Importantly, the
3 separation began as early as week 6 and was
4 sustained through week 24. This effect was seen on
5 top of standard-of-care use of approved ALS
6 medications riluzole and edaravone.

7 There is limited published data on what
8 constitutes a clinically meaningful change in the
9 ALSFRS-R. The main study often cited is a survey
10 of 65 ALS experts in the U.S. that found that most
11 would consider a 20 percent change in the rate of
12 decline of the ALSFRS-R total score as clinically
13 significant.

14 The robustness of the primary analysis
15 finding is supported by several sensitivity
16 analyses that evaluate the impact of primary model
17 assumption, concomitant medication use, and
18 in-study deaths. All analyses yield a treatment
19 effect that is consistent with the primary model.

20 Starting with model assumption, a shared
21 baseline, linear, mixed-effect model was used for
22 CENTAUR. Sensitivity analyses abandoning the

1 shared baseline and linearity assumption both
2 remained consistent with the primary analysis
3 results. The impact of concomitant use of ALS
4 medications riluzole and edaravone was also
5 evaluated, showing that the beneficial effects of
6 AMX0035 on ALSFRS-R was consistent after adjusting
7 for time on each medication.

8 Additionally, a new analysis was performed
9 that removed participants with in-study edaravone
10 starts. This analysis showed a consistent
11 treatment effect of a 2.4 point difference and a
12 p-value of 0.04, again, indicating that the results
13 seen with AMX0035 was independent of concomitant
14 medications.

15 We have previously discussed the rationale
16 for choosing this primary model and not a
17 joint-rank model. As noted during the first
18 advisory committee, the choice to use the shared
19 baseline, linear, mixed-effects model, and not
20 joint rank, was done in collaboration with the
21 co-inventor of the joint-rank method, the key
22 rational being, among other factors, that

1 simulations confirm that the joint-rank method is
2 less sensitive when there is expected to be a
3 limited number of participant deaths during the
4 analysis period, which is exactly what was expected
5 and occurred during the first 24 weeks.

6 So putting that rationale and background
7 aside, we agree that it is important to assess for
8 the impact of in-study deaths and performed several
9 sensitivity analyses to evaluate the impact of
10 mortality on the primary endpoint result. Every
11 analysis is consistent with the results of the
12 prespecified primary efficacy analysis, including
13 joint-rank analyses, where as discussed during the
14 last meeting, both Amylyx and FDA get a p-value of
15 0.05 when performing a joint-rank analysis on the
16 prespecified mITT population.

17 These results support that the primary
18 outcome was analyzed using appropriate methods and
19 is robust and consistent across sensitivity
20 analyses, evaluating different assumptions.

21 With CENTAUR meeting its prespecified
22 primary endpoint, we now look to the data that

1 supports confirmatory evidence, starting with the
2 ITT overall survival analysis. We did discuss this
3 analysis last time. However, it is important to
4 review in detail to ensure that the methodology is
5 clear and that questions raised during the last
6 meeting and by the FDA are answered, as the ITT
7 overall survival result is the foundation for the
8 additional analyses we will also present.

9 As a reminder, this is a randomized,
10 placebo-controlled analysis with comprehensive data
11 capture. Because data is captured even on
12 participants who have dropped out of the study,
13 dropouts do not impact this analysis. The baseline
14 survival prognosis was well balanced between
15 groups. The overall survival result was not
16 impacted by concomitant medication use and was
17 consistent across time points of analyses.

18 Let's first review some important
19 methodology points. First, ITT overall survival
20 analysis compares two groups, the 89 participants
21 that started on AMX0035 to the 48 participants that
22 started on placebo. Both investigators and

1 participants remained blinded to original treatment
2 assignment through the duration of follow-up. As
3 such, this is a randomized, placebo-controlled
4 comparison.

5 The placebo group was able to cross over to
6 receive AMX0035 after 24 weeks. Since the ITT
7 analysis is simply comparing the two groups that
8 started on AMX0035 versus placebo, it did not take
9 the placebo crossover into account, but the new
10 analyses we will present later do.

11 ITT overall survival analysis and the new
12 analyses all use the treatment extension, last
13 participant, last visit date of March 1, 2021 as
14 the analysis cutoff date. Survival status was
15 verified for nearly every participant in the study
16 as of March 2021, 136 of 137 people. The single
17 person whose vital status could not be determined
18 was censored as of the date of last follow-up.

19 In the ITT overall survival analysis, a
20 median survival difference of 4.8 months between
21 AMX0035 compared to placebo and a 36 percent less
22 risk of death at any specific time point is seen.

1 Same as the primary outcome, this difference is on
2 top of standard-of-care use of riluzole and
3 edaravone. Looking at this data further, there is
4 a relationship between duration of exposure and
5 survival. In a subgroup analysis, the group of
6 participants who were on AMX0035 the longest had
7 the longest survival. The group of participants
8 who started placebo and never received AMX0035 in
9 the treatment extension had the shortest survival.

10 I'll next review some additional data that
11 supports this ITT overall survival finding. The
12 first question many ask when they see this result
13 is how well balanced are the two comparison groups.
14 Looking at the baseline characteristics, the groups
15 are well balanced in terms of key characteristics
16 that are known to predict survival in ALS,
17 specifically time since symptom onset; pretrial
18 ALSFRS-R progression rate; baseline ALSFRS-R;
19 breathing capacity as measured by SVC; and age.
20 One is the new analyses also further demonstrates
21 the balance between the groups at baseline. I'll
22 point that out when we get to that data.

1 As noted, participants were allowed to
2 continue standard-of-care use of riluzole and
3 edaravone during CENTAUR. Most participants were
4 taking either of the two at or prior to beginning
5 the study, and so another logical question is how
6 this concomitant medication use impacts the ITT
7 overall survival result.

8 Sensitivity analyses were performed to
9 assess the impact of concomitant use of riluzole or
10 edaravone on the ITT results. As shown, these
11 remained consistent when correcting for baseline
12 use of these medications. Furthermore, an
13 additional analysis that removed participants
14 within study edaravone starts also showed
15 consistent results with the ITT overall survival
16 analysis.

17 FDA has raised that the survival analysis
18 was performed at different cutoff dates.
19 March 2021 corresponds to the last participant,
20 last visit in the treatment extension and was
21 requested by the FDA as the key time point for
22 analysis for benefit-risk, so we have chosen to

1 present this as the main analysis. Regardless of
2 cutoff dates, the survival benefit for AMX0035 was
3 consistent, showing a hazard ratio between 0.57 to
4 0.64.

5 Finally, we note that survival is an outcome
6 of special significance in clinical trials. In
7 2017 guidance about endpoints and statistical
8 hierarchies, FDA recommends analyzing and placing
9 weight on mortality regardless of the endpoint
10 hierarchy due to the clear importance of this
11 outcome. Even a suggestion of a favorable result
12 is considered important. Here we have a benefit in
13 the ITT population and the randomized,
14 placebo-controlled analysis, which is nominally
15 significant and clinically meaningful. That brings
16 us to the new analyses.

17 As we just walked through, the ITT overall
18 survival analysis has key strengths, specifically
19 that it is a randomized, placebo-controlled
20 analysis, and participant dropouts do not impact
21 the data capture. Additional analyses were
22 performed and shared with the FDA to further

1 independently support the robustness of the ITT
2 overall survival result. One important benefit of
3 these analyses is that they provide a new
4 treatment-naive comparator group to allow an
5 estimate of the full extent of the survival
6 benefit. Each method is utilizing a different
7 control arm. The observed survival of the
8 89 participants randomized to AMX0035 at the start
9 of CENTAUR will be compared to each new control.

10 The first method adjusts the CENTAUR ITT
11 overall survival placebo arm to remove the effects
12 of treatment crossover. The other two methods used
13 data external to the trials from key ALS data
14 sources to create comparator control arms. The
15 rationale for these analyses is not that one is
16 better than the other, but rather that they provide
17 complementary information when viewed in totality
18 with the ITT overall survival result.

19 The first method adjusts the placebo ITT
20 overall survival arm for treatment crossover and is
21 known as the Rank Preserving Structural Failure
22 Time Model or RPSFTM. The model calculates the

1 survival time gained by receiving AMX0035, and then
2 adjusts the placebo arm to remove this gain. This
3 is a method frequently employed in oncology
4 clinical trials, where placebo group crossover is
5 common. There is extensive supporting literature
6 using this methodology to correct for placebo group
7 treatment crossover, and data utilizing this
8 methodology has been cited in FDA drug reviews and
9 health technology assessments.

10 As opposed to the other two methods that use
11 data external to the CENTAUR trial to create
12 comparator control arms, the method is adjusting
13 the original placebo group to account for treatment
14 crossover. Hence, the comparison groups are the
15 observed AMX0035 ITT overall survival arm and the
16 placebo arm adjusted for crossover.

17 Analysis of the CENTAUR data using this
18 methodology found that the estimated median
19 survival benefit for AMX0035 was 9.7 months and the
20 estimated hazard ratio was 0.42 in comparison to
21 the ITT hazard ratio of 0.64. Looking at these
22 results in a more visual way, the KM curve allows

1 us to compare the original placebo ITT results in
2 gray with the crossover-adjusted placebo results in
3 light blue; again, highlighting the even longer
4 median survival difference between the
5 crossover-adjusted arm and the original ITT AMX0035
6 arm in dark blue.

7 The core benefit of this analysis is that it
8 estimates a treatment-naive placebo arm using a
9 methodology that is well supported. The method
10 does assume a common treatment effect regardless of
11 timing of initiation of therapy. This is a
12 reasonable assumption given that there is only
13 6 months before the placebo group crosses over to
14 AMX0035.

15 To address points raised by the FDA, the
16 briefing document states that simulation show that
17 the RPSFTM can lead to large biases based on a
18 publication by Latimer and colleagues. This
19 simulation study cited generally finds that the
20 maximum bias is less than 10 percent, which even in
21 this worst case scenario would still result in a
22 significant overall survival benefit of about

1 9 months.

2 FDA also questions why the p-value is the
3 same as for the ITT analysis. The RPSFTM uses the
4 p-value from the ITT analysis, so this is expected.
5 Finally, FDA also recommends conducting other
6 methods to look for consistency. We agree and will
7 review these next, as we will share the other
8 methods show consistency with the RPSFTM analysis,
9 supporting the validity of this approach.

10 Moving over to the other two analyses that
11 utilize external controls to compare the survival
12 benefit with AMX0035; first the rationale behind
13 doing so. As stated earlier, the 2019 Substantial
14 Evidence of Effectiveness guidance lists natural
15 history confirmation of survival as a type of
16 confirmatory evidence. So with this support from
17 the guidance, the next questions are, what is the
18 best source of external control data in ALS, and
19 how well matched are these sources to the CENTAUR
20 population?

21 Fortunately, as Dr. Paganoni reviewed, there
22 are substantial data sets that are the culmination

1 of large-scale collaborations that include survival
2 data from thousands of people with ALS. The two
3 sources utilized for our analyses are the European
4 Network to Cure ALS, or ENCALs, clinic database and
5 related Survival Prediction Model, and the Pooled
6 Resource Open-Access ALS Clinical Trials or PRO-ACT
7 database. I will share details on how well matched
8 these two sources are to the CENTAUR population as
9 we review each method further. Let's start with
10 the first external control.

11 The first method uses the natural history
12 ENCALs Survival Prediction Model to create a
13 predicted survival control arm. This survival
14 prediction model is validated, published in Lancet
15 Neurology, publicly available, and uses data from
16 more than 10,000 people with ALS from 14
17 specialized ALS centers across Europe. The model
18 was developed and validated based on clinical,
19 cognitive, and genetic predictors of
20 tracheostomy-free survival. The predictors are
21 listed in the table to the right. These predictors
22 are relatively easy to capture in a clinic visit.

1 Authors looked at how well their model
2 predicted survival in people with ALS. As shown in
3 their validation publication, the models showed
4 excellent agreement between predicted survival, the
5 solid lines, versus observed survival, the dotted
6 lines, in the validation cohort. As such, the
7 predictions of survival from the model appear
8 reliable and match observed results.

9 Moving now to the analyses done using this
10 model on the CENTAUR survival data, using baseline
11 characteristics from participants in CENTAUR, the
12 originators of the model generated a predicted
13 survival for each participant. They were blinded
14 to treatment assignments when creating these
15 individual predictions. Each prediction was then
16 grouped per treatment assignment, which allowed for
17 two key comparisons; first, comparing the predicted
18 AMX0035 survival at baseline to the predicted
19 placebo survival at baseline to see if the original
20 treatment groups were well matched in survival
21 prognosis. This is the additional evidence on
22 baseline prognostic balance I mentioned earlier.

1 On this slide, we are looking at the
2 predictions for the AMX0035 group versus the
3 placebo group at baseline. We can see that the
4 predicted probability of survival was very closely
5 aligned. Two lines are essentially overlapping.
6 This offers further support that the survival
7 prognosis was well balanced at baseline between the
8 groups in the ITT overall survival analysis. In
9 addition to comparing the predicted prognosis for
10 the groups at baseline, the model allows the
11 comparison of the observed AMX0035 ITT overall
12 survival and the predicted survival for that exact
13 same group of participants.

14 This is the external control comparison. In
15 this analysis, the observed ITT AMX0035 group
16 showed a median survival of 23.5 months compared to
17 13.6 in the natural history survival prediction
18 control arm, resulting in a median overall survival
19 difference of 9.9 months. The estimated hazard
20 ratio was 0.32 in comparison to the ITT hazard
21 ratio of 0.64. Looking at these results in a more
22 visual way with the KM curve allows us a different

1 view, again, showing the median overall survival
2 difference of 9.9 months.

3 This natural history survival prediction
4 model analysis allows comparison of the observed
5 AMX0035 survival to a treatment-naive comparator
6 using an independent external data set. I've noted
7 such data is specifically listed as a type of
8 confirmatory evidence in FDA guidance.

9 The results seen with this different
10 methodology are remarkably close to the first
11 method using the crossover adjusted to the placebo
12 arm, supporting the robustness of both approaches.
13 While the model was built using data from European
14 people with ALS from a clinic population, a recent
15 review assessing region-specific guidelines and ALS
16 management in the U.S., Europe, and Japan do not
17 find substantial regional differences in rate of
18 disease progression and approaches to
19 multidisciplinary care, indicating that regional
20 differences are unlikely to lead to a large bias.

21 FDA's concerns around this analysis
22 primarily relate to whether this model can reliably

1 estimate survival. As the validation work showed,
2 this model is indeed reliable in estimating
3 survival. FDA also comments that differences could
4 arise as participants in the ENCALS model were not
5 in clinical trials. We have also conducted an
6 analysis using a primarily U.S. ALS clinical trial
7 population. Let's review that next.

8 Data source for the second external control
9 arm is the PRO-ACT database. This is the largest
10 database of de-identified ALS clinical trial
11 participants. The data is primarily from U.S.
12 participants, many from NEALS clinical trials.
13 This makes for an appropriate comparison, as the
14 data is coming from a very similar source and
15 context as CENTAUR, which is also run in the U.S.
16 at NEALS trial sites.

17 The comparison groups for this analysis will
18 once again be the observed AMX0035 ITT overall
19 survival arms and a historical clinical trial
20 control arm from the PRO-ACT database that has been
21 propensity score matched to help account for
22 selection bias.

1 In this analysis, participants in the
2 PRO-ACT database were included in the control arm
3 based on several criteria. They were control
4 participants from historical trials; had a baseline
5 and at least one post-baseline ALSFRS-R; met the
6 major inclusion and exclusion criteria from CENTAUR
7 at baseline; and had known mortality information,
8 either known date of death or known alive at the
9 end of follow-up. Propensity score matching takes
10 these participants that are already a close
11 comparison and matches them even further, based on
12 known prognostic covariates to get a more
13 apples-to-apples comparison. It's important to
14 note that a statistical plan was prespecified prior
15 to conducting the analysis.

16 A propensity score was calculated using key
17 covariates that are known to be important
18 prognostic factors for survival in ALS. These were
19 time from symptom onset; ALSFRS-R pre-baseline
20 slope; SVC or SVC at baseline; and age at baseline.
21 A comparison of these baseline covariates are shown
22 in the table. Generally, the groups were well

1 balanced. A Cox proportional hazards survival
2 analysis was used to compare mortality of the
3 observed ITT AMX0035 survival versus the propensity
4 score matched historical clinical trial control
5 arm.

6 As shown, the comparison to the historical
7 clinical trial control arm demonstrates an 11-month
8 median survival benefit for AMX0035 treatment.
9 Hazard ratio was 0.48 in comparison to the ITT
10 hazard ratio of 0.64. As before, we can also look
11 at the visual for these results using the KM curve,
12 again showing the median overall survival
13 difference of 11 months.

14 The historical clinical trial control arm
15 once again provides a treatment-naive comparator,
16 allowing an estimate of the true survival treatment
17 effect of AMX0035. In addition, it also uses
18 propensity score matching, which is a methodology
19 cited in the FDA's real-world evidence framework.
20 Like the natural history prediction model, this
21 analysis uses data from a new independent external
22 control arm to the CENTAUR trial.

1 Again, the results seen with this different
2 methodology are remarkably close to the first two
3 methods, supporting the robustness of all three.
4 Creating the control arm from the PRO-ACT clinical
5 trial data base helps to address some of the
6 limitations of the natural history prediction
7 model, specifically that PRO-ACT is a clinical
8 trial population, mostly U.S. sites, and many of
9 these sites overlap with sites in CENTAUR. In
10 addition, the ability to use propensity score
11 matching on the PRO-ACT data set builds further
12 confidence that the comparison groups are well
13 matched.

14 In the context of the CENTAUR study, the ITT
15 overall survival analysis on its own is
16 confirmatory evidence of the benefit of AMX0035
17 beyond the benefit observed on the primary outcome,
18 the ALSFRS-R. With more than 3 years of follow-up,
19 treatment with AMX0035 demonstrated a robust,
20 nominally significant, placebo-controlled ITT,
21 overall survival benefit. These results are
22 further supported by the new survival analyses with

1 different control groups that show an even greater
2 survival benefit with AMX0035 when comparing to the
3 ITT overall survival results. Despite different
4 methodologies and data sources, each analysis found
5 a similar outcome, namely a survival benefit of
6 approximately 10 months for AMX0035 treatment.

7 Three independent treatment-naive control
8 groups, including two that are external to the
9 trial, all yield similar results: the
10 crossover-adjusted placebo arm; external control
11 number one, the natural history survival prediction
12 control arm comparison; and external control number
13 two, the historical clinical trial control arm
14 comparison.

15 These three control arms use different sets
16 of statistical assumptions, but all three result in
17 the same outcome. No analysis method can do it
18 all; that's why we chose each of these to provide
19 additional information and address limitations.
20 Collectively, these provide layers of support for
21 the validity of the ITT overall survival result.

22 The CENTAUR study met its prespecified

1 primary outcome at 24 weeks. AMX0035 demonstrated
2 a robust and clinically meaningful retention of
3 function. Over greater than 3 years follow-up
4 treatment with AMX0035 demonstrated a nominally
5 significant ITT overall survival benefit. This
6 benefit on its own merit is very meaningful in the
7 context of a universally fatal disease such as ALS.

8 Comparing the AMX0035 overall survival
9 results versus three new survival analyses with
10 different treatment-naive control groups provide
11 further evidence of benefit. Importantly, these
12 three methodologies show concurrence in their
13 estimates of overall survival. In summary, these
14 results support AMX0035 meets the standard of
15 substantial evidence of effectiveness.

16 I will now turn the presentation over to
17 Dr. Cudkowicz to provide her clinical perspective
18 on these results.

19 **Applicant Presentation - Merit Cudkowicz**

20 DR. CUDKOWICZ: Thank you. My name is Merit
21 Cudkowicz. I'm the chief of neurology at Mass
22 General Hospital, director of the Healey & AMG

1 Center for ALS and the Julieanne Dorn Professor of
2 Neurology at Harvard Medical School. I served as
3 the co-PI and senior clinical advisor for the
4 CENTAUR study.

5 As a coordination center, Mass General
6 received a grant from Amylyx. I did not receive
7 any salary from that grant, nor have I received any
8 personal consulting funds. I do not hold any
9 equity in Amylyx, nor will I benefit from the
10 outcome of this meeting, other than as a clinician
11 who wants to provide better options for my
12 patients, which is why I am here today.

13 I would like to share my perspective on the
14 data and what this means for people living with
15 ALS, the ALS field, and for clinicians who treat
16 people with ALS. This is big for us, too. We have
17 too few choices to offer our patients to slow this
18 serious fatal disorder.

19 First and foremost, the CENTAUR study met
20 its primary endpoint, showing a clinically
21 meaningful and statistically significant 25 percent
22 reduction in disease progression. This means that

1 my patients can maintain their function longer. We
2 only have two approved drugs that impact clinical
3 progression, riluzole and edaravone, and despite
4 these, patients still face rapid progression and
5 mortality. Given what is on the market today for
6 ALS, this is a big step forward.

7 I have spoken to many of my colleagues that
8 have reviewed the data. A large number of us want
9 AMX0035 available for our patients now based on
10 this data alone, and we have more data. AMX0035
11 importantly demonstrated about a 5-month survival
12 benefit in the placebo-controlled ITT overall
13 survival analysis. This analysis was robust,
14 including all participants in CENTAUR. In addition
15 to the ITT overall survival analysis in CENTAUR, we
16 have presented new survival analyses that further
17 support this benefit. All of these consistently
18 show a larger survival benefit of about 10 months.

19 I was involved in the creation of the
20 PRO-ACT database and have long collaborated with
21 ENCALs. The presented survival differences
22 compared to these well-curated data sets further

1 confirm the robustness in clinical meaningfulness
2 of the findings to me. The results seen with the
3 ITT overall survival analysis on its own is
4 compelling in ALS, and the supportive analyses
5 presented today indicate that the benefit is likely
6 even greater. This approach to survival analysis
7 is the future for ALS trials, and in my opinion, we
8 need to be encouraging these approaches.

9 To that end, just a few weeks ago I was on
10 an FDA-NINDS ALS Act webinar, where it was exciting
11 to hear the initiatives around the importance of
12 natural history databases and the desire to be
13 innovative, have flexibility, and look at new ways
14 to assess therapeutic benefit in ALS. It's also
15 important to point out that a product that shows an
16 effect like this would likely be approved on a
17 single study in oncology.

18 ALS is just as serious and devastating as
19 certain cancers. It's worse than others. In fact,
20 the review in JAMA of all oncologic drugs approved
21 between 2000 and 2016 showed a median 2.4-month
22 overall survival benefit and a mean hazard ratio of

1 0.77. Using the most conservative approach,
2 CENTAUR shows a 4.8-month benefit and a hazard
3 ratio of 0.64. CENTAUR is the first and only trial
4 to show a benefit on both function and survival,
5 which is even more exciting given that ALS is an
6 exceptionally challenging disease to study and
7 measure in clinical studies.

8 I have personally been involved in
9 38 clinical trials for ALS. Of those, four had
10 positive biomarker findings but did not meet their
11 prespecified primary endpoint. Two met their
12 primary endpoint, AMX0035 and Nuedexta, a
13 asymptomatic ALS drug. Only one, AMX0035, showed
14 positive results on both the primary functional
15 results and survival.

16 I've also reviewed the concerns raised by
17 the FDA on both the functional and survival
18 benefit. The statistical comments have been fully
19 addressed, and the study was designed with the best
20 in the field and represents good ALS clinical trial
21 design and execution. The benefit-risk balance
22 here is clearly positive. AMX0035 slows

1 progression and extends life, and it's safe. The
2 trial results and confirmatory evidence provide
3 more than sufficient evidence to support approval.

4 It's easy for this conversation to become
5 more abstract based on complex regulatory
6 standards, but to me it's pretty simple. I see
7 people living with ALS every day. I currently take
8 care of 500 people living with ALS. I have cared
9 for thousands in my career.

10 In my opinion, AMX0035 needs to be something
11 that we can offer our patients now, not just an
12 expanded access program that is limited in
13 enrollment and eligibility, but for any appropriate
14 patient in the United States. This is the right
15 thing to do for people with a uniformly fatal,
16 rapid illness like ALS.

17 To close, I'd like to revisit two time lines
18 shared in this presentation to put this data and
19 the question before you and perspectives. Our
20 choices today very well may determine the lifespan
21 of tens of thousands of people: the first
22 time line shared by Tammy Sarnelli, showing that

1 the phase 3 study will complete in 2024, and if
2 AMX0035 is not approved this year, an FDA decision
3 will not happen until at least 2025, and the
4 time line shared by my colleague, Dr. Paganoni,
5 showing the diagnosis, progression, and death of a
6 person with ALS in that same time frame.

7 This is not an extreme example. This is the
8 norm; 5 [000] to 6000 people are diagnosed each
9 year with ALS, and 5 [000] to 6000 people die each
10 year with ALS. The choice today is not for people
11 that will be diagnosed with ALS in three years;
12 it's for the people currently living with ALS now.

13 Nobody said it better than Sandy Morris, a
14 person with ALS and game-changing advocate who
15 spoke at this last panel. Sadly, Sandy passed away
16 last week. She urged us to take her baton and run
17 faster and farther. ALS is all about time, and
18 it's about time we pick up Sandy's baton and bring
19 new promising therapies to people living with this
20 disease today.

21 Thank you. I will now turn it back to
22 Dr. Timmons who will take your questions.

1 **Clarifying Questions to the Applicant**

2 DR. MONTINE: Thank you.

3 We'll now take clarifying questions for
4 Amylyx. Please use the raise-hand icon to indicate
5 that you have a question, and remember to lower
6 your hand by clicking the raise-hand icon again
7 after you have asked your question. When
8 acknowledged, please remember to state your name
9 for the record before you speak and direct your
10 question to a specific presenter, if you can. If
11 you wish for a specific slide to be displayed,
12 please let us know a slide number, if possible.

13 Finally, it would be helpful to acknowledge
14 the end of your question with a thank you, and the
15 end of your follow-up question with, "That is all
16 for my questions," so we can move on to the next
17 panel member.

18 I will call on people in the order that I
19 see them, but it may not be the order that you
20 raised your hand. So we'll begin with Dr. Nath.

21 DR. NATH: Hi. Yes, Avi Nath here. I have
22 just three small, quick questions. One is for the

1 biostatistics group.

2 Were there any subgroups of individuals who
3 may have responded better than others?

4 DR. TIMMONS: Yes. Thank you, Dr. Nath.
5 This is Jamie Timmons. I'll be moderating Q&A.

6 If I heard the question correctly it's, is
7 there any subgroup of participants who responded
8 better than others?

9 DR. NATH: Yes.

10 DR. TIMMONS: There are a few ways to answer
11 this question. We did, in the FDA briefing
12 document, make mention of the responder analysis
13 that was performed. There we looked to see, and we
14 did see, a subgroup of participants who did show a
15 response.

16 When we look at subgroups specifically in
17 terms of concomitant medication use, baseline
18 characteristics such as neurofilament, other
19 disease characteristics, we are not seeing a clear
20 difference in terms of subgroups. It's consistent
21 across those subgroups.

22 DR. NATH: Alright. Thank you.

1 DR. TIMMONS: Great. No problem.

2 DR. NATH: The second question is probably
3 also related to statistics, or maybe one of the
4 clinicians could answer. And that is, it's
5 interesting that the survival benefit changes
6 depending on what kind of control group you use.
7 The placebo group showed the 4.8 months, and then
8 if you use the natural history study, you're
9 looking at 9 or 11 months.

10 Why such a huge difference? It looks like
11 the placebo group showed a survival benefit of
12 several months if you compared it to the other
13 natural history studies. Is it possible that in
14 the CENTAUR study you're enrolling individuals who
15 are slow progressors to begin with, and maybe
16 that's why you happen to see the difference?

17 DR. TIMMONS: The critical difference in
18 terms of the ITT overall survival analysis and the
19 new survival analyses that we are showing today are
20 that the new survival analyses are taking that
21 placebo group treatment crossover into account. So
22 that ITT overall survival analysis is a

1 conservative analysis for just comparing those two
2 originally randomized groups. The new survival
3 analyses are allowing us a comparison of a
4 treatment-naive control group, which from our
5 standpoint is what's explaining that difference,
6 allowing us to see the real benefit from survival.

7 To answer the second part of your question
8 in terms of did CENTAUR enroll either fast or slow
9 progressing participants, we actually looked at
10 this with that propensity score PRO-ACT arm, and
11 what I'm showing here on the right, the PRO-ACT
12 arm, this is the ALSFRS-R.

13 You can see here the progression rate of
14 that PRO-ACT matched arm minus 1.69, aligning very
15 closely to that placebo arm minus 1.66, and as a
16 reminder, that PRO-ACT matched arm is matched to
17 the AMX0035 group. So really, the key difference
18 between those two groups is AMX0035 treatment
19 leading to that benefit we see on the ALSFRS-R and
20 the ITT overall survival analysis.

21 DR. NATH: The last question is the ALS
22 Functional Rating Scale is largely dependent on

1 motor skills, but these patients often have a
2 cognitive dysfunction as well, and there is overlap
3 between that and FTD. So were any cognitive
4 assessments done on this patient or they planned in
5 the PHOENIX study?

6 DR. TIMMONS: In terms of the CENTAUR trial,
7 we did not perform any specific cognitive
8 evaluations. From a standpoint of
9 inclusion/exclusion criteria, the CENTAUR trial did
10 exclude participants who had unstable psychiatric
11 disease, cognitive impairment, dementia, et cetera.
12 In terms of the phase 3 PHOENIX trial, similar
13 exclusion criteria there, but no specific
14 assessments in the PHOENIX trial planned as well
15 there, from a cognitive standpoint.

16 DR. NATH: Thank you.

17 DR. MONTINE: Thank you.

18 This is Tom Montine. Just as chairman's
19 prerogative, we have about 10 minutes left for this
20 session. Every committee member has their hand
21 raised, so please try to be brief with your
22 questions and your answers.

1 Next in line, Dr. Fischbeck.

2 DR. FISCHBECK: Thank you. Well, I have
3 several questions. They are excellent
4 presentations, by the way; several questions. I'll
5 try to get in at least one or two of them.

6 One, the added analyses for this
7 presentation included various statistical analysis
8 methods. And I wonder if any of those were part of
9 the original prospective analysis plan, and whether
10 there were other analyses tried and found not to be
11 supportive, and left out of the presentation.

12 DR. TIMMONS: Absolutely. To clarify, the
13 new supportive analyses that we shared today, they
14 were not part of the prospective prespecified plan,
15 however, they are the only analyses that we did.
16 Each analysis did have a plan that was created and
17 finalized before the analysis was conducted, and we
18 shared, as mentioned, to provide additional support
19 to the ITT overall survival benefit.

20 DR. FISCHBECK: Okay.

21 Then second, there was a question that I had
22 about use of historical controls. These are great

1 databases, but they go back to the 1990s. And
2 there's a statement in the report that ALS survival
3 and ALS outcome hasn't changed much since then, but
4 I find that kind of surprising, particularly in
5 comparison with other diseases like SMA and
6 Duchenne dystrophy, where clearly there's been
7 improvement in survival over the last 10-15 years
8 before effective treatments have become available.
9 And a lot of that is, I think, due to the hope that
10 effective treatments will become available and more
11 aggressive support, particularly ventilatory
12 support, with non-invasive ventilatory support.

13 So I guess I wonder if that could be true,
14 that these historical controls, particularly from
15 the '90s, did worse than patients do nowadays and
16 patients who were included in this study. And the
17 way to get at that would be to limit the comparison
18 to more recent data; say having a cutoff at 2000 or
19 2010; or the other question I had is whether the
20 placebo arm of this study also did better than the
21 historical controls.

22 DR. TIMMONS: Great. In terms of the

1 historical control question, I'll answer with some
2 data points, and then Dr. Cudkowicz is going to
3 share her clinical perspective. So to start from
4 the data side, PRO-ACT is probably our best
5 analysis to look at for this question. As
6 mentioned, the original analysis that we showed in
7 our briefing document and that we shared today is
8 spanning a time frame from about 1999 to 2010.

9 Perhaps fortuitously, PRO-ACT just updated
10 about two weeks ago, so we re-ran the same analysis
11 that we showed you with that updated version of
12 PRO-ACT, which would include more recent trials
13 that include standard-of-care use of edaravone,
14 et cetera. What we're seeing with that update is
15 not a big difference in terms of survival. The
16 original one I showed you was 11 months; here we're
17 looking at 10.3 months.

18 Then bringing back up the slide that I
19 shared earlier, where we are able to compare that
20 PRO-ACT arm to the concurrent placebo control,
21 again, that ALSFRS-R progression rate is quite
22 similar.

1 I'll ask Dr. Cudkowicz to chime in quickly
2 with our standard of care and her impressions
3 there.

4 DR. CUDKOWICZ: Yes. I was going to make
5 two points. One is that PRO-ACT is a great
6 resource. And the really good news is that now
7 every company who has benefited from being able to
8 access that data for their work is agreeing to
9 provide the placebo data afterwards. So it really
10 did just get updated, and it's a huge resource.

11 Sadly, the disease course hasn't changed.
12 The natural history hasn't changed in ALS, and
13 we've looked at this, looking at placebo groups in
14 past trials over the last decade, and that rate
15 isn't changing, and Dr. Miller also in California
16 has done the same. So again, it just goes back to
17 the huge unmet need of the field to have treatments
18 that will actually change survival and function.

19 DR. FISCHBECK: Yes, thanks. That's really
20 helpful.

21 Just one quick other question, if I may.

22 DR. MONTINE: Sorry; if I may, because we're

1 going to run out of time. I don't mean to be rude.
2 I'll cycle back if we have more time.

3 DR. FISCHBECK: Okay. Thanks.

4 DR. MONTINE: Dr. Robert Alexander?

5 DR. R. ALEXANDER: Thanks, Dr. Montine.

6 I'd just like to focus again on this overall
7 survival, and particularly the propensity score
8 matching. It appears that if you had applied this
9 method to the placebo group, you would also -- the
10 group that started on placebo, you would have also
11 found a survival benefit compared to the historical
12 controls. So I just wonder if you could comment on
13 that. Thank you.

14 DR. TIMMONS: In terms of the way that the
15 PRO-ACT analysis was done, it was matched to the
16 AMX0035 treatment group. What I do have here is
17 the comparison of -- I'm sorry; I'm just bringing
18 up the slide real quick here -- our treatment-naive
19 subgroup, the placebo group, because, again, that
20 48 people who start on placebo, there are
21 participants there who crossed over to receive
22 AMX0035.

1 So to have a true treatment-naive placebo
2 comparison, we need to look at the small subgroup
3 of people who did not cross over. That's that gray
4 line there. It's a small subgroup, granted, but we
5 can see that that treatment-naive placebo group is
6 aligning nicely to the PRO-ACT prediction.

7 DR. R. ALEXANDER: Thank you.

8 DR. MONTINE: Dr. Follmann?

9 DR. FOLLMANN: Yes. Thank you. This is
10 Dean Follmann from NIH. I have two questions
11 [indiscernible - audio breaks].

12 In the FDA briefing document, there is
13 concern about the crossover-adjusted analysis. In
14 terms of the crossovers that did take
15 [indiscernible] -- placebo people who dropped out
16 or didn't take drug [indiscernible] --

17 DR. TIMMONS: Sorry. I don't mean to
18 interrupt, but we can't understand the question. I
19 apologize.

20 DR. MONTINE: That's ok. We'll see if we
21 can fix that in the background.

22 We'll move to Dr. Apostolova, please.

1 DR. APOSTOLOVA: Hi. I would like to look a
2 little closer at the biomarkers, if you don't mind.
3 These are slides 66 and 67. Arguably, the best
4 biomarkers for ALS would be NfL, p-tau 181, and
5 YKL-40. In terms of YKL-40, looking at
6 slide 65-66, the units are comparable but the means
7 look quite different. It's possibly because there
8 is a lot YKL-40 in ALS, and I would love to hear
9 your answer to that.

10 I wanted to know if there is 20 percent less
11 YKL-40, and those from AMX0035, is that comparable
12 to what is seen in Alzheimer's? Is the decrease
13 comparable? Because what we see is a mean
14 difference in the slide 66. And also, in terms of
15 NfL, was it negatives in both the AD and the ALS
16 analysis?

17 DR. TIMMONS: Yes. So to clarify a couple
18 points there, neurofilament did not change in
19 either the CENTAUR ALS study or the PEGASUS
20 Alzheimer's disease study. Differences between the
21 YKL-40 levels in Alzheimer's and ALS studies are a
22 little tough because the Alzheimer's disease study

1 is CSF; the ALS study is plasma.

2 We do have Dr. Bowser on, who ran these
3 analyses. I was having a little bit of trouble
4 hearing you at the beginning, so if there was
5 another question there, please feel free to repeat,
6 and we can have Dr. Bowser weigh in as well, too.

7 DR. APOSTOLOVA: No, this was the question;
8 if Dr. Bowser could clarify.

9 DR. TIMMONS: Okay.

10 (No response.)

11 DR. MONTINE: Thank you.

12 DR. TIMMONS: All set then?

13 DR. MONTINE: Yes.

14 DR. TIMMONS: Okay.

15 DR. MONTINE: I think we're at time. I
16 apologize we didn't get to everyone, but there will
17 be additional time when we come back for discussion
18 and voting. So please keep your questions, and I
19 apologize we weren't able to get to everyone.

20 We're now at a 15-minute break -- a
21 13-minute break. We will reconvene at 2:15 with
22 FDA presentations. Thank you, everyone.

1 (Whereupon, at 2:03 p.m., a recess was
2 taken.)

3 DR. MONTINE: Welcome back. We will now
4 proceed with the FDA presentations, starting with
5 Dr. Buracchio.

6 **FDA Presentation - Teresa Buracchio**

7 DR. BURACCHIO: Good afternoon. I'm Teresa
8 Buracchio, director of the Division of Neurology 1,
9 the division responsible for reviewing the new drug
10 application, or NDA, for AMX0035 for the treatment
11 of ALS. As you have heard from Dr. Dunn and the
12 applicant today, we are reconvening the PCNS
13 committee to continue discussion of this NDA in the
14 context of additional analyses and data submitted
15 by the applicant, in which the applicant has
16 proposed to be considered as confirmatory evidence
17 for this application.

18 The agency would also like this opportunity
19 to provide further context to the committee
20 regarding regulatory considerations for unmet need
21 and regulatory flexibility in severely debilitating
22 and life-threatening diseases such as ALS. The

1 agency recognizes the substantial unmet medical
2 need in ALS, which also exists for many of the
3 devastating neurological diseases for which
4 treatments are so desperately needed. We feel that
5 it is imperative that the committee is given the
6 opportunity to consider this new information and
7 whether it has the potential to contribute to the
8 evidence required to support approval.

9 For today's presentation, I will provide a
10 brief background, which will be followed by a
11 discussion of the statistical considerations of the
12 new analyses by Dr. Tristan Massie, our biometrics
13 reviewer, and a discussion of the biomarker data by
14 Dr. Emily Freilich, the cross-discipline team
15 leader for this application. I will then conclude
16 the agency's presentation with an overview of the
17 regulatory considerations pertinent to this
18 application to provide additional context for the
19 committee discussions that will follow.

20 The applicant submitted an NDA for AMX0035
21 in October 2021. To support substantial evidence
22 of effectiveness, the applicant submitted data from

1 a single double-blind, placebo-controlled phase 2
2 study, AMX0035, also known as CENTAUR, in
3 137 patients with ALS. The applicant reported a
4 statistically significant result on the primary
5 endpoint of the slope of the ALS Functional Rating
6 Scale-Revised, or ALSFRS-R, at 24 weeks, with a
7 2.3 point difference from placebo at 24 weeks and a
8 p-value of 0.034. The applicant also reported
9 findings of the survival benefit from the
10 open-label extension of CENTAUR in patients who
11 initially received AMX0035 compared to those
12 patients who originally received placebo in the
13 CENTAUR study.

14 The committee met on March 30, 2022 to
15 discuss whether the data submitted by the applicant
16 is adequate to establish the effectiveness of
17 AMX0035 in the treatment of ALS. At this meeting,
18 FDA discussed analytical and interpretive issues
19 for the consideration of the prespecified
20 statistical results of the CENTAUR study and raised
21 concerns with the overall persuasiveness and
22 robustness of these results, given these issues.

1 Specifically, FDA noted the following
2 issues: the randomization error and imbalances due
3 to the initiation of background therapy edaravone
4 during the course of the study; the statistical
5 analysis methodology, which did not appropriately
6 account for deaths that occurred during the study;
7 and the appropriateness of the statistical
8 assumption of linearity over time in the treatment
9 effect.

10 FDA expressed concerns that the results from
11 the CENTAUR study may not be capable of serving as
12 a single study in isolation that provides
13 substantial evidence of effectiveness; therefore,
14 the study would need independent substantiation
15 from either confirmatory evidence or another
16 clinical trial.

17 Regarding the ability of the survival
18 analysis from the open-label extension study to
19 serve as confirmatory evidence, FDA noted concerns
20 about the interpretability of the survival benefit,
21 given the small study size and baseline imbalances
22 in disease characteristics between the populations.

1 The committee was asked to vote on the
2 following question. Do the data from the single
3 randomized-controlled trial and the open-label
4 extension study establish a conclusion that sodium
5 phenylbutyrate/taurursodiol is effective in the
6 treatment of patients with ALS? Four members voted
7 yes and six members voted no. There were no
8 abstentions. Members of the committee expressed
9 that the decision was difficult. Many of the
10 committee members acknowledged that the ongoing
11 larger phase 3 trial would help resolve the
12 uncertainties regarding the effectiveness of
13 AMX0035.

14 Following the advisory committee meeting,
15 the applicant submitted additional analyses and
16 data that it intended to contribute to the
17 confirmatory evidence to support the primary result
18 of the CENTAUR study. The survival analyses
19 consisted of new analyses of the previously
20 submitted survival data and contained no new data
21 from the CENTAUR study or its open-label extension.
22 The applicant also provided biomarker data from the

1 phase 2 Alzheimer's disease study as evidence of
2 the effects of AMX0035 in another neurodegenerative
3 disease.

4 I will now turn the presentation over to
5 Dr. Tristan Massie from the statistical review team
6 to discuss the new analyses of the survival data
7 from the CENTAUR study and the open-label extension
8 that were submitted by the applicant.

9 Dr. Massie?

10 **FDA Presentation - Tristan Massie**

11 DR. MASSIE: Thank you.

12 Good afternoon. I'm Tristan Massie, primary
13 statistical reviewer for this new drug application
14 for AMX0035, which I'll abbreviate as AMX, in ALS.
15 Today, first I'm going to talk about a summary of
16 the statistical analyses from the last meeting,
17 followed by a discussion of the new analyses
18 submitted after the initial advisory committee
19 meeting.

20 At the March 30th advisory committee
21 meeting, the statistical summary focused on
22 CENTAUR, which was the single trial submitted to

1 establish effectiveness. The 2019 draft guidance
2 for Substantial Evidence of Effectiveness states
3 that, quote, "Reliance on a single large
4 multicenter trial to establish effectiveness should
5 generally be limited to situations in which the
6 trial has demonstrated a clinically meaningful and
7 statistically very persuasive effect on mortality,
8 severe, or irreversible morbidity," end quote.

9 There were some uncertainties about the
10 results from the prespecified analyses of CENTAUR
11 which were not highly persuasive for a single
12 trial. There was more post-baseline use of
13 edaravone and riluzole in the AMX arm.
14 Additionally, deaths were not properly accounted
15 for in the primary analysis. Furthermore, the
16 assumption of linearity over time of the primary
17 endpoint, ALSFRS-R, appeared questionable.
18 Secondary endpoint results were not compelling, and
19 survival analysis from time to death alone through
20 the open-label extension were exploratory.

21 It is important to note that there is no new
22 AMX data for ALSFRS-R or survival since the last

1 advisory committee meeting held on March 30th. The
2 applicant has submitted new analyses based on
3 previously analyzed CENTAUR data and some external
4 data. There was no prespecified analysis plan for
5 these analyses. They were planned and conducted
6 after seeing the CENTAUR trial and CENTAUR
7 open-label extension data. There are numerous
8 analytical choices and assumptions for these
9 analyses that affect the results, and lack of
10 prespecification compromises the interpretability
11 and reliability of these analysis results.

12 In the next couple of slides, I will talk
13 about the new post hoc analyses. The first
14 analysis is known as a Rank Preserving Structural
15 Failure Time Model. This analysis models the
16 survival of the placebo group in the counterfactual
17 or hypothetical scenario in which they had not
18 switched to AMX treatment in the open-label
19 extension.

20 The placebo group completers of the double-
21 blind period were eligible to cross over to AMX
22 treatment by design of the open-label extension,

1 and 71 percent of the placebo group switched to AMX
2 in the extension. Non-completers of the
3 double-blind period were ineligible for open-label
4 extension participation or treatment but were still
5 included in the analysis.

6 The estimated hazard ratio for the RPSFTM
7 analysis was smaller, but the precision is lower.
8 The uncertainty is not represented in the survival
9 plot as presented by the applicant. However, as
10 seen in the table, the estimated hazard
11 ratio -- the upper bound of the 95 percent
12 confidence interval for the hazard ratio in the new
13 analysis is essentially the same as in the survival
14 analysis presented at the last meeting. It is on
15 the border of a non-significant effect.

16 There are numerous issues with the new
17 RPSFTM survival analysis. The RPSFTM is heavily
18 dependent on non-testable assumptions. First, it
19 is assumed that the survival time benefit is
20 proportional to time on drug. Second, the exact
21 same proportionality is assumed to apply to placebo
22 after switching to AMX in the extension despite the

1 delay in the start of their AMX treatment and
2 progression from baseline. RPSFTM models the
3 hypothetical treatment-naive survival of the
4 71 percent of the placebo group that switched to
5 AMX in the open-label extension.

6 Another concern with this analysis in this
7 trial is the fact that placebo patients who
8 switched to AMX are different than those who did
9 not, but the model assumes they are the same. As
10 evidenced in the difference, the mean baseline
11 ALSFRS-R is 3.7 points higher for double-blind
12 period placebo completers than for placebo
13 dropouts, and the latter by study design were
14 ineligible to switch to AMX.

15 A methodological reference article cited by
16 the applicant indicates that the reported new
17 survival analysis may be biased in favor of drug,
18 and increasingly so as the proportion of placebo
19 group switching to drug increases. The article
20 states, quote, "We found that analyses which
21 re-censored usually produced negative bias that is
22 underestimating control group restricted mean

1 survival and overestimating the treatment effect,"
2 end quote. Further, it states that, "The increased
3 switching proportion had an important impact,
4 leading to increased bias, with the relative effect
5 on the different adjustment methods dependent on
6 the size of the treatment effect," end quote.

7 This switching proportion is quite high in
8 this trial at 71 percent due to placebo completers
9 switching to AMX by design of the open-label
10 extension. The reference paper only studied lower
11 switching proportions. The reference also
12 recommended a complementary analysis in order to
13 assess the bias. However, according to the
14 applicant's reference article on CENTAUR analysis,
15 quote, "Acceleration factor could not be estimated
16 in assessments of on-treatment RPSFTM without
17 applying the recensoring," end quote. Thus, bias
18 of the reported RPSFTM analysis remains in
19 question.

20 In addition to the RPSFTM analysis just
21 discussed, the applicant conducted additional
22 post hoc analyses. For the first of these

1 analyses, the applicant applied a survival
2 prediction model developed by the European Network
3 for the Cure of ALS, or ENCALS, to the CENTAUR AMX
4 treatment group. This model was developed based on
5 data from select European patients from 1992 to
6 2016. In the second analysis, the applicant used a
7 post hoc propensity score matching model to select
8 a subgroup from the external PRO-ACT database for a
9 survival comparison to the CENTAUR AMX treatment
10 group. This PRO-ACT database contains data from
11 patients from ALS clinical trials from 1990 through
12 2010.

13 These are non-randomized comparisons to
14 external data for which there was no common
15 treatment protocol or prespecified analysis plan;
16 therefore, patients in CENTAUR may differ from
17 those in ENCALS and PRO-ACT cohorts. In
18 particular, they may differ in measured prognostic
19 factors, for example, stage or severity of disease.
20 Furthermore, they may also differ in unmeasured
21 prognostic factors. Additionally, patients in the
22 external control, or population for model

1 development, may have received different supportive
2 care and/or available therapies, yet another
3 possible confounder of these post hoc
4 non-randomized analyses.

5 The comparison to a PRO-ACT database subset
6 relies on a propensity score matched analysis which
7 involves numerous analysis choices, which were not
8 prespecified. Only 74 of 89 CENTAUR patients
9 randomized to AMX were matched, which may create
10 bias. Both the ENCALS and PRO-ACT analyses were
11 post hoc analyses only planned and conducted after
12 having knowledge of unblinded CENTAUR trial data.
13 Ideally, for these to be reliable, the analysis
14 plans would have been in place before the conduct
15 of the CENTAUR trial.

16 In summary, the new analyses of CENTAUR data
17 do not provide a statistically persuasive effect on
18 mortality. It is important to reiterate that there
19 is no new AMX data since the last advisory
20 committee meeting in March, only new analyses of
21 the existing data from CENTAUR. There was no
22 prespecified analysis plan for these new analyses.

1 They were planned and conducted after seeing the
2 CENTAUR results and CENTAUR open-label extension
3 results.

4 There are numerous analytical choices and
5 assumptions that go into these analyses that affect
6 the results, and lack of prespecification
7 compromises interpretability and reliability of
8 these analysis results. The unplanned analyses are
9 exploratory and have limitations, as highlighted in
10 this presentation.

11 Thank you. Now I'll turn it over to
12 Dr. Freilich to continue the FDA presentation.

13 **FDA Presentation - Emily Freilich**

14 DR. FREILICH: Thank you.

15 My name is Dr. Emily Freilich, and I'm the
16 cross-discipline team leader for this application.
17 As part of the new material submitted, the
18 applicant presents potential mechanistic evidence
19 for an impact on neurodegeneration and
20 neuroinflammation in CSF based on the summary of
21 recent biomarker data collected in a related
22 disease population. I will present an overview of

1 this data.

2 The applicant recently conducted PEGASUS, a
3 phase 2 study in patients with clinical Alzheimer's
4 disease or mild cognitive impairment. The
5 randomized, double-blind, placebo-controlled study
6 enrolled 95 patients, with 51 patients receiving
7 AMX0035 and 44 patients receiving placebo.
8 Patients were treated twice daily for 24 weeks.
9 Approximately 80 percent of the AMX0035 patients
10 and 96 percent of the placebo patients completed
11 this study.

12 The primary objective of the study was to
13 assess safety and tolerability of AMX0035 in the
14 study population. No differences were seen between
15 AMX0035 and placebo on the exploratory efficacy
16 outcomes of cognition, function, or imaging
17 measures, or on the prespecified composite outcome
18 of all three measures. The study also assessed
19 18 CSF biomarkers on an exploratory basis that the
20 applicant felt to be either core biomarkers for
21 Alzheimer's disease or possible targets of the
22 presumed mechanism of action of AMX0035.

1 The table shows mean change from baseline
2 results of the exploratory biomarkers collected.
3 According to the applicant, the biomarkers with
4 nominally significant differences between the
5 treatment arms were total tau; phosphorylated tau;
6 neurogranin; YKL-40; fatty-acid binding protein 3;
7 interleukin-15; 8-hydroxy 2 deoxyguanosine; and the
8 beta amyloid 42/40 ratio.

9 The applicant has highlighted select
10 markers, namely the lowering of CSF total tau,
11 p-tau, neurogranin, and YKL-40, and the increased
12 ratio of beta amyloid 42/40 ratio compared to
13 placebo, as changes that may support the
14 mechanistic activity of AMX0035 in the central
15 nervous system. There was no change in
16 neurofilament light, which is one of the commonly
17 evaluated biomarkers of neuronal degeneration.

18 The reported changes may be suggestive of
19 pharmacodynamic activity of AMX0035 in the central
20 nervous system in patients with Alzheimer's
21 disease. However, there is no clear or consistent
22 relationship between the select biomarkers that did

1 have nominally significant changes and those that
2 did not to suggest a true treatment effect of
3 AMX0035 on either nervous system inflammation or
4 neuronal degeneration.

5 It is difficult to draw any meaningful
6 conclusions from the presented biomarker data. The
7 underlying pathophysiology of Alzheimer's and ALS
8 are different, as are the study populations, and
9 the baseline levels of biomarkers may differ in
10 these populations. Some of the biomarkers also may
11 act differently in different disease states and
12 stages of illness. Thus, any relevance of these
13 findings to people living with ALS, even if they
14 were demonstrated to indicate benefit in
15 Alzheimer's disease, are unclear and not
16 necessarily generalizable across neurodegenerative
17 conditions.

18 Finally, we also note that the 18 biomarkers
19 were assessed as exploratory endpoints and thus
20 were not adjusted for multiplicity, and the
21 interpretation of the p-value is limited. The
22 submitted biomarker data are not clear evidence of

1 a potential for clinical benefit in patients with
2 ALS.

3 I will now turn the presentation back to
4 Dr. Buracchio to discuss the regulatory
5 considerations.

6 **FDA Presentation - Teresa Buracchio**

7 DR. BURACCHIO: Thank you, Emily.

8 I will now discuss regulatory considerations
9 for the evaluation of the data submitted for
10 AMX0035 in ALS. This overview is intended to
11 provide additional context for the discussions of
12 the advisory committee that will follow.

13 To establish the effectiveness of a drug for
14 approval, a legal standard for substantial evidence
15 of effectiveness must be met. This standard
16 applies to drugs for all diseases, from common,
17 non-serious, and non-life-threatening conditions
18 that have available therapies, to serious,
19 life-threatening, and/or fatal diseases with few or
20 no available therapies. This requirement was
21 established in 1962 with the Kefauver-Harris
22 Amendment to the Food, Drug, and Cosmetic Act that

1 included a provision requiring manufacturers of
2 drug products to establish a drug's effectiveness
3 by substantial evidence.

4 In this act, substantial evidence is defined
5 as, quote, "evidence consisting of adequate and
6 well-controlled investigations, including clinical
7 investigations, by experts qualified by scientific
8 training and experience to evaluate the
9 effectiveness of the drug involved on the basis of
10 which it could fairly and responsibly be concluded
11 by such experts that the drug will have the effect
12 it purports or is represented to have under the
13 conditions of use prescribed, recommended, or
14 suggested in the labeling or proposed labeling
15 thereof."

16 It has long been FDA's position that
17 Congress generally intended to require at least two
18 adequate and well-controlled studies, each
19 convincing on its own to establish effectiveness.
20 The usual requirement for more than one adequate
21 and well-controlled investigation reflects the need
22 for independent substantiation of experimental

1 results. Independent substantiation of a favorable
2 result protects against the possibility that a
3 chance occurrence in a single study would lead to
4 an erroneous conclusion that a treatment is
5 effective.

6 Although two adequate and well-controlled
7 clinical investigations are the usual standard for
8 generating substantial evidence of effectiveness in
9 many diseases studied, there are scenarios in which
10 a single trial can be used to establish
11 effectiveness. The agency's ability to rely on a
12 single study is further described in FDA's 2019
13 draft guidance, *Demonstrating Substantial Evidence*
14 *of Effectiveness for Human Drug and Biological*
15 *Products*.

16 This guidance states that reliance on a
17 single trial to establish effectiveness should
18 generally be limited to situations in which the
19 trial has demonstrated a clinically meaningful and
20 statistically very persuasive effect on mortality,
21 severe or irreversible morbidity, or prevention of
22 a disease with potentially serious outcomes, and

1 confirmation of the result in a second trial would
2 be impracticable or unethical. In other words, we
3 are able to rely on the evidence from the single
4 trial in isolation when it provides evidence that
5 is similarly persuasive to that which might result
6 from two separate trials taken together.

7 Characteristics of a single adequate and
8 well-controlled study that could make the study
9 adequate alone to support the effectiveness of a
10 product may include, but are not limited to, the
11 following examples: a large multicenter study;
12 consistency across study subsets such as age,
13 gender, or disease stage; multiple studies within a
14 single study such as a factorial design with
15 multiple study arms; multiple endpoints involving
16 different but related events; and statistically
17 very persuasive findings.

18 As we discussed with the committee in March,
19 the primary evidence provided by the
20 placebo-controlled CENTAUR study is undoubtedly
21 promising but does not appear to possess the
22 characteristics that would allow it to serve as a

1 single study in isolation to establish substantial
2 evidence of effectiveness; therefore, the study
3 would need additional evidence to provide
4 independent substantiation of the positive results.

5 Under certain circumstances, FDA can also
6 conclude that one adequate and well-controlled
7 clinical investigation of conventional
8 persuasiveness, plus confirmatory evidence, is
9 sufficient to establish effectiveness. In this
10 situation, the confirmatory evidence would serve to
11 provide independent substantiation of the results
12 of the single study. I note that Amylyx is
13 proposing to utilize this approach, with the
14 CENTAUR study serving as the single adequate and
15 well-controlled study and the survival analysis
16 from the open-label extension study and the
17 biomarker data from the Alzheimer's disease study
18 proposed as confirmatory evidence.

19 Factors that FDA may consider when
20 evaluating the appropriateness of this approach are
21 described in the 2019 effectiveness guidance that I
22 previously referenced, and include the degree of

1 persuasiveness of the single trial; the robustness
2 of the confirmatory evidence; the seriousness of
3 the disease and whether there is an unmet need; the
4 size of the patient population; and whether it is
5 ethical and practicable to conduct more than one
6 adequate and well-controlled clinical
7 investigation.

8 The guidance also provides examples of data
9 or information that could potentially provide
10 confirmatory evidence. These examples may include,
11 but are not limited to, data from an adequate and
12 well-controlled clinical study, or studies, to
13 demonstrate the effectiveness of the drug in a
14 closely related approved indication; data that
15 provides strong mechanistic support of the drug in
16 the pathophysiology; data from a well-documented
17 natural history of the disease, and it is noted in
18 the guidance that this may potentially be
19 considered if it reinforces very persuasive and
20 compelling results from a single adequate and
21 well-controlled study; and scientific knowledge
22 about the effectiveness of other drugs in the same

1 pharmacological class.

2 When substantial evidence of effectiveness
3 is demonstrated by any of the situations I just
4 described on a direct assessment of clinical
5 benefit, this may result in a traditional approval.
6 This is the usual approval pathway for most drug
7 development programs.

8 Accelerated approval is a particular type of
9 approval that FDA may grant for a product for a
10 serious or life-threatening disease or condition
11 upon a determination that the product has an effect
12 on an endpoint that is not itself a direct measure
13 of the clinical benefit of interest but is instead
14 reasonably likely to predict the clinical benefit,
15 taking into account the severity or rarity of the
16 condition and the availability or lack of
17 alternative treatments. Approval is subject to the
18 requirement that the applicant study the drug
19 further to verify and describe its clinical
20 benefit.

21 It is crucial to recognize that the
22 evidentiary standards for effectiveness are not

1 lower for endpoints used to support accelerated
2 approval than for traditional approval.
3 Substantial evidence of effectiveness on those
4 endpoints must be demonstrated. Accelerated
5 approval concerns the character of the endpoints,
6 not the strength of the results on those endpoints.
7 An effect on an endpoint supporting accelerated
8 approval must be an effect on an endpoint that in
9 its character is reasonably likely to predict
10 clinical benefit and in its persuasiveness provides
11 substantial evidence of effectiveness from adequate
12 and well-controlled trials just as substantial
13 evidence of effectiveness on a clinically
14 meaningful endpoint from adequate and
15 well-controlled trials supports traditional
16 approval.

17 In the case of AMX0035 for ALS, the ALSFRS-R
18 and survival are direct and clinically meaningful
19 measures of benefit and are acceptable endpoints to
20 support traditional approval. Therefore, if the
21 agency determines that these endpoints met the
22 substantial evidence requirements, we would be able

1 to grant a traditional approval.

2 I would also like to note that the agency
3 does have withdrawal authorities if a drug is found
4 to no longer meet the criteria for substantial
5 evidence of effectiveness. It is commonly
6 understood that under the Subpart H regulation, a
7 drug that received accelerated approval may have
8 its approval withdrawn if the drug's predicted
9 benefit fails to be verified in a confirmatory
10 trial. However, it is less commonly recognized
11 that FDA does have authority under CFR 314.150 to
12 withdraw approval of a drug if it finds, as stated
13 in the regulation, quote, "upon the basis of new
14 information for FDA with respect to the drug,
15 evaluated together with the evidence available when
16 the application was approved, that there is a lack
17 of substantial evidence from adequate and
18 well-controlled investigations, that the drug will
19 have the effect it is purported or represented to
20 have under the conditions of use prescribed,
21 recommended, or suggested in its labeling," end
22 quote. In other words, the evaluation of the

1 safety and effectiveness of a drug is a continuous
2 regulatory process, and data may continue to accrue
3 after an initial approval that called in question
4 or negates a prior finding of substantial evidence
5 of effectiveness. In this situation, the FDA has
6 the authority to initiate withdrawal of approval
7 procedures.

8 It is also worth noting that some other
9 countries have marketing authorization pathways
10 often referred to as conditional approval that
11 allow for an approval of a drug that does not meet
12 the evidentiary standards for effectiveness
13 required for a full approval in those countries.
14 This pathway may often be confused with the
15 accelerated approval pathway in the U.S.
16 regulations, however, there are distinct
17 differences.

18 Both pathways are intended to expedite the
19 availability of therapies that address an unmet
20 need. Additionally, both pathways require
21 subsequent confirmation of clinical benefit;
22 however, the conditional approval pathway typically

1 allows for marketing authorization for products for
2 which the benefit-risk of the medicine is positive.
3 Unlike the accelerated approval pathway in the
4 U.S., the conditional pathway does not have a
5 requirement for a substantial evidence of
6 effectiveness or its equivalent in that country;
7 instead, these pathways typically rely on an
8 overall assessment of the evidence.

9 In this regard, it is important for the
10 committee and the stakeholders listening today to
11 be aware of and note the recent approval of AMX0035
12 in Canada, using one of these conditional approval
13 pathways under the Health Canada regulatory
14 authority known as Notice of Compliance with
15 Conditions.

16 This form of marketing authorization is
17 granted to a product on the basis of promising
18 evidence of clinical effectiveness. Promising
19 clinical evidence is explained by Health Canada to
20 be evidence based on well-controlled and
21 well-conducted clinical trials, establishing that
22 the drug product has an effect on a surrogate or

1 clinical endpoint that is reasonably likely to
2 predict clinical benefit. It is similar in some
3 ways to FDA's accelerated approval pathway, but
4 relies on promising evidence rather than
5 substantial evidence.

6 I will now discuss the regulatory concept of
7 unmet medical need. Unmet medical need refers to a
8 condition in which treatment is not addressed
9 adequately by available therapy. ALS is a serious
10 and devastating disease. There are currently three
11 approved drug products in the U.S., riluzole and
12 two formulations of edaravone. Although these
13 drugs have demonstrated benefits for ALS, the
14 disease often remains rapidly progressive and fatal
15 despite these available therapies. The agency
16 recognizes that there is an urgent unmet medical
17 need for new treatments for individuals with ALS,
18 and that this unmet need must be taken into account
19 when considering the evidence supporting the
20 AMX0035 application.

21 I will now turn to a discussion of the
22 regulatory concept of regulatory flexibility. As

1 Dr. Dunn noted, our regulations allow for and
2 encourage the use of regulatory flexibility to
3 expedite the development, evaluation, and marketing
4 of new therapies intended to treat persons with
5 life-threatening and severely debilitating
6 illnesses, especially where no satisfactory
7 alternative therapy exists.

8 From CFR 312.80 Subpart H, I quote, "The
9 Food and Drug Administration has determined that it
10 is appropriate to exercise the broadest flexibility
11 in applying the statutory standards while
12 preserving appropriate guarantees for safety and
13 effectiveness. These procedures reflect the
14 recognition that physicians and patients are
15 generally willing to accept greater risks or side
16 effects from products that treat life-threatening
17 and severely debilitating illnesses than they would
18 accept from products that treat less serious
19 illnesses. These procedures also reflect the
20 recognition that the benefits of the drug need to
21 be evaluated in the light of the severity of the
22 disease being treated."

1 The 2019 Draft Effective Guidance also
2 discusses the clinical circumstances where
3 additional flexibility may be warranted, such as
4 when a disease is rare or the disease is
5 life-threatening or severely debilitating with an
6 unmet medical need. The guidance states that in
7 certain settings, a somewhat greater risk of false
8 positive conclusions, and therefore less certainty
9 about effectiveness, may be acceptable when
10 balanced against the risk of rejecting or delaying
11 the marketing of an effective therapy for an unmet
12 medical need.

13 The guidance also provides some example of
14 the use of regulatory flexibility, such as
15 consideration of alternate trial designs for the
16 standard randomized, double-blind,
17 placebo-controlled trial; the use of surrogate or
18 intermediate clinical endpoints under the
19 accelerated approval pathway.

20 In some situations, flexibility on the
21 p-value can be considered. This would typically
22 refer to a situation of a rare disease where the

1 sample size is limited. In those situations, a
2 slightly higher p-value may be considered with
3 appropriate justification and prespecification, and
4 the number of trials considered sufficient to
5 establish effectiveness such as in a rare disease
6 where a second trial may be infeasible. In these
7 cases, the substantial evidence of effectiveness
8 would typically be provided by the situation we
9 face today, which is a single trial plus
10 confirmatory evidence.

11 There are three FDA-approved drug products
12 for ALS, riluzole and the two formulations of
13 edaravone. These approvals demonstrate the
14 agency's history of regulatory flexibility in ALS.
15 The approval of riluzole for the treatment of ALS
16 was based on two adequate and well-controlled
17 trials that assessed survival. In both studies,
18 riluzole did not show a statistically significant
19 difference using the prespecified statistical
20 analysis method.

21 The agency felt that an alternative test was
22 a more appropriate statistical analysis method for

1 these trials. Using this methodology, both studies
2 were found to demonstrate nominally statistically
3 significant effects on survival. The post hoc
4 results from the two studies using the alternative
5 statistical tests resulted in exploratory findings
6 of nominal significance, and these were found to
7 meet the substantial evidence of effectiveness
8 standard for riluzole in ALS.

9 The initial approval of edaravone for the
10 treatment of ALS was based on a single 6-month
11 randomized, double-blind, placebo-controlled trial
12 in 137 patients with ALS that was conducted
13 exclusively in Japan. The study demonstrated a
14 statistically significant difference of 2.5 points
15 in decline on the ALSFRS-R with a p-value of .0013.
16 The results were corroborated by multiple
17 sensitivity analyses conducted by FDA. Results of
18 several secondary endpoints trended favorably.

19 FDA noted that the study had characteristics
20 that made it appropriate as a single study alone to
21 provide substantial evidence of effectiveness.
22 Some of these characteristics included a

1 multicenter study in which no single site
2 contributed an unusually large fraction of the
3 patients or was disproportionately responsible for
4 the treatment effect; consistency across subsets of
5 study participants; and persuasive results with
6 strong p-values.

7 Although edaravone demonstrated a benefit on
8 a functional scale, it is not known if edaravone
9 has a benefit on survival in ALS. Although every
10 drug development program is distinct and must be
11 considered individually, this history of the
12 application of regulatory flexibility in ALS
13 provides relevant precedence when considering the
14 evidence supporting the AMX0035 application.

15 As discussed at the prior advisory committee
16 meeting, the applicant has an ongoing phase 3 study
17 in ALS. Study A35-004, also referred to as
18 PHOENIX, is a phase 3, randomized,
19 placebo-controlled trial of AMX0035 in patients
20 with ALS. The primary objective of the trial will
21 be to assess AMX0035 compared to placebo on the
22 change from baseline of the ALSFRS-R and survival

1 over 48 weeks. The study also includes a number of
2 secondary endpoints relevant to ALS patients.

3 The study has planned to enroll
4 approximately 600 participants at over 70 sites in
5 the U.S. and Europe, and over half of these
6 participants are enrolled at this time. The trial
7 is expected to complete in late 2023 or early 2024
8 with results available shortly thereafter.

9 Undoubtedly, the results of the phase 3
10 study would be highly informative for a regulatory
11 decision on the current FDA review for AMX0035,
12 however, the results will not be available for
13 another year and a half. This places the agency in
14 a challenging situation of potentially making a
15 regulatory decision that may not be subsequently
16 confirmed by the results of the ongoing study.

17 If the agency does not approve the drug and
18 the phase 3 study is positive, the approval of the
19 potential effective drug will have been delayed.

20 If the agency does approve the drug and the phase 3
21 study is negative, there will be a drug on the
22 market for ALS which may no longer meet the

1 requirements for substantial evidence of
2 effectiveness. However, the withdrawal authority
3 that Dr. Dunn and I have previously described could
4 be considered if it is found that substantial
5 evidence of effectiveness for AMX0035 in ALS no
6 longer exists.

7 I will now discuss the use of expanded
8 access for AMX0035 in ALS. Expanded access, which
9 is commonly referred to as compassionate use, is a
10 potential pathway for patients with a serious or
11 immediately life-threatening disease or condition
12 to gain access to an investigation or medical
13 product for treatment outside of clinical trials
14 when no comparable or satisfactory alternative
15 therapy options are available.

16 The applicant has initiated an expanded
17 access program available in the United States,
18 Study A35-006, to allow for access to AMX0035 for
19 eligible adults with ALS. In order to enroll in a
20 study, participants must have symptoms for at least
21 3 years and cannot be eligible to participate in
22 clinical trials with AMX0035.

1 I will now conclude this presentation with a
2 summary of where we find ourselves today in the
3 review of this application. We have a single
4 positive study. There are methodological and
5 analytical concerns with the study that impact the
6 persuasiveness of this positive finding, and these
7 concerns were previously discussed at the
8 March 30th advisory committee meeting, however, it
9 is a positive study that won on its primary
10 endpoint.

11 For consideration of confirmatory evidence,
12 the applicant has conducted multiple post hoc
13 exploratory analyses on the survival data from the
14 CENTAUR study and its open-label extension that
15 have shown a nominally positive benefit on
16 survival. Although nominally positive,
17 consideration of this data should note the
18 potential for a study with a small sample size to
19 be impacted by baseline imbalances in disease
20 characteristics or severity.

21 The applicant also provided biomarker data
22 from a phase 2 Alzheimer's disease study as

1 evidence of the effects of AMX0035 in another
2 neurodegenerative disease. These data are
3 interesting, however, the relevance of these
4 observations in Alzheimer's disease to ALS is
5 uncertain.

6 The applicant is conducting a phase 3 study
7 in ALS that is currently ongoing and has completed
8 approximately half of the planned enrollment to
9 date. The trial is expected to read out in the
10 next year and a half. This trial will provide
11 additional information regarding the effectiveness
12 of AMX0035 in ALS.

13 Finally, we must always consider in our
14 regulatory deliberations that ALS is a serious and
15 fatal disease with substantial unmet need;
16 therefore, consideration of the application of
17 regulatory flexibility is appropriate. As Dr. Dunn
18 noted earlier today, substantial evidence of
19 effectiveness is a qualitative, not a quantitative,
20 standard that relies on the application of
21 scientific judgment to consider the evidence in the
22 context of disease severity and unmet need.

1 Regulatory flexibility is a fundamental aspect of
2 our general regulatory framework, and it must
3 inform our considerations of the data before us.

4 With this background in mind, we are seeking
5 the committee's advice. We ask you to consider the
6 complexities of this situation in your discussion.
7 We ask the committee to discuss the strength of the
8 currently available data regarding the
9 effectiveness of sodium phenylbutyrate/taurursodiol
10 to include the new information submitted and the
11 information presented in the March 30, 2022 PCNS
12 meeting. The discussion may include considerations
13 regarding the unmet need in ALS, the status of the
14 ongoing phase 3 trial, and the seriousness of ALS.

15 We are asking the committee to vote on the
16 following question.

17 Considering the new information submitted,
18 along with the information presented at the
19 March 30, 2022 PCNS meeting, is the available
20 evidence of effectiveness sufficient to support
21 approval of sodium phenylbutyrate/taurursodiol for
22 the treatment of patients with ALS? In addition to

1 the prior and new evidence presented, you may take
2 into account in your vote the unmet need in ALS,
3 the status of the ongoing phase 3 study, and the
4 seriousness of ALS. Thank you.

5 **Clarifying Questions to the FDA**

6 DR. MONTINE: Thank you.

7 We will now take clarifying questions for
8 the FDA. Please use the raise-hand icon to
9 indicate that you have a question, and remember to
10 lower your hand by clicking the raise-hand icon
11 again after you've asked your question. I can see
12 some of you are doing this already. Great.

13 When acknowledged, please remember to state
14 your name for the record before you speak and
15 direct your question to a specific presenter if you
16 can. If you wish for a specific slide to be shown,
17 please let us know the slide number, if possible.
18 Finally, it would be helpful to acknowledge the end
19 of your question with a thank you or the end of
20 your follow-up with, "That's all for my questions,"
21 so that we can move on to the next panel member.

22 I will, I think, modify how we did this from

1 the last time. I'd ask each person that I call on,
2 each panel member, to ask one question. We'll move
3 to the next panel member. If you have multiple
4 questions and you've raised your hand again, we'll
5 cycle back.

6 Okay. So we'll begin. The first panel
7 member on my list, Dr. Traynor, would you please
8 ask your first question?

9 DR. TRAYNOR: Hello. Can you hear me?

10 DR. MONTINE: Yes, I can.

11 DR. TRAYNOR: Yes. Hi. This is Bryan
12 Traynor here. I guess I'm directing this question
13 to FDA; perhaps Dr. Dunn in particular because he
14 had specifically raised this issue.

15 I noted that the CEO of the company had said
16 that they would voluntarily withdraw the drug if
17 the subsequent phase 3 trial turns out to be
18 negative, and I commend them in that. However, I
19 think we all know that pharmaceutical companies
20 change ownership and change CEOs quite frequently,
21 so I'd like to ask Dr. Dunn, what are the actual
22 procedures in place for the FDA to withdraw the

1 approval for the drug, which would not be a
2 voluntary withdrawal, but actually a forced one?

3 Has that ever happened before? Is it
4 something that would take years to accomplish or is
5 it something that is just relatively
6 straightforward and can be accomplished at an
7 administrator level? Thank you.

8 DR. DUNN: Dr. Traynor, this is Dr. Dunn.
9 Thank you for your question. I understand it, and
10 I want to make sure that we're not conflating two
11 different things because both I and Dr. Buracchio,
12 I think, attempted to address this in our
13 presentations.

14 The comment by the CEO of the company at
15 today's meeting is not something that is a
16 substitute or a replacement in any way for what we
17 discussed with you, which was our regulatory
18 authority to withdraw approval of the drugs, so
19 those two things are distinct and unrelated in that
20 manner.

21 Dr. Buracchio and I both covered the same
22 information, and in the interest of efficiency we

1 won't repeat that here, but the agency does have
2 formal regulatory authority to withdraw approval of
3 a marketed drug. There's a procedure for that. I
4 think it would be misrepresenting things to suggest
5 to you that it's -- I forget what words you just
6 used, but something along the lines of is it
7 straightforward, simple, easy.

8 I think we all know, folks working in
9 government, that things take some time. The
10 procedure is there. It is often viewed as a
11 complicated path to pursue. I think that's a fair
12 way to put it; however, it is there. It's
13 important that we're aware of it, and we wanted the
14 committee to be aware of it.

15 It's a fairly straightforward regulation,
16 but of course the application of it requires
17 notices of opportunities for hearings and notifying
18 the sponsor. And the various maneuvers have to be
19 gone through to accomplish that, but it is
20 something that the agency is prepared to exercise
21 when the circumstances call for it.

22 DR. MONTINE: Thank you, Dr. Dunn.

1 Next, Dr. Nath, please, your question?

2 DR. NATH: Yes. My question is, again,
3 related to Dr. Buracchio. You'd discussed the
4 false positive and false negative risks, but what
5 about the possibility that if the drug were to be
6 approved, then enrollment in the placebo arm of the
7 PHOENIX study may be compromised.

8 Is that a concern at all?

9 DR. BURACCHIO: Hi. This is Dr. Buracchio.
10 We have discussed this with the sponsor in the
11 past. My understanding, and perhaps they can
12 confirm, is that enrollment in the U.S. for the
13 PEGASUS trial -- for the PEGASUS or PHOENIX
14 maybe -- PHOENIX trial has stopped. So should the
15 drug be approved in the U.S., I think that all of
16 the U.S. participants would have already completed
17 the trial, although the trial is still ongoing in
18 other countries.

19 Perhaps there's someone from Amylyx who can
20 confirm what I've just said.

21 DR. TIMMONS: Yes. Hello, Dr. Buracchio.
22 This is Jamie Timmons from Amylyx. I can confirm

1 that enrollment in the U.S. is no longer under way
2 for the PHOENIX trial. The PHOENIX trial is
3 largely a European trial. The majority of sites
4 are in Europe, and as mentioned, U.S. approval
5 would not impact European completion of the study.

6 DR. NATH: Great. Thank you.

7 DR. MONTINE: Thank you all.

8 Dr. Caleb Alexander, please, your question?

9 DR. C. ALEXANDER: Yes. Can you hear me?

10 DR. MONTINE: I can.

11 DR. C. ALEXANDER: Great. This is Caleb
12 Alexander. I guess, first, just a comment or two.

13 Dr. Dunn, I would be interested -- I'm not
14 clear if there have been instances where the FDA
15 has actually forced the manufacturer to withdraw
16 products -- that was part of the last question, and
17 I didn't hear that -- but I do think that the
18 history of accelerated approvals and the ability of
19 the FDA to force manufacturers to fulfill the
20 commitments that have been made prior to approval
21 provide some context to consider that particular
22 matter.

1 You know, we're being asked here to consider
2 a natural -- we're not being asked to consider the
3 natural history studies as confirmatory but rather
4 the open-label analyses, and this evidence isn't
5 from a separate study; it's from the same study as
6 the pivotal trial. So I just have two questions
7 regarding this. I know I can just ask one now.

8 I know that these studies -- I understand
9 that the open-label analyses were post hoc and
10 performed after unblinding with no evidence of
11 statistically significant effects on death or the
12 composite in the double-blind phase, but I
13 wondered -- and I guess this is a question for
14 Tristan Massie -- whether there was evidence of a
15 correlation between the duration of drug exposure
16 and survival in CENTAUR that would provide some
17 further confidence about this exposure outcome
18 relationship.

19 DR. MASSIE: Hi. This is --

20 DR. BURACCHIO: Sorry. I wasn't sure.
21 There were two different questions in there. One
22 was for Dr. Dunn regarding further consideration of

1 the withdraw authority.

2 Dr. Dunn, did you want to address that?

3 DR. C. ALEXANDER: Yes. I was told I could
4 just ask one, so I guess I was making a vast of
5 comments, but I'd be happy to hear from Dr. Dunn as
6 well. But the main question is for Dr. Massie
7 pertaining to whether or not there was a
8 relationship between duration of drug exposure and
9 survival in the CENTAUR study.

10 DR. BURACCHIO: Dr. Massie?

11 DR. MASSIE: Hi. This is Tristan Massie.
12 There weren't any prespecified analyses to assess
13 whether there was a relationship between exposure
14 and survival. I think the sponsor presented a
15 table showing different groups of placebo dropouts
16 and placebo completers, but the problem with that
17 is that they're not groups; they're at random. So
18 they're not representative of the full placebo
19 group; so that table doesn't really answer the
20 question.

21 DR. MONTINE: Thank you. And I would ask
22 the panel members, after you've asked your question

1 if you would lower your hand just so we can keep
2 track of who's on deck.

3 Next is Dr. Robert Alexander.

4 DR. R. ALEXANDER: Thank you, Dr. Montine.

5 It's Robert Alexander.

6 My question to FDA is that it seems like the
7 primary objection to these additional survival
8 analyses was that they weren't prespecified. So if
9 they had been prespecified, is this a type of
10 analysis that you would consider confirmatory
11 evidence even though it's from the same trial?

12 Thank you.

13 DR. BURACCHIO: Dr. Massie, would you like
14 to start on that or see if we need to add more?

15 DR. MASSIE: Hi. Tristan Massie. The
16 problem, even if this analysis has been
17 prespecified, I think the Rank Preserving
18 Structural Failure Time Model, to my
19 knowledge -- and I talked with oncology
20 statisticians -- to my knowledge, it's not used for
21 regulatory decision making even in oncology.

22 So it has such strong assumptions, but I'm

1 not sure that -- have helped it

2 (Crosstalk.)

3 DR. R. ALEXANDER: I was referring to the
4 comparison to the natural history cohort.

5 DR. MASSIE: Well, the same issue there. It
6 wasn't prespecified, and you have the problem of
7 they still might not be comparable because there
8 could be unmeasured prognostic factors. There's no
9 randomization to assure that you're comparing
10 balanced groups.

11 DR. R. ALEXANDER: So just to be clear, are
12 there any circumstances where that type of analysis
13 could be considered confirmatory?

14 DR. BURACCHIO: Hi. This is Dr. Buracchio.
15 I think it would be hard to say right now. Such an
16 analysis is a really complicated analysis, and I
17 think we would generally recommend that if there is
18 a plan for such an analysis, that it be discussed
19 with the agency prior to initiating that trial so
20 that we can see if we can come to any agreement on
21 the criteria used for matching and the analysis
22 procedures that are planned.

1 So I can't say that, no, we wouldn't ever
2 accept something like that for confirmatory
3 evidence, but I think it really is important to
4 have some sort of an agreement with the agency on
5 that approach before conducting the study in order
6 to agree to it generally.

7 DR. MONTINE: Thank you.

8 Dr. Fischbeck, please?

9 DR. FISCHBECK: Yes. This is Dr. Fischbeck.
10 Again, I have several questions, but maybe just to
11 ask a follow-on question to that of Dr. Nath about
12 what will happen with the phase 3 study if there's
13 FDA approval here, I'd like to ask the other way
14 around.

15 If there is no approval here, if the
16 decision is negative by the FDA, based on CENTAUR,
17 is there a chance that PHOENIX enrollment, which
18 ended in March 2022, whether that would be
19 restarted if the decision is negative? Which would
20 answer some of the patients and family member
21 requests for access to this drug; at least it would
22 be good to have access through the clinical trial.

1 DR. BURACCHIO: I'd have to turn that
2 question over to Amylyx to see if they've given
3 that any consideration.

4 DR. FISCHBECK: Yes, maybe Dr. Sarnelli.

5 DR. TIMMONS: This is Jamie Timmons. I can
6 answer that question --

7 DR. FISCHBECK: Sure.

8 DR. TIMMONS: -- on behalf -- the study is
9 recruiting well. We're over 370 participants now.
10 As mentioned, it's a largely European study. It's
11 needed for EMA approval. It's also, as discussed,
12 part of the conditional approval for Canada.
13 Should the AMX0035 not be approved in the U.S.,
14 we'll of course evaluate, but the plan currently is
15 not that we would need to reopen sites in the U.S.

16 I'll ask Dr. Cudkowicz to provide her
17 thoughts here, too, as well, just given I know this
18 has been a discussion in the community.

19 DR. CUDKOWICZ: I'll just say briefly that
20 there is a commitment from this company to complete
21 this phase 3 trial with or without this requirement
22 from Canada, and I'm very impressed by that. It is

1 enrolling well. The sites are activated in Europe.
2 We are going to eventually get the second study,
3 but it is going to be mid-2024 and, again, I think
4 that's too late.

5 I think the people in the study in the U.S.,
6 the participants, they are committed to that trial.
7 They're enrolling in that study to help others, and
8 that's altruistic, and I'm thankful for them. And
9 I'm confident that we're going to get a very good
10 phase 3 trial, but it's going to be too late for
11 people living today with ALS.

12 DR. FISCHBECK: Thanks.

13 DR. MONTINE: Thank you.

14 Dr. Apostolova?

15 DR. APOSTOLOVA: Hi. My question would be
16 to the drug company, Dr. Paganoni most likely. Can
17 we please briefly review the side effects from this
18 therapy if we're discussing potentially marketing a
19 drug that could be later withdrawn from the market
20 if the large trials are not successful?

21 If I remember correctly, there are not very
22 significant side effects. Can we review those,

1 please?

2 DR. TIMMONS: Just getting off mute there.
3 Yes, this is Dr. Timmons from Amylyx. I'd be happy
4 to do so. Pulling up here is -- I think we'll
5 bring up a slide, if we can, bringing up the safety
6 slide from the study in just one moment. I'll talk
7 while we're waiting for that.

8 What we saw in the CENTAUR study is that
9 adverse events were similar between the AMX0035 and
10 the placebo arm. Almost everyone in the study did
11 have an adverse event, mostly secondary to ALS
12 disease progression. What we do see is that there
13 were more severe adverse events in the placebo arm
14 by a few percentage points compared to AMX0035.

15 When we kind of dig a little bit deeper into
16 the safety data, the real difference between
17 AMX0035 and placebo in this study was that there
18 was a higher incidence of GI adverse events, so
19 diarrhea typically in the first 3 weeks, but then
20 would often get better; some abdominal pain;
21 nausea.

22 I'll ask Dr. Paganoni, who has participated

1 in both the CENTAUR trial and the PHOENIX, to see
2 if there's anything further to add there.

3 DR. PAGANONI: Hi. This is Dr. Paganoni. I
4 agree with what Dr. Timmons said. Overall, there
5 was a similar rate of adverse events between active
6 and placebo. However, we did see in a small group
7 of participants that they were more
8 gastrointestinal side effects, as Dr. Timmons
9 described, specifically nausea, abdominal pain, or
10 diarrhea.

11 I would want to emphasize that this was only
12 in a small subset of participants that most
13 occurred during the first 3 weeks, so right after
14 initiation of treatment, and then they subsided.
15 So in the grand scheme of things, when you think
16 about the fatal nature of the disease and the
17 rapidly progressing nature of the disease, these
18 were adverse events that were mild, manageable, and
19 transient. So again, I don't think there would be
20 a concern from the point of view of the prescribing
21 physician. Thank you.

22 DR. MONTINE: Mr. Weston, please?

1 MR. WESTON: Thank you. I have a number of
2 questions. Some of them can wait until later. My
3 question is probably mostly directed at
4 Dr. Buracchio, and it's sort of contextual, so I'll
5 try to phrase it.

6 First, I want to comment that I'm finding
7 this meeting today exceedingly frustrating. The
8 FDA, as I understand it, re-invited the drug
9 sponsor, the applicant, to come back and do this
10 again, similar to the March 30th meeting; but,
11 really, the stress has been on the fact that
12 there's no new information -- rather, no new
13 data -- and the analysis continues to be badly
14 flawed from the perspective of the FDA. So that's
15 why I'm frustrated by this.

16 My question is, why did the FDA refer the
17 invitation to the applicant to go through this
18 again if -- and I'm not saying you guys have made a
19 decision, I know that's not done yet, but there's
20 not a lot of positive discussion about the results
21 of this new analysis of the old data. It almost
22 feels like this is a setup to say, gee, we warned

1 you, we know we're going to approve the drug, but
2 we have to go through these motions.

3 Could you please comment on that?

4 DR. BURACCHIO: Right. I can assure you
5 that this isn't just something to go through the
6 motions. At the time that the applicant first made
7 us aware of these new analyses that they had run
8 and published -- so these were also published and
9 were in the public domain -- we are able to see the
10 top-line results of these, but we are not able, at
11 that point, to dig into the analysis and really
12 critically appraise them.

13 So all we could say is, well, those sound
14 interesting, potentially promising; we will review
15 those. You may submit them, and we will review
16 them and consider them as part of your application.
17 It is only during the formal review process, after
18 receiving them and looking through them, that we
19 are then able to really thoroughly and critically
20 go through them, and come up with our critiques and
21 questions about them.

22 So at the time that we accepted those

1 submissions and extended the advisory committee
2 meeting, everything is done on good faith; that
3 these are promising analyses that should be
4 considered and are worth considering. I think we
5 note the limitations of the analyses, but we still
6 haven't taken it off the table that they could be
7 considered as confirmatory evidence, and that's why
8 we're here today.

9 I don't know if Dr. Dunn or anyone else
10 would like to add anything to what I've just said.

11 (No response.)

12 DR. MONTINE: Well, thank you. Thank you
13 for the question. Thank you for your answer,
14 Dr. Buracchio.

15 I believe everyone who raised their
16 hand -- oh, excuse me. Did I interrupt someone?

17 (No response.)

18 DR. MONTINE: I believe everyone who raised
19 their hand has had an opportunity to ask one
20 question. There are still some hands up, so I'm
21 just going to try to do a second round. Please be
22 brief. We have four hands raised.

1 Dr. Follmann, do you have an additional
2 question?

3 DR. FOLLMANN: Yes, thanks. Actually, I had
4 the question that Dr. Nath raised, and I'd like to
5 ask a question of the sponsor from the original
6 go-round, if that's ok.

7 DR. MONTINE: Well, if we could, we'll have
8 time for additional questions for the sponsor. If
9 we could try to focus on questions for the FDA in
10 this session, that'd be great.

11 DR. FOLLMANN: Yes. I don't have a question
12 for the FDA. Thanks.

13 DR. MONTINE: Okay. Thanks, but we'll come
14 back to you later.

15 Dr. Caleb Alexander, do you have additional
16 questions for our colleagues at the FDA?

17 DR. C. ALEXANDER: I do. I have a question
18 for Dr. Massie, and I want to say I appreciate the
19 concerns regarding the new analyses insofar as they
20 are post hoc; and as you identify, analytic choices
21 and assumptions, many of them can affect the
22 results of these analyses.

1 But I want to go back, if we can, to the
2 open-label analyses of death. I know that they
3 were post hoc. I know they weren't prespecified.
4 I understand that the applicant pivoted to those
5 after unblinding and after the composite outcome
6 was examined but, Dr. Massie, can you share data,
7 or at least explain a little bit further your
8 concerns about the interpretability of the primary
9 open-label mortality analyses where death was
10 collected from 136 of 137 participants, and where
11 there were analyses performed that were an
12 intention-to-treat?

13 DR. MASSIE: Hi. This is Tristan Massie.
14 The main concern is first, survival or time to
15 death alone was not the key endpoint, so there is a
16 multiplicity issue. And then there was a lot of
17 lack of participation in the open-label extension,
18 so we're not sure about concomitant medications and
19 lost to follow-up.

20 DR. C. ALEXANDER: Thank you.

21 DR. MASSIE: And the final result for a
22 single study, it's borderline. It's not

1 statistically very persuasive.

2 DR. MONTINE: Thank you, Dr. Massie.

3 DR. MASSIE: Right. Thank you.

4 DR. MONTINE: Thank you both.

5 Dr. Dayno, do you have a question for the
6 FDA?

7 DR. DAYNO: Yes. This is Jeff Dayno, and
8 just a quick follow-up from the previous question
9 for Dr. Massie.

10 I think, based on some of the natural
11 history data for the analysis of overall survival,
12 and recognizing -- I think as Dr. Alexander just
13 spoke to -- that those analyses were post hoc, I
14 think in the spirit of regulatory flexibility,
15 given the importance of natural history data,
16 especially in diseases like ALS, as well as the
17 recognition of natural history data in the FDA's
18 framework for using real-world evidence, the
19 question in the PRO-ACT, the analysis from PRO-ACT,
20 or propensity score matching, doesn't that address
21 some of the concerns, your concerns, about
22 imbalance in the treatment group from that specific

1 analysis using that natural history data for
2 overall survival? Thank you.

3 DR. BURACCHIO: Is that for Dr. Massie?

4 DR. DAYNO: Yes, that was for Dr. Massie.

5 DR. MASSIE: Sorry. I was on double-mute.

6 It could help a little bit, the propensity
7 score, but the problem is it needs to be
8 prespecified. There are many choices you can make
9 in which variables to match on, and even if you do
10 that, there could be unmeasured prognostic factors,
11 so it will never attain the level of a randomized
12 comparison. And there's also the constancy issue
13 that patients in the database may have been seen a
14 long time ago, and there could be a lack of
15 comparability based on that.

16 DR. DAYNO: Thank you.

17 DR. MONTINE: Dr. Fischbeck.

18 DR. FISCHBECK: Yes. This is Dr. Fischbeck.

19 I have a couple of more substantive questions for
20 the company that maybe we can get to later, but
21 just a minor point of clarification, and maybe I
22 just missed this.

1 In the briefing document from the FDA,
2 there's some discussion of responder analysis but I
3 didn't see that in the Amylyx briefing document or
4 that have come up today, and I was wondering what
5 happened to the responder analysis. And then the
6 other is, what does the abbreviation AWC stand for?
7 It's not on the list of abbreviations, but it's in
8 the briefing document and also on one of the
9 slides.

10 DR. BURACCHIO: This is Dr. Buracchio. I'll
11 just note that I apologize for not having the AWC
12 in the abbreviations, but that just means adequate
13 and well-controlled study.

14 DR. FISCHBECK: Oh, okay.

15 DR. BURACCHIO: Okay. I think I can turn it
16 over to Amylyx to answer your other question,
17 though.

18 DR. FISCHBECK: About the responder
19 analysis?

20 DR. BURACCHIO: Yes, about the responder
21 analysis. We had it in the FDA presentation. I'm
22 not sure why Amylyx didn't include it in their

1 presentation.

2 DR. TIMMONS: Yes. Hello. This is Jamie
3 Timmons from Amylyx; happy to answer that.

4 While the responder analysis does provide
5 some additional support to the primary outcome, we
6 chose to focus our briefing document and today's
7 presentation on the confirmatory evidence, which
8 for us is the ITT overall survival data plus the
9 new three survival analyses. So it's really just a
10 decision to kind of focus our discussions and make
11 sure that that the ITT overall survival analysis
12 was fully understood, and that we had enough time
13 to really go through the methodology for each of
14 these three new analyses.

15 DR. FISCHBECK: Okay. Thanks.

16 **Open Public Hearing**

17 DR. MONTINE: That's great. Thank you both.
18 I think we'll now move on to the open public
19 hearing session.

20 Both the FDA and the public believe in a
21 transparent process for information gathering and
22 decision making. To ensure such transparency at

1 the open public hearing session of the advisory
2 committee meeting, FDA believes that it is
3 important to understand the context of an
4 individual's presentation.

5 For this reason, FDA encourages you, the
6 open public hearing speaker, at the beginning of
7 your written or oral statement to advise the
8 committee of any financial relationship that you
9 may have with the sponsor, its products, and if
10 known, its direct competitors. For example, the
11 financial information may include the sponsor's
12 payment of your travel, lodging, or other expenses
13 in connection with your participation in the
14 meeting.

15 Likewise, FDA encourages you, at the
16 beginning of your statement, to advise the
17 committee if you do not have any such financial
18 relationships. If you choose not to address this
19 issue of financial relationships at the beginning
20 of your statement, it will not preclude you from
21 speaking.

22 The FDA and this committee place great

1 importance on the open public hearing process. The
2 insights and comments provided can help the agency
3 and this committee in their consideration of the
4 issues before them.

5 That said, in many instances and for many
6 topics, there will be a variety of opinions. One
7 of our goals for today is for this open public
8 hearing be conducted in a fair and open way, where
9 every participant is listened to carefully and
10 treated with dignity, courtesy, and respect.
11 Therefore, please speak only when recognized by the
12 chairperson. Thank you for your cooperation.

13 We're now ready to proceed. Speaker
14 number 1, your audio is connected now. Will
15 speaker number 1 begin and introduce yourself?
16 Please state your name and any organization you are
17 representing for the record.

18 (No response.)

19 DR. MONTINE: Perhaps we will return.

20 (No response.)

21 DR. MONTINE: Perhaps we'll return to
22 speaker number 1.

1 Speaker number 2, your audio is now
2 connected. Will speaker number 2 begin and
3 introduce yourself? Please state your name and any
4 organization you are representing for the record.

5 DR. HEIMAN-PATTERSON: Thank you very much
6 for this opportunity to talk for a few minutes. My
7 name is Terry Heiman-Patterson, and I direct the
8 MDA/ALS Center of Hope at Temple University. I
9 participated in numerous clinical trials, including
10 the CENTAUR and PHOENIX trials with AMX0035, and
11 that is my conflict, that I have participated in
12 those trials.

13 I come to you today wearing two hats.
14 First, I am a clinician who has cared for people
15 living with ALS for more than 40 years. I remember
16 a time when all I could do was provide symptomatic
17 care, and there were no trials. However, despite
18 all the progress and many more trials, we still
19 have only two agents, one with two formulations
20 that have been approved and that have a modest
21 effect on survival and the functional change. The
22 disease remains relentless with an unchanged

1 natural history, as pointed out by Dr. Cudkowicz.

2 The second hat I wear is as a clinical
3 scientist who's actively involved in trials, as
4 well as clinical research to understand and provide
5 better care for people with ALS. In this role,
6 despite the excitement of all the ongoing trials in
7 the hope for the future, I do believe we cannot
8 have false hope or costly drugs that are not
9 effective. However, I also know that we do not
10 need to have a home run for a drug to be worth
11 approving.

12 I would like to advocate for AMX0035 as a
13 promising therapeutic agent. The CENTAUR trial
14 demonstrated decreased functional decline as
15 measured by the validated ALSFRS-R score. Further,
16 when examining the data from the entirety of the
17 study, including the open-label portions, there was
18 a clear survival benefit and an increased time to
19 the first hospitalization and tracheotomy. This
20 has already been presented.

21 The survival for people with ALS on the drug
22 for both the trial and the open-label extension was

1 anywhere between 6.9 and 10.6 months longer than
2 those on placebo first, who then went on drug, and
3 18.8 months longer than those folks who were on
4 placebo and chose not to go on open label.
5 Further, the survival has been increased over
6 historical placebo groups from other data sets.
7 This is a promising and robust result, and not a
8 trivial amount of life when we consider that the
9 average life is 34 to 36 months and quoted as 3 to
10 5 years.

11 I hope that the FDA and advisory committee
12 will consider the nature of this cruel disease and
13 the increasing amount of evidence supporting the
14 efficacy of AMX0035 that's been presented when they
15 consider the drug for approval. I am confident
16 that with wider use and real-world evidence, the
17 effect on disease will be borne out, and when I
18 wear my clinician hat, I'll be able to give pals
19 more options and promise. Thank you.

20 DR. MONTINE: Thank you.

21 Speaker number 3, your audio is now
22 connected. Will you please begin and introduce

1 yourself? Please also state your name and any
2 organization you are representing for the record.

3 DR. WOODS: Hi. William G. Woods. I
4 represent myself. I also refer you to my written
5 testimony, which was published in STAT a couple
6 weeks ago. I have been an acting pediatric
7 oncologist for 45 years.

8 The differences between the oncology center
9 and the neurosciences center at the FDA are
10 incredibly striking. I show a slide, which you've
11 never seen before, that has to do with the overall
12 survival of childhood leukemia in patients who
13 enrolled in NCI-sponsored clinical trials from 1968
14 to 2009. You can see these 10 curves, a steady
15 improvement in overall survival.

16 How did we do this? One trial, not two; not
17 a confirmatory trial, one trial randomized, gold
18 standard versus the gold standard plus an
19 additional drug or a different approach to the
20 drugs, and often that was the superior arm, and
21 that became the gold standard for the next trial,
22 and so forth. We currently have a 5-year survival

1 of 90 percent.

2 Many of you probably have children. Imagine
3 if we had to do a confirmatory trial, we would be
4 20 to 25 points lower right now than we are. I
5 know you would want the best chance for cure in
6 kids. We have top-drawer, world-class
7 biostatisticians who are sympathetic to the human
8 condition, which is the most complex organism on
9 earth.

10 How has the oncology center of the FDA done
11 what they've done? They've understood novel drugs
12 and not afraid of accelerated approval, and they
13 have approved some drugs, single arm, with a
14 3-month survival. They've used intermediate
15 endpoints, and frankly the ALSFRS-R is a great
16 intermediate endpoint.

17 Studies show that out of 82 trials listed
18 for accelerated approval in the last decade,
19 two-thirds for cancer, only one brushed on a neuro
20 disease. It was muscular dystrophy. What is wrong
21 with that picture? The overall survival for the
22 drugs that we currently have is in the range of

1 3 months. The side effects of the AMX0035 are
2 temporary diarrhea. The phase 3 trial is ongoing.
3 If it shows no benefit, take the drug off the
4 market, but in the meantime, you can help save
5 countless lives. With all due respect, the
6 neurosciences office has been incredibly slow and
7 behind the curve in using tools available to
8 increase access of drugs to patients who need them.
9 [Inaudible - audio lost].

10 (Pause.)

11 DR. MONTINE: Excuse me. I was on mute.
12 Can we please move on to speaker number 5?

13 DR. HEITZMAN: My name is Daragh Heitzman.
14 Thank you for allowing me to speak. Regarding
15 disclosures, I've given presentations for
16 pharmaceutical companies, including Amylyx, in the
17 past, but I'm speaking on my own behalf.

18 I'm a neurologist in private practice in
19 Dallas, Texas, part of a large single specialty
20 neurology group called Texas Neurology. Although
21 I've practiced general neurology, my focus is
22 neuromuscular disease; in particular ALS. I

1 founded our ALS center two decades ago, which is
2 sponsored by both the MDA and ALSA to provide care
3 to these patients and offer research opportunities.

4 Our ALS center is now one of the largest
5 clinics in the country, and research has been a
6 significant component of our ALS center. I've been
7 involved in clinical research since the early
8 1990s, participating in almost 60 trials, mostly
9 pertaining to ALS. At the moment, riluzole is the
10 only approved medication that has been shown to
11 improve survival, although nominally.

12 The disappointments and frustrations
13 experienced by the ALS community have been immense
14 regarding recurrent failed trials. I've been a
15 participant in the CENTAUR trial and currently
16 participating in the PHOENIX trial. Because the
17 window to treat and demonstrate the efficacy of an
18 experimental drug in the setting of ALS is
19 short -- related to, one, the rapid progression of
20 disease and significantly reduced life expectancy,
21 which is approximately 2 years from the time of
22 diagnosis; and two, the small numbers of patients

1 affected -- trial designs have had to adapt to take
2 into account this small window of opportunity
3 similar to oncology trial methodology.

4 One of the methods incorporated into the
5 CENTAUR analysis was the Rank Preserved Structural
6 Failure Time Model discussed in the literature,
7 which demonstrated efficacy of sodium
8 phenylbutyrate/taurursodiol. The Amylyx briefing
9 document also includes a statistical analysis from
10 ENCALS natural history of PRO-ACT databases that
11 reiterate a positive response to PB-TURSO and the
12 evidence of central neurologic effects of PB-TURSO
13 in the Alzheimer's population.

14 In summary, the CENTAUR trial was a
15 significant achievement, demonstrating that
16 PB-TURSO provided three positive outcomes: 1) a
17 significantly slower rate of decline of the ALS
18 Functional Rating Scale, over 6 months, which
19 correlates with a greater preservation of function;
20 2) a delay in first hospitalization; and 3) most
21 importantly, prolonged survival. I strongly
22 encourage, as do my ALS colleagues, that you

1 approve sodium phenylbutyrate/taurursodiol for this
2 fatal disease, ALS, and feel that the evidence
3 supporting its efficacy is strong. Time is too
4 short for these patients and families. Thank you
5 very much.

6 DR. MONTINE: Thank you.

7 We're going to go back to speaker number 4.
8 I apologize.

9 Speaker number 4, thank you for your
10 patience. You would think after 2 and a half
11 years, I'd know how to do Zooms, but I was muted,
12 begging you to please speak. So speaker number 4,
13 would you please introduce yourself? State your
14 name and any organization that you represent for
15 the record.

16 DR. MAISER: Thank you. Good afternoon. I
17 have no financial conflicts of interests. If you
18 could please show the slide that I submitted.

19 My name is Sam Maiser. I'm a neurologist
20 that specializes in ALS and palliative medicine
21 from Hennepin Healthcare in Minneapolis, Minnesota.
22 I'm an ALS researcher and the site PI for the

1 CENTAUR trial and the ongoing PHOENIX trial, but
2 primarily a bedside clinician. I'm speaking today
3 on behalf of all people affected by ALS. I'm
4 asking the FDA to approve AMX0035 for ALS patients
5 now. Please do not make us wait for the completion
6 of a phase 3 trial.

7 My testimony today will follow three themes:
8 efficacy, safety, and time. Per the FDA, a single
9 study could justify approval if the disease is
10 serious, fatal, and inadequately treated, and the
11 findings of the study were robust and substantial.
12 ALS is all of those things, and CENTAUR was
13 substantial, robust, and rigorous.

14 It was a 24-week study with 137 people,
15 multisite, and the validated primary endpoint was
16 met, showing a decline or 25 percent reduction in
17 the rate of progression of the ALSFRS-R, and the
18 open-label extension showed people were living
19 5 to 6-plus months longer; 5 to 6 months longer is
20 huge in the world of ALS. This is meaningful to
21 them on a day-to-day basis. I rely on good science
22 to provide the best care for my patients, and I'm

1 confident that these results are substantial and
2 demonstrate efficacy.

3 Let's turn to safety. Safety is very
4 important to me as a clinician and researcher, and
5 I know it's very important to the FDA. The
6 combination of agents is safe. The adverse events
7 were mild, and all of my patients tolerated them
8 well. One is a supplement available for years, and
9 the other one is already FDA approved for other
10 reasons. So given the low risk of AMX0035 and the
11 efficacy of the drug as demonstrated in CENTAUR,
12 approving AMX0035 is both scientifically and
13 clinically a supported decision. It's the right
14 decision.

15 Let's turn to time. Time is everything in
16 ALS. My patients right now do not have time to
17 wait another 2 to 3 years for the PHOENIX trial to
18 be done, analyzed, and potentially approved by the
19 FDA. Most of my patients will be dead by then.
20 The ALS community needs your help. To deny them
21 access now will mean that people with ALS will
22 continue to decline and die at a rapid rate and

1 that CENTAUR has been supported by PHOENIX, that
2 we'll have denied people living with ALS the
3 possibility of a better life for a little longer.
4 But if you allow them access now and CENTAUR is
5 proven wrong by PHOENIX, then my patients will have
6 been taking a safe drug that ended up not being
7 helpful. But if CENTAUR is supported by PHOENIX,
8 then people living with ALS right now, today, will
9 be living better for a little longer.

10 As an ALS neurologist that specializes in
11 palliative care, this is huge. The CENTAUR trial
12 has convinced me it will do this for my patients.
13 I urge you to trust the science, trust the
14 specialists, trust the patients, and please approve
15 AMX0035 now. Thank you for everything you do for
16 this country, and I'm grateful to have had this
17 time with you. Thank you.

18 DR. MONTINE: Thank you.

19 We'll move now to speaker number 6. Your
20 audio is connected. Will you please begin by
21 introducing yourself? State your name and any
22 organization you represent for the record.

1 DR. GWATHMEY: Hello. I'm Dr. Kelly
2 Gwathmey, and I am a neuromuscular neurologist and
3 the ALS clinic director at Virginia Commonwealth
4 University in Richmond, Virginia. I would like to
5 thank the FDA advisory committee for this
6 opportunity to speak in support of AMX0035 for ALS.
7 I'm the site principal investigator for the phase 3
8 PHOENIX trial and the expanded access program, in
9 which we currently have 5 patients receiving
10 AMX0035.

11 The following statement reflects my own
12 personal views, and I have received no personal
13 compensation for this testimony. I have no
14 pertinent financial disclosures, though have
15 participated in consulting for myasthenia gravis
16 pharmaceutical companies, not ALS pharmaceutical
17 companies.

18 AMX0035 has a novel mechanism of action
19 involving amelioration of endoplasmic reticulum and
20 mitochondrial stress, ultimately resulting in
21 reduced neuronal cell death. This represents a new
22 approach to the treatment of ALS. Riluzole is

1 purported to modulate glutaminergic transmission,
2 albeit it likely has a more complex effect, and
3 edaravone likely reduces oxidative stress. AMX0035
4 is distinctly positioned to target several
5 suspected pathogenic disease mechanisms from a
6 unique and likely synergistic angle.

7 As we move closer to a cocktail approach to
8 managing this disease, it is becoming increasingly
9 clear that utilizing a single pharmaceutical with a
10 sole drug target is an insufficient and ineffective
11 approach. As a clinician with approximately
12 175 ALS patients, one of the largest cohorts in my
13 state, I find the evidence for survival benefit to
14 be particularly compelling. AMX0035 conferred a
15 9.7 to 11-month survival benefit in CENTAUR when
16 methods accounting for crossover from placebo to
17 AMX0035 were utilized.

18 Compared to riluzole trial data, suggesting
19 a survival benefit of 2 to 3 months, albeit, it
20 could be as long as 6 to 19 months based on
21 real-world data, and edaravone for which we do not
22 have robust survival data, AMX0035 results from

1 CENTAUR are extremely promising and clinically
2 meaningful. As with any new pharmaceutical,
3 providers must weigh the potential risk and
4 benefits of the drug to the patient while also
5 considering other therapeutic options.

6 Considering that VCU is a site for the
7 expanded access program with 5 patients currently
8 on AMX0035, I can speak to the drug's tolerability,
9 as well as ease of administration. In keeping with
10 phase 2 data, some patients have had mild
11 gastrointestinal side effects, but the majority
12 tolerate AMX0035 very well. This is a small price
13 to pay for a drug that can slow progression of
14 disease, extend life, and lower the risk of
15 permanent ventilation and hospitalization. AMX0035
16 should be integrated into our current ALS treatment
17 paradigm. Thank you very much for your time and
18 attention.

19 DR. MONTINE: Thank you.

20 Speaker number 7, your audio is now
21 connected. Will you please begin by introducing
22 yourself? State your name and any organization you

1 represent for the record.

2 DR. WYMER: Yes. My name is James Wymer,
3 and I am the chief of neuromuscular disease and a
4 professor of neurology at the University of Florida
5 in Gainesville, Florida. I am the director of the
6 ALS Multidisciplinary Clinic and the Gainesville VA
7 multidisciplinary ALS clinic, where we follow,
8 between both institutions, 250 to about
9 300 patients with ALS.

10 I have been a site principal investigator in
11 both the CENTAUR as well as the PHOENIX study, and
12 I am also involved in the expanded access as well
13 as the compassionate use program. I have received
14 some funding for the research, but otherwise have
15 not received any compensation for my time, and I am
16 not on the Amylyx Speakers Bureau or any other
17 compensation have I received from them.

18 I would like to start by thanking you for
19 letting me speak in regards and in favor to the
20 AMX0035, but what I want to talk about is -- well,
21 two parts; one about the disease, as well as the
22 second section where I focus on the science and

1 what we see. Rather than talking about the disease
2 and how devastating it is with a survival of about
3 two years, I would like to emphasize some of what
4 others have emphasized, and that is the amazing
5 spirit we see in our ALS patients.

6 We see these patients a year or 18 months
7 into their symptoms, where they have just a few
8 more years to live, and during this time, we
9 witness their gradual decline. We see patients
10 that are just losing motor function in front of our
11 eyes, but they still have this fight to do
12 everything they can to fight the disease. They are
13 there with us. They are trying to improve quality
14 of life as much as they can, and they see us as
15 clinicians not because they want a drug to cure
16 them; they want us to get in there and to help them
17 so they can continue to live quality of life and
18 live longer.

19 They see me for both pharmacologic and
20 non-pharmacologic interventions to try and improve
21 their function. Any medication that has the
22 potential to increase the survival for 5 to

1 10 months is something that will have a profound
2 impact on these patients, and based on AMX0035, it
3 has the potential by delaying this devastating
4 disease and providing quality of life.

5 As a researcher, I am always interested in
6 medications that are based on sound science, and we
7 have had years of medications that worked in cell
8 and animal models but failed in clinical trials.
9 With the introduction of AMX0035, as was mentioned,
10 we have a combination therapy that is working on
11 multiple pathways rather than one single pathway.
12 You have the PEGASUS data that shows it is having
13 an impact on biomarkers, and then the CENTAUR data
14 with clinical data to show it slowed decline and
15 prolonged survival.

16 AMX0035 --

17 DR. MONTINE: Excuse me, speaker 7. We
18 appreciate your comments, but your time has
19 elapsed. Would you please conclude?

20 DR. WYMER: Okay. Yes, that's where I was.
21 Thank you.

22 DR. MONTINE: Sure.

1 DR. WYMER: AMX0035 clearly has impact on
2 disease, is well tolerated, and delaying approval
3 will limit its use to a generation of patients,
4 limiting their quality of life. Thank you.

5 DR. MONTINE: Thank you.

6 Speaker number 8, your audio is now
7 connected. Will you begin and introduce yourself?
8 Please state your name and any organization you
9 represent for the record.

10 MR. BURGHARD: My name is Vance Burghard. I
11 was diagnosed with ALS in December of 2017. I've
12 been a participant in the CENTAUR clinical trial,
13 2018. I am not being compensated in any way for my
14 testimony.

15 At the time of my diagnosis in 2017, I was
16 experiencing extreme weakness in my arms, as well
17 as my hand-grip strength. Dressing was extremely
18 difficult. I needed assistance to pull up my
19 pants. Zipping them required help or assisted
20 tools. I could not get my arms up high enough to
21 put a T-shirt on by myself. Walking had become
22 extremely difficult. I had required a wheelchair

1 to get to my appointment throughout the Mayo
2 Clinic. I was fitted at that time for a brace to
3 help address my foot drop. I had to stop working
4 at my store, as I no longer had the strength or
5 stamina to stock shelves or help customers.

6 Upon my return home, I was put on
7 prescriptions of riluzole and Radicava. I was
8 asked by my neurologist at Oregon Health and
9 Science University if I'd be interested in
10 participating in a clinical trial for AMX0035,
11 which I began in March of 2018. My first strength
12 test found my grip strength in my hands to be
13 18 pounds and leg strength extremely low.

14 I began to notice a change in strength and
15 mobility by June of 2018. My wife and I began to
16 travel again, and I no longer needed a wheelchair
17 to get around airports, although I was still using
18 a brace. By the end of the year, in 2018, I was
19 again able to work, overseeing the daily
20 operation -- [inaudible - audio break].

21 This drug has greatly improved my quality of
22 life and that of my wife, children, and

1 grandchildren. I walked many miles during Europe,
2 China, and Tibet. Three years ago, I would never
3 have thought this to be possible. My health and
4 strength seem to have stabilized. At my last ALS
5 clinic, my neurologist stated there has been no
6 change in my condition for the last three years.

7 AMX0035 for me has been a life-changing
8 drug, and I ask that you quickly [inaudible] -- for
9 the treatment of ALS so that others affected with
10 this disease can benefit from it. Thank you.

11 DR. MONTINE: Thank you.

12 Speaker number 9, your audio is now
13 connected. Will you begin by introducing yourself?
14 And please state your name and any organization you
15 are representing for the record.

16 (No response.)

17 DR. MONTINE: There you are.

18 Pardon me, speaker number 9?

19 DR. PATTEE: Can you hear me?

20 DR. MONTINE: I can. Thank you.

21 DR. PATTEE: Once again, I currently serve
22 as the MDA medical director for the adult

1 neuromuscular clinic at the University of Nebraska
2 and have been involved in clinical ALS trial
3 research for over 30 years. Our research site was
4 initially involved in the very early development of
5 dexpramipexole, identifying its potentially
6 beneficial effect in ALS. Throughout my career,
7 very few investigational drugs have shown promise
8 for ALS patients, and work with and experience with
9 AMX0035, whose drug compound targets both the
10 endoplasmic reticulum and mitochondria, suggests
11 that this may be one of those drugs.

12 Our site has been involved in several ALS
13 studies, including the Amylyx CENTAUR trial, the
14 open-label extension trial, and recently the
15 ongoing PHOENIX trial. We also have many patients
16 in the expanded access Amylyx program, all of which
17 have tolerated the medication well without
18 significant side effects reported, and have
19 remained committed to this drug trial.

20 The CENTAUR study results did reveal a
21 reduced rate of decline of the ALSFRS-R over
22 6 months, with a preliminary projected analysis

1 suggesting prolonged survival, which is very
2 compelling and which is rarely reported with this
3 disease process. The hypothesis that both the
4 endoplasmic reticulum and mitochondria play a
5 critical role in the pathogenesis of ALS has also
6 been well established in ALS literature.

7 The rationale for ALS treatments to date has
8 focused on a combination therapy approach. We
9 currently have FDA approval of riluzole and
10 Radicava, which presumably targets the cytotoxic
11 and anti-inflammatory pathogenic mechanisms, to the
12 addition of another therapeutic agent possessing a
13 dual mechanism of disease progression both
14 pharmacologically and clinically. This would only
15 complement the combination therapy approach even
16 further.

17 Given the positive data from the CENTAUR
18 study, determination of early approval for AMX0035
19 should be strongly considered. The clinical
20 rationale of providing the earliest medical
21 treatments at the earliest stages of ALS may
22 improve the efficacy of these drugs through their

1 use and their modification on the influence of the
2 disease progression, and approval of this drug
3 until ongoing confirmatory study results have been
4 completed appears to be clinically appropriate.
5 Now, should these studies establish continued
6 efficacy, the FDA has provided a potentially life-
7 changing therapeutic option within this interim
8 time frame.

9 In conclusion, it would therefore be my
10 clinical recommendation that this drug should be
11 considered for FDA approval at this time. By
12 integrating AMX0035 as an option for inclusion in
13 the current disease treatment regimens, this may
14 lead to a significantly positive overall impact on
15 the patients we care for, including the entire ALS
16 community which struggles daily to cope with the
17 effects of this devastating disease. I wish to
18 thank you for your time and consideration.

19 DR. MONTINE: Thank you very much.

20 Speaker number 10, your audio is now
21 connected. Will speaker number 10 begin and
22 introduce yourself? Please state your name and any

1 organization you represent for the record.

2 MR. CANTER: Thank you. My name is Gregory
3 Canter, and I have no affiliation with Amylyx.
4 Back in 2014, many of us participated in the ALS
5 Ice Bucket Challenge. Probably many of you
6 listening to this meeting participated. I
7 certainly did with my family. At the time, it was
8 a game to me because it didn't affect me. I didn't
9 have ALS. I didn't know anybody who had ALS;
10 therefore, it didn't make sense to me. At the
11 time, I didn't understand the impact that ALS plays
12 on a single person, nor their family. Four years
13 later, it all hit home when I was diagnosed.

14 I'm going to get straight to the point. I
15 want the FDA to approve Amylyx. I'm not saying you
16 should approve it because I think or I hope it
17 works; I'm asking you to approve it because I know
18 it works. It is extending my life, and I want that
19 for others.

20 In January 2019, I entered the Amylyx trial.
21 It was a 6-month trial that ended June 2019. I
22 entered the trial with a 60 percent FVC or

1 respiratory capacity. At the end of the 6-month
2 placebo-controlled phase, I had dropped all the way
3 down to 44 percent. While I do not know for
4 certain, I believe I was on the placebo arm. I say
5 that because of what happened next.

6 In July 2019, I entered the open-label
7 extension, and here I am; 3 years and 2 months
8 later I am still alive, living independently, and
9 my disease progression has significantly decreased.
10 Some examples include I'm not terribly short of
11 breath; my oxygen level is good, roughly 95 to
12 96 percent; I'm not close to that 25 to 30 percent
13 range of going into respiratory failure; and the
14 rate of my functional decline before Amylyx has
15 slowed considerably since being on the drug.
16 That's significant effectiveness, and Amylyx and
17 its ingredients, Turso and sodium phenylbutyrate,
18 have already showed themselves safe.

19 I look at ALS like this. I'm in a house
20 with a basement and a ground floor, separated by a
21 flight of stairs. ALS is the basement. Amylyx can
22 start us up the stairs. They won't take us to the

1 top alone, but each step up is important and going
2 in the right direction, and that's what we need.
3 Without Amylyx, we're all still stuck in the
4 basement. Thank you.

5 DR. MONTINE: Thank you.

6 Speaker 11, your audio is now connected.
7 Will you please introduce yourself? State your
8 name and any organization you represent for the
9 record.

10 MS. PETERSEN: I would like to thank the FDA
11 and the advisory committee for giving me the
12 opportunity to share my perspective. I have
13 consulted for several pharma companies and the ALS,
14 including Amylyx, but I am not being compensated in
15 any way by Amylyx for this testimony.

16 My name is Gwen Petersen. I testified
17 before you in March as a person living with ALS. I
18 shared with you that I was diagnosed at 32, no
19 family history, no genetic mutations found. I told
20 you that if I can get ALS, anyone can get ALS. One
21 thing about me is different now. I decided to try
22 AMX0035 after the March meeting. At that meeting,

1 I was persuaded by the extended rate of survival,
2 slower disease progression, and the safety.
3 profile.

4 I want to help you understand why I made my
5 informed decision to go into the AMX EAP. I let
6 the science lead: one, other ALS drugs have less
7 data; two, the safety profile is good. I know all
8 too well what side effects are like. I know what
9 pain is. I've had 10 lumbar punctures as part of
10 another study for ALS. The benefit-to-risk ratio
11 for AMX for me is far more benefit than risk.
12 While I've been on AMX for too short of a time to
13 measure disease progression, I've had no side
14 effects at all, not even diarrhea like some have
15 reported.

16 Furthermore, this is the most
17 low-maintenance, experimental therapy I've been on.
18 We're talking about a drug, and it doesn't impact
19 when I can have my morning coffee and eat my
20 breakfast, unlike other oral drugs for ALS. I
21 would love for AMX0035 to be a permanent fixture in
22 my medication regimen, and with the FDA's

1 help -- this is really important -- grow the ALS
2 cocktail to further slow down disease progression.

3 Thank you.

4 DR. MONTINE: Thank you.

5 Speaker number 12, your audio is now
6 connected. Will you please introduce yourself,
7 stating your name for the record and any
8 organization you represent?

9 DR. LADHA: Thank you for allowing me to
10 speak today. My name is Shafeeq Ladha, and I
11 direct the Gregory Fulton ALS Center at Barrow
12 Neurologic Institute, where I not only care for a
13 large number of ALS patients but I also conduct
14 most of our clinical ALS studies. I would like to
15 comment on the AMX0035 FDA application, and I'm
16 doing so on my own behalf.

17 As a disclosure, I have served on advisory
18 boards for Amylyx and have been an investigator for
19 their clinical trials, but otherwise I have no
20 financial interest in the outcome of the advisory
21 committee's decision.

22 As someone who has spent most of his career

1 taking care of persons with ALS, I continue to feel
2 the emotional toll of what the disease does to
3 people and families. As one of the cruelest
4 diseases, I don't need to convince anyone that
5 there is still an urgent and unmet need for ALS
6 treatments. AMX0035 has the potential to add to
7 our ALS armamentarium. I'd like to briefly outline
8 why I've come to this conclusion.

9 First, despite the approval of riluzole and
10 edaravone, there is still clearly an unmet need.
11 For those on the committee not involved with ALS
12 care, you would be touched and motivated by the
13 length persons with ALS are willing to go for the
14 hope of treatments.

15 Is it truly better not to approve AMX0035
16 because of what I see as fairly minor criticisms in
17 the clinical development program, and instead have
18 persons with ALS traveling to other countries for
19 completely unproven, costly, and risky treatments?
20 This is exactly what is happening, and will
21 continue to happen if we cannot provide them with
22 more therapies.

1 Next, I think the analyses performed by the
2 applicant are actually quite convincing. While the
3 FDA comments rightly conclude that the new analyses
4 are not independent assessments of the drug's
5 effectiveness, I find it compelling that the data
6 set analyzed in multiple ways actually reached the
7 same conclusion. To me, that reinforces strongly
8 the possibility that there is a true effect.

9 Finally, I feel that we are unlikely to find
10 drugs in the near future that are highly effective
11 on their own. Cocktails of drugs that target
12 different disease, causing mechanisms to
13 cumulatively have a robust effect of slowing
14 disease progression, is the best approach
15 currently.

16 Isn't it just as effective to use five drugs
17 that each slow the disease by 10 percent as it is
18 to use one drug that slows it by 50 percent? And
19 it is much more likely that we will find the five
20 drugs before we find the one with the dramatic
21 effect. Exercising regulatory flexibility to
22 approve drugs with perhaps smaller effects will

1 more easily allow us to add agents to this drug
2 cocktail to give persons with ALS more hope and
3 quality days to their lives.

4 I realize that this committee and the FDA
5 cannot be swayed simply by emotion and public
6 sentiment, but in this case I believe that there is
7 enough positive data in the AMX0035 trial program,
8 that when combined with an understanding of the
9 journey a person with ALS must endure, and a little
10 compassion, approval of this drug is warranted. I
11 respectfully thank you for your time and attention.

12 DR. MONTINE: Thank you.

13 Speaker 13, your audio is now connected.
14 Will you please begin by introducing yourself?
15 State your name for the record and any organization
16 you are representing.

17 MR. KOWALSKI: My name is Steve Kowalski,
18 and I have no conflict of interest to disclose, and
19 I'm not representing an organization. I am
20 58 years old, and I was diagnosed with sporadic ALS
21 in 2017. Since 2017, I have seen an increase in
22 funding for ALS research. Conversely, what I don't

1 see is the same progress in ALS drug development
2 coming to market. Simply put, our medical needs
3 are not being met.

4 The FDA 2019 ALS guidance continues to be
5 tested with this submission of AMX0035,
6 particularly in exercising regulatory flexibility.
7 AMX0035 shows benefit with retention of function
8 and increase in survival. It is safe and well
9 tolerated with minimal side effects. Based on this
10 data and under the care of my neurologist, I
11 decided to compound this treatment myself. I can
12 report the same safety and tolerance results.

13 Considering the new information submitted
14 and the information presented back in March, is the
15 evidence of effectiveness sufficient to support
16 approval given the unmet need and seriousness of
17 ALS? I say it is. AMX0035 met its primary
18 endpoint in trial. Additional data provided by the
19 sponsor shows an extension of life, and it shows a
20 slowing in the decline of function.

21 Last time I came before you in March, I
22 asked you to consider the human value, h-value,

1 along with the p-value shown in clinical trials.
2 Any additional time with loved ones or maintaining
3 physical function has measurable value on the
4 quality of life and self-independence. More time
5 and function is valuable to every human being. We
6 know what ALS looks like. I live every day with
7 its devastating physical effects.

8 Once again, I'll share my perspective on
9 what ALS feels like. To me, ALS feels like I'm
10 being buried alive. For some, it's slow; others,
11 very quick. Either way it ends in the same exact
12 way, with one final breath. We cannot wait years
13 for the PHOENIX trial when people with ALS are
14 looking at a treatment that is safe and effective
15 today. If we wait, many who are living with ALS
16 will no longer be with us.

17 I want to take a moment to honor those ALS
18 patients who passed during their participation in
19 the CENTAUR trial and those that have passed since
20 March who advocated alongside me in this effort.
21 Their sacrifice is heroic. I will continue to
22 advocate for accessibility of treatments in honor

1 of them and with every breath I have.

2 In a world increasingly defined by wins and
3 losses, regulatory flexibility versus substantial
4 evidence of effectiveness is a complex judgment
5 analysis. If there's true flexibility in the FDA
6 regulatory approval process, the time is now to
7 recommend the approval of Amylyx 35 as an example
8 that it's just not possible, but in fact an ongoing
9 practice. Thank you for your time.

10 DR. MONTINE: Thank you.

11 Speaker 14, your audio is now connected.
12 Will you begin by introducing yourself? State your
13 name and any organization you represent for the
14 record.

15 MR. KAUFFMAN: Good afternoon. My name is
16 Scott Kauffman, and I'm the volunteer chair of the
17 ALS Association Board of Trustees, and I have no
18 personal conflicts of interest to disclose. The
19 ALS Association was an early grant funder of
20 AMX0035, and those grants included a standard
21 payback provision capped at 150 percent of our
22 grant. Any funds received as part of this

1 provision will be used to fund new research to find
2 treatments and cures for ALS. We also fund the
3 PRO-ACT database.

4 My son Steven was diagnosed with ALS
5 10 years ago when he was just 27, and as a parent,
6 I can assure you that it's the worst possible
7 diagnosis you can hear about your child. Some of
8 you have already heard my story. It's the same one
9 I told the FDA in 2021 at the We Can't Wait Action
10 Meeting, calling for quick action on AMX0035. It's
11 the same one I told this committee in March. The
12 only thing that's changed since then is the number
13 of months lost waiting for access to a drug we know
14 to be safe and effective.

15 The new data submitted by the sponsor and
16 the urgent need for new tools in the ALS toolkit
17 show that there's plenty of evidence to say AMX0035
18 is a viable treatment for people living with ALS
19 today. The association makes our recommendation
20 based on important considerations of safety and
21 clinical benefits.

22 First, results from the phase 2 trial

1 clearly show that AMX0035 is safe and effective for
2 people living with ALS. Second, after just
3 6 months of treatment, AMX0035 significantly slowed
4 ALS functional progression by 25 percent according
5 to the rating scale used by physicians and
6 researchers. Data also showed that the earlier you
7 got started on AMX0035, the better the outcomes
8 were on survival. And third, new data analyses and
9 two separate publications have shown AMX0035 can
10 have substantial effects on the long-term survival
11 of those living with ALS, an increase of 10 months
12 over those who received the placebo, and the
13 complications associated with ALS were reduced by
14 half. AMX0035 represents a meaningful step forward
15 in progress. Ten months is a long time for someone
16 living with ALS.

17 Now, I'm going to skip the rest of my
18 remarks and speak directly to what we've heard here
19 today, which has been remarkable. We heard the FDA
20 indicate support for regulatory flexibility in this
21 case, particularly if the sponsor would agree to
22 remove the product if the PHOENIX trial is not

1 successful, and we immediately heard the sponsor
2 agree to remove the drug from the market in that
3 case. This is exactly the sort of creative problem
4 solving we need from pharma and the FDA if we're
5 going to find treatments and cures to this horrific
6 disease. So I want to thank both the FDA and
7 Amylyx for their flexibility, their creativity, and
8 their commitment to finding solutions.

9 I strongly urge you to recognize the great
10 unmet need in this space and the willingness of the
11 FDA and Amylyx to be flexible. People living with
12 ALS don't have time to spare. Please, make the
13 right decision and determine there is sufficient
14 evidence about the safety and efficacy of AMX0035
15 to make it a treatment option for people living
16 with ALS today. Thank you.

17 DR. MONTINE: Thank you.

18 Speaker number 15, your audio is now
19 connected. Will speaker 15 please introduce
20 yourself? Please state your name and any
21 organization you represent for the record.

22 MS. THOMPSON: Good afternoon. My name is

1 Christa Thompson. For the record, I am not being
2 compensated in any way. I'm not affiliated with
3 any organization, nor do I have any conflicts of
4 interest. My husband Olin was diagnosed with
5 sporadic ALS in 2018 at 47 years old. He was in
6 the CENTAUR trial at Mass General Hospital, and
7 then began taking AMX0035 through the company's
8 compassionate extended-use program. I'm here to
9 testify that this treatment slowed Olin's
10 progression and gave us at least 10 more months
11 with him.

12 I want you to know that AMX0035 prolongs
13 life and increases quality of life. Olin took it
14 with no side effects for over 2 and a half years.
15 While it was devastating to watch my love and the
16 father of our three sons lose function week after
17 week, he kept his smile, his ability to use his
18 communications device, and the ability to enjoy our
19 family until his death on July 18th. He was also
20 able to stay in the extended-use study. ALS kills
21 quickly, so we must have treatments that keep
22 people functioning so that they can participate in

1 future clinical trials. Extending life and slowing
2 progression means more moments with each other.

3 When I testified in March, we were talking
4 about getting 6 and a half more months; now we are
5 talking about getting at least 10 more months.
6 What does 10 more months of function mean to our
7 family? Well, it means that Olin was able to go
8 out to dinner a week before he died and enjoying
9 ice cream with his sons. It means Olin never lost
10 his sweet smile or his love for vanilla ice cream.
11 In the past 10 months, Olin got to see our oldest
12 finish his first year of college.

13 Over the past 10 months, Olin enjoyed
14 creating a fish tank for us to have after he was
15 gone. I say good morning and good night to our
16 fish every day. Towards the end of his life, Olin
17 asked that we read him his favorite book. We all
18 took turns reading to him. The night before he
19 died, my 12 year old sat by Olin's bedside and read
20 aloud to his dad. Ten months ago, I don't think my
21 then 11 year old could have done that.

22 If you hear nothing from me today, please

1 hear this. Those ten additional months mean that
2 Olin's youngest son knows that he read to and
3 comforted his dad in his final hours. It means we
4 get to share the fish tank, the smiles, and an ice
5 cream. AMX0035 helped us keep our irreplaceable
6 Olin for at least 10 more months. We got more
7 moments and more time to be a family of five.
8 Please do not rob other families of those 10
9 months. Address the unmet need for ALS treatments
10 and recommend AMX0035 for full approval by the FDA,
11 because when you only have memories left, 10 more
12 months of making memories means everything. Thank
13 you so much for your service and for the
14 opportunity to speak today.

15 DR. MONTINE: Thank you.

16 Speaker number 16, your audio is now
17 connected. Will you begin by introducing yourself?
18 Please state your name and any organization you
19 represent for the record.

20 MS. BACKMAN: Good afternoon. My name is
21 Andrea Pauls Backman. I'm the CEO of the Les
22 Turner ALS Foundation. My only disclosure is that

1 the Les Turner ALS Foundation received less than
2 2 percent of all annual revenues from
3 pharmaceutical companies, including Amylyx
4 Pharmaceuticals.

5 Since 1977, it has been our mission to
6 advance scientific research for the prevention,
7 treatment, and cure of ALS, and to provide the most
8 comprehensive care and support to people living
9 with ALS and their families so they can confidently
10 navigate this disease. I want to add our
11 perspective on what access to AMX0035 would mean to
12 the people we serve.

13 Meet our support services team. We take an
14 individualized approach to ensure each person
15 living with this disease receives the very best
16 quality of care. We visit them in their homes, we
17 meet with them as clinic, and we check in by phone
18 and video. We treat each person we serve like
19 family, and every year we lose about one-third of
20 them to this terrible disease. Let me introduce
21 you to a few of the people we've lost since the
22 last advisory committee in March.

1 Mary Ann Batterman-Daeschler was a mother of
2 four, step-mother of five, and a grandmother of 19.
3 When we talk about a treatment that can add 10 to
4 18 months to a person's life, we're also talking
5 about more time that 19 children could have with a
6 grandmother they loved. Some will go through life
7 with clear memories of their Nana; some will only
8 know the pain of absence. All of them will feel
9 that loss for decades to come.

10 Michael Snedden loved cooking for big crowds
11 because it was a way to bring friends and family
12 together and show his love for them. His epic
13 tailgates became a successful catering business
14 because he enjoyed providing delicious food for the
15 most important event in people's lives. ALS robbed
16 him of the ability to cook for others, and it
17 eventually took away his own ability to eat. A
18 treatment that could have slowed his decline of
19 function by as much as 25 percent would have meant
20 the world to him and to his loved ones.

21 This is Kathleen Friend. Like Mary Ann and
22 Michael, she is survived by a large and loving

1 family. She loved Christmas, puzzles, and music.
2 In every way, Kathy was larger than life, but ALS
3 confined her within her body. Before she died, she
4 told her family that when she dreamed, she was
5 running free. Today should have been her 73rd
6 birthday.

7 There is an urgent and unmet need for safe
8 and effective therapies for ALS. On average,
9 people live 2 to 5 years following an ALS
10 diagnosis. Imagine what 10 to 18 more months would
11 mean to them. Imagine what it would mean to slow
12 the loss of their dignity and independence by as
13 much as 25 percent, and spend that much more time
14 sharing the memories and experiences that make life
15 worth living.

16 On behalf of the people we serve, on behalf
17 of the people we've lost to this disease and the
18 loved ones they've left behind, we urge the FDA
19 advisory committee to recommend full approval of
20 AMX0035. We have no time to waste. Thank you.

21 DR. MONTINE: Thank you.

22 Speaker number 17, your audio is now

1 connected. Please introduce yourself, stating your
2 name and any organization you represent for the
3 record.

4 DR. ANDREWS: My name is Dr. Jinsy Andrews,
5 and I'm the director of neuromuscular clinical
6 trials at Columbia University. I've been caring
7 for people living with ALS and conducting clinical
8 trials in ALS for over 15 years. I serve as the
9 current co-chair of the Northeast ALS Clinical
10 Trial Consortium and a volunteer trustee for the
11 ALS Association, and work part-time at the James J.
12 Peters VA hospital.

13 My comments today reflect my own personal
14 views and not of the organizations that I'm
15 affiliated with. I have consulted with several
16 sponsors developing drugs for ALS, including
17 Amylyx, although I was not an investigator for the
18 CENTAUR clinical trial. I am an investigator for
19 the PHOENIX trial that's ongoing and involved in
20 the expanded access program. I do not have equity
21 in Amylyx, and I'm not compensated for my
22 participation today.

1 As an ALS specialist in the field, I wanted
2 to provide the strength and context of the data
3 from the CENTAUR trial. As you heard, the CENTAUR
4 study was an adequately designed, randomized,
5 placebo-controlled trial. It was designed in
6 collaboration with experts in the field and people
7 living with ALS, using methods commonly employed in
8 ALS clinical trials and accepted by the ALS
9 community. The study was conducted at top ALS
10 clinical trial centers across the U.S.

11 I won't belabor the outcome measure of ALS
12 Functional Rating Scale and survival. As you hear,
13 it's very deeply meaningful from not only a
14 clinical perspective, but from the ALS community
15 perspective. But it's important to note that these
16 benefits were noted on top of standard of care, and
17 although this is certainly not a cure for ALS,
18 people living with ALS have shared numerous times
19 that anything that is safe and has potential to
20 preserve function and extend survival is valuable
21 and meaningful to them.

22 It's also important to note that although

1 CENTAUR was not powered on secondary endpoints, it
2 showed a strong trend toward protecting pulmonary
3 function, which is a predictor of survival in ALS,
4 and had a statistically significant impact on upper
5 extremity strength, in addition to having an effect
6 on a patient-reported outcome called the ALS
7 Assessment Questionnaire. Just to provide context
8 here, prior to CENTAUR, we have not seen a phase 2
9 clinical trial hit on its prespecified outcome
10 measure of the ALS Functional Rating Scale and show
11 consistency in survival, other measures of
12 function, and a patient-reported outcome.

13 I would also like to note that people newly
14 diagnosed with ALS in the U.S. will not have a
15 chance currently to participate in the clinical
16 trial, as enrollment is closed and the EAP has very
17 limited slots and may not be accessible to the
18 thousands with ALS that are not clinical trial
19 eligible today.

20 Making decisions about potential treatments
21 for serious life-threatening diseases like ALS is
22 never easy, and I'm very grateful to our colleagues

1 on the panel for deliberating this and the agency
2 for having a second discussion. Waiting two to
3 three years for results of a second study is
4 essentially a death sentence for people living with
5 ALS, and as a clinician, there's no debate about
6 the safety of AMX. I think the worst case scenario
7 was presented earlier, which is rejecting or
8 delaying the marketing of an effective therapy;
9 that should PHOENIX be positive, we would have
10 delayed access to a treatment that would have made
11 living longer and better for people living with ALS
12 today. The agency has acknowledged flexibility in
13 their approval process, and I urge them to use it
14 in the case of AMX0035. Thank you.

15 DR. MONTINE: Thank you.

16 Speaker 18, your audio is now connected.
17 Will you please begin by introducing yourself,
18 stating your name and any organization you
19 represent for the record?

20 MR. MELMEYER: Thank you for the opportunity
21 to speak here today. I am Paul Melmeyer, vice
22 president of public policy and advocacy at the

1 Muscular Dystrophy Association. MDA serves all
2 individuals with neuromuscular diseases, including
3 ALS, in a variety of ways, including advocating for
4 the accelerated development of more and better
5 therapies for the neuromuscular disease patient
6 population. I have no financial relationships to
7 mention.

8 As stated previously, the Muscular Dystrophy
9 Association does not participate in products for a
10 specific advocacy [indiscernible], and thus will
11 not make a specific recommendation on this drug.
12 Instead, I will reiterate the flexible regulatory
13 approach we expect the FDA and this advisory
14 committee to utilize when considering this and all
15 rare neuromuscular disease therapies.

16 FDA has continued to emphasize it has taken
17 a flexible regulatory approach with previous ALS
18 therapeutic reviews, and indeed, reconvening this
19 committee to consider the new analysis plus
20 descriptions of the agency's ability to withdraw
21 judicially approved therapies from the market if
22 the therapy does not show efficacy, both show the

1 agency's willingness in part from tradition.

2 We further encourage this committee to
3 remember the following three key points when
4 evaluating this and all other neuromuscular
5 therapies. First, we encourage FDA and the
6 advisory committee to not only consider the use of
7 one adequate and well-controlled clinical
8 investigation plus confirmatory evidence to prove
9 substantial evidence of effectiveness, but to do so
10 flexibly, within serious, life-threatening,
11 neuromuscular diseases.

12 As outlined in its December 2019 guidance,
13 FDA states that the agency, quote, "will consider a
14 number of factors when determining whether reliance
15 on a single adequate and well-controlled clinical
16 investigation, plus confirmatory evidence, is
17 appropriate, including the seriousness of the
18 disease, particularly when there is an unmet
19 medical need, the size of the patient population,
20 and whether it is ethical and practicable to
21 conduct more than one adequate and well-controlled
22 clinical investigation," end quote.

1 Second, we again remind the FDA and the
2 advisory committee of flexibilities outlined in the
3 ALS Developing Drugs for Treatment Guidance,
4 including that the, quote, "FDA will consider
5 patient tolerance for risk in the serious and
6 life-threatening nature of the condition in the
7 context of statutory requirements for safety and
8 efficacy," end quote; and, quote, "FDA has long
9 stressed the appropriateness of exercising
10 regulatory flexibility in applying the statutory
11 standards to drugs for serious diseases with unmet
12 medical needs while preserving appropriate
13 assurances of safety and effectiveness," end quote.

14 Finally, the FDA has a well established
15 record of approving treatments for serious and
16 life-threatening rare diseases without the
17 traditional level of proof of effectiveness
18 required in more common or less serious diseases.
19 Analyses have shown that at least two-thirds of
20 rare disease drugs are approved by the agency's
21 flexibly considering whether the effectiveness
22 evidence is adequate. These flexibilities have

1 been reiterated by FDASIA, FDARA, and consistently
2 supported by patients, their loved ones, the
3 organizations that serve them, their clinicians,
4 and their elected officials.

5 Developing treatments for rare neuromuscular
6 diseases presents unique challenges and must be
7 addressed with the previous mentioned
8 flexibilities. Today we again ask the FDA
9 reviewers and this advisory committee to remember
10 these flexible approaches already put forward by
11 the agency when evaluating this and all new
12 potential treatments for ALS and rare neuromuscular
13 diseases. Thank you.

14 DR. MONTINE: Thank you.

15 Just for those of you that are watching the
16 agenda, we have six more presenters. S we're going
17 to go for about another 20 minutes in the open
18 session, so we'll be delaying the break.

19 Speaker number 19, your audio is now
20 connected. Will you please introduce yourself,
21 stating your name and any organization you
22 represent?

1 DR. BEDLACK: Hello, everyone. My name is
2 Richard Bedlack. I'm a professor of neurology and
3 the director of the ALS clinic at Duke University
4 in Durham, North Carolina. I'm also a consultant
5 and a disease state speaker for various companies,
6 including Amylyx, but I'm not being paid for my
7 testimony today.

8 To start with, I want to thank the members
9 of this advisory committee and also the FDA for
10 their service. I understand that drug approvals
11 are difficult. They require a balancing act
12 between humanitarian need, science, and politics.
13 I especially want to thank the four advisory
14 committee members who felt, as I did, that the sum
15 of this equation favored the approval of AMX0035 in
16 March of this year. I trust that the new
17 information the four of you have seen since then
18 will only strengthen your original conviction, as
19 it has my own.

20 For the six advisory committee members who
21 voted no last time, I ask only one favor of you
22 today. On behalf of the entire ALS community,

1 before you vote again, please read the editorial by
2 Drs. Merit Cudkowicz and Jeremy Shefner, which was
3 published in the Annals of Neurology in April of
4 this year. In my opinion, these are the two most
5 revered ALS trialists in the world, and they
6 thoroughly debunked almost every scientific
7 criticism this committee raised last time.

8 That really should be enough to change your
9 minds, but in case it isn't, there is now an
10 impressive new responder analysis showing more than
11 twice as many patients on AMX0035 having slower
12 than baseline progression during the study compared
13 to those on placebo, and there are new survival
14 analyses, suggesting benefits even larger and more
15 clinically meaningful than what we saw last time.
16 All that should be more than enough.

17 My final comments are directed to the FDA
18 itself. Whatever this advisory committee decides,
19 you, of course, will have the final say in whether
20 patients with this horrific condition can try this
21 promising new treatment. The fact that you're
22 taking this unusual step of reconvening this

1 committee today tells me you're trying to be
2 extremely cautious in your decision, and I suspect
3 that has a lot to do with politics, the fallout of
4 your approval of Aduhelm last summer.

5 I'm not an Alzheimer's expert, so I don't
6 know whether that approval was right or wrong. But
7 even if the latter, please remember, two wrongs
8 don't make a right, and in your difficult job,
9 there's always going to be a chance of making a
10 mistake. It comes down to which mistake would you
11 rather make, to approve AMX0035 now and find out in
12 two years that it doesn't work? Now, I doubt many
13 are going to be very angry because people with ALS
14 got to try something that was safe and appeared
15 promising in 2022.

16 On the other hand, can you imagine the
17 mistake of saying no, and then getting confirmatory
18 evidence in two years that this really did work,
19 and realizing all those patients were much more
20 disabled or even dead when they didn't need to be?
21 I don't know how you'll be able to live with
22 yourselves if you make that mistake. Thank you.

1 DR. MONTINE: Thank you.

2 Speaker 20, your audio is now connected.
3 Will you please introduce yourself, stating your
4 name and any organization you represent for the
5 record?

6 DR. ABRAMS: Hi. Can you hear me ok? Good
7 afternoon.

8 DR. MONTINE: Yes, I can hear you.

9 DR. ABRAMS: Hi. Can you hear me ok? Good
10 afternoon? Hello? Can you hear me?

11 DR. MONTINE: Yes, I can hear you,
12 Speaker 20.

13 DR. ABRAMS: I'm sorry. Can you hear me ok?

14 DR. MONTINE: Yes, I can hear you,
15 Speaker 20.

16 DR. ABRAMS: Okay. Sorry about that.

17 Good afternoon, everyone. I'm Michael
18 Abrams from Public Citizen Health Research Group.
19 I have no conflicts of interest.

20 At present, we oppose FDA approval of
21 AMX0035 as a treatment for ALS. We agree with the
22 critique of FDA scientists detailed in their

1 briefing document, specifically in the first new
2 analysis presented by the sponsor. They claimed to
3 have used subjects as their own controls to compare
4 response rates in the active drug group to the
5 placebo group.

6 The FDA noted several limitations of:
7 first, this post hoc analysis was not independent
8 from the primary analysis of CENTAUR, and that
9 cannot be considered confirmatory; second, the
10 basis for comparing the treatment effect at
11 18 months instead of 24 months was unclear and
12 inflated the effects observed; third, the analysis
13 did not truly use subjects as their own controls;
14 and finally, slope calculations were suspect. The
15 FDA concluded, quote, "that these data appear
16 limited in their ability to provide independent
17 substantiation for the observed effect."

18 The second set of new analysis aimed to
19 confirm the survival results using two different
20 methods, one, historical comparison data, and the
21 other, estimated survival using the Rank Preserving
22 Failure Model. These analyses were deemed flawed

1 by FDA reviewers.

2 Specifically, the FDA noted the following
3 regarding the natural history survival analysis.
4 First, it was not randomized, not a randomized
5 comparison; second, comparisons were made to
6 controls from outside of the CENTAUR trial; third,
7 the analyses were not prespecified; and finally
8 there were concerns about multiplicity.

9 Regarding the rank preserving analyses, the
10 FDA noted that it was based on, quote, "independent
11 data and is simply using a new method of analysis
12 for the same survival data presented in the
13 original submission." Moreover, specific
14 limitations of the rank preserving method included
15 biases regarding recensoring that favored the
16 treatment group and the unrealistic assumption that
17 the treatment effect was proportional with time on
18 the drug regardless of the start time for the drug.

19 Accordingly, the FDA stated, it, quote,
20 "does not find these data sufficiently independent
21 or persuasive." The final data analysis introduced
22 by the sponsor examined biomarkers pertaining to

1 Alzheimer's disease. We agree with the FDA that
2 the multiplicity of laboratory tests is
3 questionable even regarding Alzheimer's, and even
4 more speculative and indeed untested mostly as
5 markers for ALS treatment effectiveness.

6 In conclusion, the new post hoc analyses of
7 data from the already deficient CENTAUR trial
8 failed to provide adequate confirmatory evidence of
9 AMX0035's effectiveness as a treatment for ALS.
10 Accordingly, we recommend that the committee vote
11 no on the question before you today, and that the
12 FDA not approve this medication for ALS at this
13 time. Thank you very much.

14 DR. MONTINE: Thank you.

15 Speaker number 21, your audio is connected.
16 Will you please introduce yourself, stating your
17 name and any organization you represent for the
18 record?

19 MR. WALLACH: My name is Brian Wallach.
20 [Indiscernible].

21 MS. KLING: "My name is Brian Wallach. I am
22 testifying for myself and for all ALS patients. I

1 am a 41-year-old father of 5- and 7-seven-year-old
2 girls. I ask you to please stop multitasking, as I
3 have three points to make.

4 "First, I am a human being. For this ADCOM,
5 and the last, I have been robbed of the chance to
6 address you face to face, which would enable you to
7 see my humanity; to see my blue eyes and
8 salt-and-pepper hair; to see my wheelchair and the
9 way my face twists with every word; to see that
10 this is not my voice, but that of a friend. This
11 denial is wrong. It makes us an academic question
12 rather than one that impacts real people and
13 families, whose lives are in your hands.

14 "Second, I don't need you to protect me from
15 myself. A surprising number of committee members
16 who voted against recommending approval at the
17 first ADCOM said they were doing so to protect
18 patients. With all due respect, that antiquated
19 paternalism is misplaced. I have studied this drug
20 for four years, and lived with ALS for five. I
21 know as much, if not more, about AMX0035 than many
22 of you do. And I am not an anomaly. Just read the

1 1300 comments. ALS patients do our research. We
2 don't want to try just anything, but we absolutely
3 want to try a safe and effective drug like AMX0035.

4 "Finally, instead of thinking you are
5 protecting me, I want you to recommend approval so
6 that I have the chance to live. Canada approved
7 AMX0035 with far less data than you have here. The
8 main objections against approval are baseless,
9 statistical arguments. After the last ADCOM,
10 Drs. Cudkowicz and Shefner showed these arguments
11 have no merit. They still don't. Everyone agrees
12 AMX0035 is safe and well tolerated. The only
13 question before you is whether there is sufficient
14 evidence of effectiveness. You now have two data
15 sets, CENTAUR and the open-label extension, that
16 show a slowing of functional decline for ALS
17 patients.

18 "As you know, ALS moves rapidly, is
19 100 percent fatal, and has no meaningful
20 treatments. In this context, a drug that extends
21 life, whether by 6, 10, or 18 months, has more than
22 demonstrated sufficient evidence of effectiveness.

1 Moreover, this is no slippery slope, as this is the
2 first-ever phase 2 to reach its prespecified
3 primary endpoint of slowing the disease
4 progression; the first ever, the first and only."

5 MR. WALLACH: There is only one right answer
6 here.

7 MS. KLING: "There is only one right answer
8 here. I just hope that you have the courage to
9 recommend approval."

10 DR. MONTINE: Thank you.

11 Speaker 22, your audio is now connected.
12 Will you please introduce yourself, stating your
13 name and any organization you represent?

14 MR. DERBY: My name is Jeff Derby. I am
15 62 years of age. I live in White Rock, British
16 Columbia, Canada. I am not receiving any payments
17 from Amylyx for my presentation.

18 My journey began as most ALS patients,
19 weakness in my hand and almost a year of visiting
20 doctors, [indiscernible], three neurologists,
21 before I was diagnosed with [indiscernible] ALS in
22 July 2018. I have now lived with the disease of

1 ALS from August 2017, when I experienced my first
2 symptom, which makes it five years ago.

3 Considering [indiscernible] the life
4 expectancy of an ALS patient is 2 and a half years,
5 what is so different for me? I know you are going
6 to hear from many doctors today, scientists, and
7 others that will provide you with the data, the
8 numbers, graphs, and theory. I want to provide you
9 with real-life information.

10 As I said, I was officially diagnosed in
11 2018, which at the time I was playing golf, ice
12 hockey, going hiking, fishing, and
13 [indiscernible audio distortion]. As years have
14 passed on, I can no longer participate in those
15 activities, but I can, with a [indiscernible], go
16 for a walk, work on my computer, eat, talk, and
17 during the day breathe without assistance. I was
18 told by the doctor I wouldn't be here today
19 [indiscernible]; in fact, by the averages, I should
20 really be dead. What is so different for me?

21 You've heard [indiscernible] -- I have
22 become friends with so many. [Indiscernible]

1 effective treatments today, the majority cannot
2 walk, eat, talk, and have lost all their fine motor
3 skills. Many require assistance in breathing every
4 day, and most require 24-7 care. Some others I
5 have gotten to know have sadly died over the past
6 5 years. What is so different for them?

7 Three months after my diagnosis, I was able
8 to enroll in the Amylyx trial, AMX0035. After
9 7 months in the trial, I started to receive open
10 label and have been taking ever since. As I was
11 also taking the only other two approved treatments,
12 riluzole and edaravone, as most others were, I
13 believe the difference is AMX0035.

14 Why after 5 years and 4 months, would I be
15 able to speak to you today, and so many can't even
16 say the word "help" when they need to? Why can I
17 eat when so many others have feeding tubes? Why
18 can I breathe when many need full-time respiratory
19 aid? And why is it possible I can stand and move
20 when many ALS patients need to be lifted from bed?
21 The only logical answer to me is my fortune to have
22 been on AMX0035 for four years. Thank you for

1 listening to me today, and please give all ALS
2 patients the same lifeline I have had. Thank you.

3 DR. MONTINE: Thank you.

4 Speaker number 23, your audio is now
5 connected. Will you please begin by introducing
6 yourself, stating your name and any organization
7 you represent?

8 DR. ZUCKERMAN: I'm Dr. Diana Zuckerman,
9 president of the National Center for Health
10 Research. Our nonprofit center scrutinizes the
11 safety and effectiveness of medical products, and
12 we don't accept funding from companies that make
13 those products, so I have no conflicts of interest.

14 My expertise is based on postdoctoral
15 training in epidemiology and public health, as a
16 former faculty member and researcher at Yale and
17 Harvard, and my policy work on FDA issues. I'm
18 currently on the board of the nonprofit, Alliance
19 for a Stronger FDA, which educates Congress about a
20 need to financially support the work of the FDA.

21 ALS is a terrible disease, and what's needed
22 is a more effective treatment with clinically

1 meaningful benefits. We need better evidence for
2 AMX0035 because if it's approved, it will undermine
3 randomized-controlled trials of ALS treatments.
4 Even if the U.S. enrollment in the PHOENIX study is
5 completed, that doesn't mean the follow-up has been
6 completed. And what about placebo-controlled
7 studies of other ALS treatments? Nobody wants to
8 be in a placebo group.

9 Canada's conditional approval standards are
10 lower than those for FDA full approval, so we
11 shouldn't be influenced by Canada's decision.
12 Sponsors always have the option of voluntarily
13 removing the product from the market, but that
14 rarely happens, and only under very strong pressure
15 from FDA or from lawsuits. In this case, FDA has
16 repeatedly told Amylyx what kind of study design
17 and analyses would be persuasive. Amylyx
18 repeatedly rejected those suggestions, and
19 currently disagrees with FDA's criticism.

20 Today we all heard Amylyx tell us that they
21 believe their data provide, quote, "a robust
22 confirmation," unquote, that their drug worked, so

1 it seems naive to think that Amylyx would
2 voluntarily withdraw their drug from the market
3 unless the PHOENIX study results were really
4 terrible, and none of us want that. What we want
5 is better evidence, and until that's available,
6 patients deserve free access through clinical
7 trials and through FDA's expanded access program
8 for experimental drugs.

9 In conclusion, I know your votes will be
10 tough today. We all wish the company had done a
11 better job of gathering solid evidence, and that's
12 on Amylyx to fix, not on the FDA to excuse.
13 Meanwhile, isn't there a data monitoring committee
14 for the PHOENIX study to consider earlier outcome,
15 perhaps in just a few months, so we'll know more
16 about the effectiveness of this drug? Thank you
17 very much.

18 DR. MONTINE: Thank you, and we'll go back
19 to speaker 1.

20 Forgive me, speaker 1, for the awkwardness
21 at the beginning. Your audio is now connected.
22 Will you please introduce yourself, stating your

1 name and any organization you may represent for the
2 record?

3 DR. SIMMONS: Thank you. I'm Dr. Zachary
4 Simmons. I'm a professor and vice chair for
5 research in the Department of Neurology at Penn
6 State University where I direct the ALS Center.
7 I've been involved in ALS care and in multiple
8 clinical trials over the past 30 years. I have
9 received consulting fees from Amylyx and other
10 companies involved in ALS treatment, but no payment
11 for this testimony today, and I was not involved in
12 the CENTAUR trial.

13 As a clinical researcher, I find the
14 evidence for the efficacy of AMX0035 compelling.
15 The primary outcome measures used the revised ALS
16 Functional Rating Scale. It's the most widely
17 accepted instrument for outcomes in ALS clinical
18 trials. The statistically significant slowing of
19 disease progression compared to placebo is
20 published in the New England Journal as impressive
21 and supported by sensitivity analyses, correcting
22 for concomitant use of riluzole with or without

1 edaravone.

2 Secondary outcome measures of strength,
3 vital capacity, hospitalization, and survival all
4 trended in the same direction, and then two
5 additional studies published in Muscle and Nerve
6 showed a survival benefit of at least 6.5 months,
7 and possibly as long as 18.8 months compared to
8 placebo.

9 Having gone through the disappointment of
10 dozens of negative studies, or studies where one
11 outcome measure looked promising but others showed
12 no benefits, these results impress me. But you
13 know the data. I'd like to urge you to look at
14 this from a different perspective.

15 Much of my research in ALS has been on
16 quality of life. Quality of life in individuals
17 with ALS is not necessarily dependent on physical
18 function, but rather on psychological, social,
19 spiritual, and existential factors. For such
20 factors to have the greatest impact, individuals
21 with ALS need more time to adapt to their losses
22 and to be able to reframe those aspects of their

1 life that have the greatest meaning.

2 Slowing of disease progression and extension
3 of survival are, thus, particularly important.

4 Giving persons with ALS additional time to benefit
5 from the support of those around them, to enjoy the
6 beauty of their surroundings, and to live to
7 celebrate meaningful life events with those they
8 love, would contribute greatly to the quality of
9 life.

10 Importantly, healthy individuals usually
11 rate the quality of life of persons with ALS lower
12 than those individuals themselves rated. Healthy
13 individuals may not perceive those with ALS to have
14 a good quality of life, but that is highly
15 judgmental and often erroneous. In summary, I find
16 the evidence on efficacy of AMX0035 compelling and
17 the potential impact on quality of life
18 substantial. Thank you very much for your time.

19 DR. MONTINE: Thank you.

20 The open public hearing portion of this
21 meeting has now concluded and we will no longer
22 take comments from the audience. The committee

1 will now turn its attention to address the task at
2 hand, the careful consideration of the data before
3 the committee, as well as the public comments.

4 We're going to take a 12-minute break, so
5 will the panel members please return at 5 minutes
6 after the hour? Please remember that there should
7 be no chatting or discussion of the meeting topics
8 with other panel members during the break. Please
9 reconvene at 5 minutes after the hour. Thank you.

10 (Whereupon, at 4:54 p.m., a recess was
11 taken.)

12 **Clarifying Questions (continued)**

13 DR. MONTINE: Hello, and welcome back. As
14 promised, we're going to take the next
15 approximately 15 minutes and return to the panel's
16 questions for Amylyx, or if there are additional
17 questions for FDA. But I believe everyone got
18 their questions in, in that session, but I know the
19 question period for Amylyx was not sufficient for
20 the number of questions that the committee had.

21 So we'll start there, give ourselves
22 15 minutes there, and then proceed. If you're not

1 noticed, please mute. We're picking up some
2 background.

3 So yes; Dr. Follmann, you've been very
4 patient, I know, so thank you so much. Would you
5 please start us off?

6 DR. FOLLMANN: Yes, thank you. I'm happy
7 waiting, and even happier to pose my question.
8 This is to Amylyx.

9 The FDA in their briefing document
10 criticized the crossover-adjusted analysis because
11 the placebo crossovers were healthier than the
12 placebo dropouts, and I wondered how you had
13 addressed that, or if you had thought about that in
14 your crossover-adjusted analysis. Over.

15 DR. TIMMONS: Sure. In terms of the RPSFTM
16 analysis, that is looking at all participants. It
17 maintains the randomization, so we're looking at
18 everyone, not just subgroups. So given that the
19 randomization is maintained, those subgroup
20 differences would not necessarily apply in terms of
21 being a criticism of this analysis.

22 DR. FOLLMANN: But isn't it true that the

1 people who cross over and get treatment, you end up
2 under this model, essentially reducing their time
3 to death on placebo, the time of death to
4 placebo [indiscernible], and there's not a similar
5 kind of adjustment done for the placebo people who
6 don't cross over. So I think it would have been
7 helpful to adjust using baseline covariates or
8 something like that.

9 DR. TIMMONS: Sure. The RPSFTM analysis in
10 terms of covariates that are used in that and also
11 in the ITT analysis, we've done the analysis both
12 with this baseline ALSFRS-R with and without that
13 as a covariate. We don't really see a difference
14 between the ITT results, and then, of course,
15 similarly with the RPSFTM results as well.

16 DR. FOLLMANN: Okay.

17 I have Dr. Schoenfeld as well, if there are
18 any other more methodological questions about the
19 RPSFTM, that he could answer statistician to
20 statistician.

21 DR. FOLLMANN: No. I think that's
22 sufficient for me. I think, basically, you did as

1 best you could, which is to adjust using baseline
2 covariates to take into account the fact that not
3 everyone in the placebo arm crossed over, and that
4 the sicker patients probably were the ones who were
5 less likely to cross over.

6 DR. MONTINE: Great. Thank you both.

7 DR. TIMMONS: That's correct. And that's
8 also the reason we did the other two analyses with
9 the external controls just to provide that further
10 support; different analyses, different
11 methodologies.

12 DR. FOLLMANN: Thank you.

13 DR. MONTINE: Excuse me. I didn't mean to
14 interrupt you. My apologies.

15 Dr. Fischbeck, please.

16 DR. FISCHBECK: Yes. I still have a couple
17 of questions or comments, and one minor point.
18 Maybe I could just hit one or two of them now, and
19 then come around again if there's time; that's if I
20 find it again.

21 We were asked about whether this is an
22 adequate and well-controlled study, and there's one

1 thing that hasn't exactly come up here today, is
2 the randomization error; so the fact that the first
3 27 kits were sent out without randomization, and
4 there was an analysis done to remove them. This
5 still showed significance, although not quite as
6 good a p-value as before.

7 At the same time, there was also a problem
8 with patients starting edaravone during the course
9 of the study. I think that also has an effect, or
10 could have an effect. There were 13 patients
11 calculated that were in that category, and when
12 they were removed, the same thing still; the
13 p-value went up 0.04 with the edaravone.

14 I guess the question I have is what if you
15 remove both those who had a problem with the
16 randomization due to there's a shipping error, I
17 guess, or the randomization error, and those who
18 had a problem with starting edaravone during the
19 study, which could have had an effect on the
20 outcome, or given that it's FDA approved treatment.

21 DR. TIMMONS: We haven't done that specific
22 analysis in terms of removing both together at the

1 same time. A couple of points that may be helpful
2 here, one is when we look at that early
3 randomization error and we look at the baseline
4 characteristic for the entire group and the group
5 who were impacted by that error, we see basically
6 the same baseline characteristics. So the error
7 itself did not impact the match between the two
8 groups, including in terms of baseline use of
9 riluzole and edaravone. But to your key question,
10 we did not do the specific analysis both together;
11 only the individual ones where we still see
12 consistent results on the ALSFRS.

13 DR. MONTINE: Thank you.

14 DR. FISCHBECK: A minor point I was going to
15 say is a couple of times it was mentioned that ALS
16 is universally fatal, but traditionally we think of
17 about 10 percent having long-term survival, and
18 I've seen patients who survive out decades, without
19 dying. It occasionally happens, although I yield
20 to others who are more actively involved in ALS
21 patient care now, and whether I'm wrong there;
22 whether these patients might have been

1 misdiagnosed.

2 DR. MONTINE: Thank you. Thank you both.

3 DR. TIMMONS: You're just commenting? Got
4 it.

5 DR. FISCHBECK: Does Dr. Cudkowicz or
6 Dr. Paganoni have something to say about that, if
7 they're still here?

8 DR. TIMMONS: Yes, absolutely.

9 Dr. Cudkowicz?

10 DR. CUDKOWICZ: Thank you. Yes, it's still
11 a uniformly fatal illness. Even the people who
12 have lung longevity die from the ALS. However, in
13 this study, the inclusion criteria were designed to
14 pick fast-progressing people, and those few people
15 that can live longer would have been excluded from
16 the study.

17 I also want to just add on the last point
18 that the randomization error -- and I brought this
19 out of my editorial -- was exceptionally well
20 handled. This was something that was kept -- until
21 after database blocks and after results, and was
22 handled exceptionally well, and [indiscernible] --

1 removed, and that there's no difference in the end
2 result.

3 DR. FISCHBECK: Okay. Thanks.

4 DR. MONTINE: Thank you.

5 Dr. Caleb Alexander?

6 DR. C. ALEXANDER: Yes. Can you hear me?

7 DR. MONTINE: I can.

8 DR. C. ALEXANDER: Can you hear me?

9 DR. MONTINE: I can hear you.

10 DR. C. ALEXANDER: Great. Sorry. There's a
11 little bit of a delay.

12 I had a quick question, and then a little
13 bit more detailed one. The quick one just has to
14 do with edaravone, and I was just trying to
15 reconcile the report in the New England Journal of
16 Medicine; that, no, that analyses corrected for
17 edaravone did not reach statistical significance
18 with briefing documents provided today, that seemed
19 to suggest that the use of concomitant medicines
20 didn't impact the interpretation of the findings.
21 So I just wondered if you could clarify that.

22 DR. TIMMONS: Sure. The New England Journal

1 of Medicine article is looking at the first
2 24 weeks and the ALSFRS-R data. The sensitivity
3 analyses that we presented there are some of the
4 same ones that we presented today, and then we also
5 did additional assessments as well; and pulling
6 these up here for the ALSFRS-R.

7 The adjusted for time on edaravone and
8 riluzole are the sensitivity analyses that are
9 reported in that publication. We also talked about
10 removing participants within study edaravone
11 starts [ph], and that's a new analysis that we're
12 presenting today, and then also adjusting for
13 baseline use. So that's categorical; are you on it
14 or are you not? And again, we're seeing a
15 consistent effect size here across these
16 sensitivity analyses.

17 DR. C. ALEXANDER: Okay. Thank you. That's
18 helpful.

19 Then the other question had to do with
20 slide 55 of the briefing document, slide 55 of 66,
21 entitled, Historical -- that's it. This is one of
22 the things that I think is most -- it's an

1 interesting and sort of perplexing point to me,
2 which is that there are these whopping survival
3 differences in the open-label study, and yet one
4 doesn't see these in the randomized portion, nor
5 does one see statistically significant findings of
6 the secondary endpoints in the randomized, 24-week
7 phase, although I think some of these may have been
8 nominally significant.

9 So my question is, I'm both interested in
10 what you think mechanistically explains the fact
11 that these two curves are essentially on top of
12 each other until month 7, 8, or 9, when they start
13 just this whopping divergence.

14 Then the second part of the question is, one
15 of the assumptions, if I understood it correctly,
16 to the RPSFTM model was that survival time benefit
17 was proportional to time on the drug. So I just
18 wondered how you think about that type of
19 assumption in the context of this type of plot.

20 DR. TIMMONS: Sure. In terms of what we're
21 seeing here for the plot, a reminder that a
22 specific inclusion criteria for the CENTAUR study

1 is that participants were to be expected to live
2 through the first 6 months, so that's why we do not
3 see a survival difference in the first 6 months of
4 the study. As a, reminder, the ITT overall
5 survival analysis, all participants are still
6 randomized. They have not been unblinded. Neither
7 have the investigators been unblinded. We're
8 comparing the as-randomized groups in that
9 long-term overall survival analysis as well, too.

10 I'd like to have Dr. Berry comment from a
11 clinical perspective in terms of the curves
12 separating later, et cetera, his interpretation
13 there.

14 DR. BERRY: Hi. I'm James Berry, an ALS
15 researcher and clinician at Mass General Hospital.
16 I appreciate the question. I think it's a good
17 one. It's one that I think many of us looking at
18 the data have thought about, how do we see these
19 fairly large survival differences when the
20 difference in most people receiving drug, either at
21 the beginning of the randomized trial or at the
22 beginning of the open label, which is only a

1 6-month difference -- I think in walking through
2 this data, number one, it's robust across the
3 number of analyses, and I begin to think of
4 analogies.

5 I think the simplest analogy that I came to
6 is that while it seems remarkable that we could see
7 almost a 5-month difference in survival with just a
8 6-month difference on drug, much of that may have
9 to do with when the drug is taken. And the analogy
10 would be that if I have a headache today and I take
11 Tylenol today, it will help my headache. If I take
12 that same Tylenol tomorrow, it very well may have
13 no effect on my headache.

14 That's a simple analogy, but at the same
15 time I think it captures the fact that we may need
16 to treat this disease early, and that when we treat
17 it later, we may not see that same effect.

18 DR. MONTINE: Thank you.

19 DR. TIMMONS: Great. And as a reminder --

20 DR. C. ALEXANDER: Yes. I guess I'm sort of
21 perplexed mechanistically -- I'm sorry for the
22 delay. I guess I'm just perplexed a little

1 mechanistically of what's going on, where if you
2 look at the plots, they're sort of linear
3 separation of maybe the primary outcome, and yet
4 when you look at survival, again, the plots are
5 sort of lying on top of each other.

6 Then I guess the second part of the question
7 has to do with how this affects the assumption, if
8 I understood it correctly, of the RPSFTM, which
9 relied on, I think, some untestable assumptions but
10 including that survival time benefit was
11 proportional to the time on the drug.

12 DR. TIMMONS: Yes. In terms of the RPSFTM
13 question, I will ask my statistical colleagues to
14 help with the assumptions there and how we
15 interpret those.

16 Dr. Schoenfeld?

17 DR. SCHOENFELD: Hi. I'm David Schoenfeld.
18 I'm an emeritus professor at the Harvard Medical
19 School, and I've been involved in ALS trials over
20 the last, I don't know, 30 years, or something like
21 that. But in any case, the RPSFTM model, the way
22 to think of it is that if you're being treated,

1 basically time slows down for you. So in other
2 words, as you go along, your time is going along,
3 and then as you suddenly begin treatment, the
4 accelerated failure time model, which is what this
5 is based on, time is slowing down, so then you
6 would live longer while you're being treated.

7 That kind of model would allow, for
8 instance, the curves to be on top of each other for
9 the first 6 months. The time is going faster for
10 the placebo patients, and then 6, 10 months,
11 11 months later, the fact that time has gone faster
12 for them means that they begin to die much sooner.
13 That's the assumption of the model.

14 The model is also fairly robust to covariate
15 differences because each person is looked at
16 separately in the sense that time moves for each
17 person differently. So if people have shorter
18 survival, then they're getting a benefit based on
19 that expansion factor. I hope that's explaining
20 this. This is a complicated model, and it's a
21 little hard to understand. Thank you.

22 DR. MONTINE: Thank you.

1 DR. TIMMONS: To understand the different
2 models, we did the two additional control analyses
3 just to test these different assumptions within
4 each of the models.

5 DR. MONTINE: Thank you.

6 Dr. Nath?

7 DR. NATH: Yes. Avi Nath here. I was
8 wondering are there any plans for interim analysis
9 in the PHOENIX study.

10 DR. TIMMONS: There is not a plan for an
11 interim analysis in the PHOENIX study. That is a
12 48-week study, and by the time that there was
13 enough power to perform an interim analysis that
14 would provide useful information, the study would
15 be quite near completion. This was discussed with
16 the FDA I believe last year, and it was determined
17 that an interim analysis would not be done,
18 especially to keep data integrity of the study.

19 DR. NATH: Thanks.

20 DR. MONTINE: Thank you.

21 If I may ask, for some clarity on the
22 biomarker data, there's a large set of molecules

1 that were reported. They were designed for an
2 Alzheimer's study, but how many, other than YKL-40,
3 overlaps is an accepted biomarker in patients with
4 ALS?

5 DR. TIMMONS: I'm showing the list of
6 biomarkers here, and I think one of the people that
7 would be best to answer this question is
8 Dr. Bowser, who is an expert in biomarkers, both
9 ALS and Alzheimer's disease. So I'll turn it over
10 to Dr. Bowser to introduce himself and answer your
11 question.

12 DR. BOWSER: Hello. Thank you very much.
13 Robert Bowser. I am chief scientific officer,
14 professor, and chair of the Department of
15 Translational Neuroscience at the Barrow.
16 Neurological Institute. I'm also founder of
17 nVector, which is a biotech company that has
18 received and analyzed the samples from the CENTAUR
19 study, the plasma samples for both neurofilament
20 and YKL-40.

21 To answer the question, of this list, YKL-40
22 is the most widely reported and published biomarker

1 in the world of ALS when looking at just this list.
2 Second, total tau levels have been looked at in a
3 number of studies and actually followed in a prior
4 clinical trial about 12 years ago. Phosphorylated
5 tau doesn't change much, so people look at a ratio
6 of total tau to phosphorylated tau, which does
7 change given the total tau changes in ALS patients.

8 The A-beta ratio has been published once or
9 twice in ALS, and it does show a difference over
10 time in ALS patients. Neurogranin, which is more
11 of a synaptic integrity biomarker, has not been
12 explored much in ALS, and the same with FABP3. So
13 both of those have not been reported much in the
14 literature of ALS.

15 DR. MONTINE: And of the list of ones that
16 has not significantly improved, are any of those
17 commonly --

18 (Crosstalk.)

19 DR. BOWSER: Yes. Obviously, neurofilament
20 is probably the best studied out of the whole list
21 of biomarkers here in the world of ALS. IL-6 has
22 been shown to be changed in a subset of ALS

1 patients. MCP-1 has been shown to be altered in
2 ALS patients; let's see, GFAP also, but more
3 traditionally that is looked at in tissue samples
4 as opposed to biofluids. IL-8 has been shown in
5 one or two studies, but not a substantial amount of
6 studies.

7 So again, NfL is the most robust of that
8 list, but to me, actually, this is somewhat
9 supportive. You have a study, same drug, different
10 neurologic condition, no change in neurofilament in
11 both the CENTAUR ALS study as neurofilament
12 measured in blood and the Alzheimer's disease study
13 here, where it's measured in CSF.

14 DR. MONTINE: And has that pattern been seen
15 before, of no change in neurofilament but change in
16 YKL-40?

17 DR. BOWSER: Actually, it has. Probably
18 it's actually a fairly recent publication
19 where -- it's actually from the Belgian group.
20 They looked at adult SMA patients treated with
21 nusinersen for almost two years. In that study,
22 what they saw was a modest but not significantly

1 changed levels in neurofilament, but a change in
2 YKL-40 in response to drug. And YKL-40 was the
3 only biomarker that actually correlated with
4 measures of clinical improvement in that patient
5 population.

6 DR. MONTINE: Thank you so much.

7 DR. BOWSER: Sure thing.

8 **Questions to the Committee and Discussion**

9 DR. MONTINE: Okay. So we'll now progress
10 in our meeting.

11 The committee will now turn its attention to
12 address the task at hand, a careful consideration
13 of the data before the committee, as well as the
14 public comments. We will now proceed with
15 questions to the committee and panel discussions.
16 I would like to remind public observers that while
17 this meeting is open for public observation, public
18 attendees may not participate except at the
19 specific request of the panel. After I read each
20 question, we will pause for any questions or
21 comments concerning its wording, and then open the
22 question to discussion.

1 Question 1 discussion, discuss the strength
2 of the currently available data regarding the
3 effectiveness of sodium phenylbutyrate/
4 taurursodiol, AMX0035, to include the new
5 information submitted and the information presented
6 at the March 30, 2022 PCNS meeting. The discussion
7 may include considerations regarding the unmet need
8 in amyotrophic lateral sclerosis, ALS, the status
9 of the ongoing phase 3 trial, and the seriousness
10 of ALS.

11 Any questions or comments concerning the
12 wording?

13 (No response.)

14 DR. MONTINE: Hearing none, then we open
15 this for discussion.

16 Dr. Fischbeck, you have your hand raised?

17 DR. FISCHBECK: Yes. I'm probably talking
18 too much here. I for one was really struck by the
19 comments submitted by the patients and families,
20 the 1,288 comments. I can't say I got through all
21 of them, but enough of them to get a sense of where
22 the patient population's coming from; and then

1 really striking today with the public session, to
2 have a few patients, and then a number of leading
3 clinicians in the field were speaking in favor of
4 this. It looks to me like a flawed study, but it
5 certainly has a lot of patient interest and
6 clinician interest in approval.

7 One thing I was struck by -- and this is the
8 last question I was going to ask of the company,
9 and do they have any thoughts, but it's really my
10 own -- is we spoke last time about GI events and
11 whether that could reflect to unblinding. The
12 company reported a survey that was done of patients
13 and physicians involved about whether they were
14 able to guess correctly whether they were on
15 placebo or active agent, and they couldn't. It
16 wasn't any different from chance, which turning
17 that around -- aside from the unblinding question
18 with the GI effects, which I don't think was a big
19 factor here -- the patients didn't know whether
20 they were on the drug or not, and I think that runs
21 counter to a lot of what we're hearing.

22 The patients and the clinicians couldn't

1 tell the difference while they were taking the
2 drug, and that turns around a lot of what we heard
3 in the testimonials about asking for this drug to
4 be approved because it was making them better.
5 Maybe it was just too subtle an effect on
6 increasing survival, but even then, I think they
7 should have known if it was really having an impact
8 on the disease. They should have known whether
9 they were taking it or the placebo. That's all I
10 have. Thank you.

11 DR. MONTINE: Alright. Thank you for your
12 comments.

13 Dr. Caleb Alexander, you're next.

14 DR. C. ALEXANDER: Yes. Well, I agree with
15 a lot of what was just said, including how
16 compelling the perspectives are that we've heard
17 from many that have been personally impacted by
18 ALS. It seems to me that an awful lot hinges upon
19 the adequacy of the open-label ITT data, given
20 that's what's being proposed as the confirmatory
21 evidence. And I'm a little unclear, from the FDA's
22 perspective, how commonly confirmatory evidence is

1 derived from the same study that's being used as
2 the pivotal study in this instance.

3 I'll say I think the FDA's initial
4 description of this study was a little generous in
5 calling it a successful study while glossing over a
6 large number of concerns that were thoroughly
7 explored by this group during the last committee
8 meeting and also briefly touched upon by the FDA
9 biostatistician during this meeting. But I think,
10 ultimately, if we accept the single study as having
11 many substantive concerns but also being promising,
12 and this question arises, is there sufficient
13 confirmatory evidence, then I think a lot is
14 resting on the adequacy of the open-label ITT
15 analyses.

16 The new information shared today are new
17 analyses of old data, essentially, as the FDA has
18 pointed out, and they were not prespecified, and I
19 think that's the real concern about them. There's
20 a reason that in games, and sports, and otherwise,
21 the rules are decided before the game, not after
22 the game; not after the plays are made. So I think

1 the concern is just that while new information has
2 been shared, it's information that's based on
3 essentially post hoc analyses that depend upon a
4 lot of decisions and assumptions that could affect
5 the results of those analyses. Thank you.

6 DR. MONTINE: Thank you.

7 We have two more, and then hopefully we can
8 get to a more open discussion. I know it's a
9 little stilted doing this remotely.

10 Dr. Apostolova, you're next.

11 (No response.)

12 DR. MONTINE: Liana, you may be muted.

13 DR. APOSTOLOVA: I'm double-muted. Sorry
14 about that.

15 Overall, the evidence we saw in the March 30
16 meeting and today presents modest effectiveness at
17 best; and really, a drug that is targeting a
18 neurodegenerative disorder in a disease-modifying
19 way, we shouldn't expect improvement, but slower
20 decline. The lack of patients, physicians,
21 guessing right whether they're on the drug really
22 helps with the truly blinded assessment of outcome,

1 so that does not bother me.

2 I also feel that Amylyx showed some
3 confirmatory evidence today to external control
4 samples, one notably with 10,000 subjects, while
5 applying propensity score matching to adjust for
6 disease risk, durability, and disease progression
7 rates. And while these data do not quite meet the
8 criterion of being forcefully persuasive, the data
9 are nonetheless reassuring.

10 The biomarker data on the other hand
11 appeared weaker, as it suffered from the
12 shortcoming of being collected in a different
13 neurodegenerative condition, and it is not really
14 clear if any biomarker movement in Alzheimer's is
15 relatable to ALS. Yet, I am somewhat reassured
16 that if an approval is issued, it can be withdrawn
17 in the future, and further reassured that the side
18 effects from this therapy are not harmful in any
19 significant way, and I'll stop there.

20 (Pause.)

21 DR. APOSTOLOVA: Hello? Can you guys hear
22 me?

1 MALE INVOICE: I wonder if our chair is
2 muted.

3 DR. SEO: Good afternoon, everyone.
4 Dr. Montine is trying to reconnect. We'll just
5 give him a moment. Thank you.

6 (Pause.)

7 DR. C. ALEXANDER: Well, should we just have
8 another committee member speak, given that the time
9 is valuable?

10 DR. R. ALEXANDER: Yes. This is Dr. Robert
11 Alexander. I'll comment. No one else wants to
12 jump in.

13 I just want to say in terms of what we heard
14 today and what was truly new, we didn't hear much
15 about the responder analysis, but I think the fact
16 that it wasn't discussed is probably an indicator
17 that it doesn't really contribute much to judging
18 whether this drug deserves approval or not.

19 The biomarker data I think is not new
20 because I think it was mentioned at the previous
21 meeting that the biomarker change that was observed
22 in an Alzheimer's study, it does help provide some

1 indirect evidence that there is a CNS effect, but
2 it doesn't necessarily tell you that you had
3 appropriate exposure for ALS. I'm not sure what to
4 make of the new report about the YKL-40 since it
5 doesn't really represent a change from baseline, at
6 least as I understand it, just comparing the values
7 at week 24.

8 But I think there is probably more evidence
9 now to believe that there is a real benefit on
10 survival for patients that received Amylyx first
11 versus the ones that received placebo first. I
12 think the activity analyses that were performed, in
13 particular removing participants who had in-study
14 edaravone starts and the comparison to the natural
15 history, even though it wasn't prespecified is
16 supportive that there is a real difference.

17 I think there's still substantial
18 uncertainty around the drug's efficacy profile, but
19 I do believe that the information that we received
20 is supportive that there is an unexplained survival
21 benefit which can't be -- I mean, it doesn't seem
22 to be evidence that's due to disease heterogeneity

1 between the treatment groups, so in that sense, it
2 helps you attribute it to the drug. Thanks.

3 (Pause.)

4 DR. C. ALEXANDER: Thank you.

5 It looks like we're still waiting for
6 Dr. Montine, and this is Dr. Alexander.

7 Dr. Follmann, perhaps you want to speak?

8 DR. FOLLMANN: Yes. Thank you. I just
9 wanted to raise a few points. I absolutely voted
10 for approval in March, and I won't reiterate the
11 primary analysis. I think the FDA has sort of
12 accepted that as showing having met its mark.

13 The benefit on survival that was presented
14 in March I thought was strong supportive evidence.
15 It was based on a conservative ITT analysis, and
16 for me if you're going to have a trial to an open
17 label study, what is a stronger endpoint and more
18 objective endpoint than survival, which was
19 ascertained in every patient but one.

20 The FDA does have a concern about
21 multiplicity, and this wasn't prespecified, but
22 personally, this would be the endpoint that I would

1 look for in a study like this for supportive
2 evidence. So that, to me, in totality, along with
3 the rare disease, the unmet need, et cetera, caused
4 me to vote yes in March, and I think it aligns with
5 the flexibility that the FDA talked about today.

6 Just a few comments about the analysis that
7 we've seen today, I think the major addition is
8 really just a different estimate of the
9 placebo-controlled benefit of Amylyx on survival.
10 Before, under the conservative ITT approach, it was
11 about 5 months; now it's closer to 10 months. I
12 like the sponsor's crossover-adjusted analysis.
13 This is the way I would approach it if someone
14 said, "Dean, there is a lot of crossover in the
15 placebo arm. What's a good estimate of the overall
16 survival advantage?" I would say, and I do
17 believe -- [inaudible - audio break] -- that it is
18 to 4 months. Having said that, though, I do take
19 the FDA's point that the certainty about that
20 really hasn't changed, and I think that's properly
21 reflected in the p-value being still just under
22 0.05.

1 The two external control studies, I don't
2 really like external control studies usually, and I
3 discount the p-values that were presented from
4 those studies, but I feel that they do support the
5 crossover-adjusted analysis estimate of about 10,
6 so I'm more comfortable with that. The biomarker
7 analysis and the responder analysis didn't add much
8 for me.

9 I would just make a final comment about the
10 PHOENIX trial. I thought about that some in March,
11 and ultimately I decided that we shouldn't really
12 think about it. I mean, what does it help us with
13 the decision and the evidence we have today? I
14 think it might make it easier to try and abstain or
15 something, which I [inaudible - audio breaks].

16 I also, I guess, echo a comment that I had
17 heard earlier today. The drug is not harmful. It
18 seems like it has a benefit. There's not a safety
19 signal here, so in the worst-case scenario, if the
20 PHOENIX trial does show lack of benefit, it's not
21 like we've harmed patients by licensing it now. So
22 I'll stop there.

1 DR. MONTINE: Thanks.

2 This is Tom Montine again. I apologize.
3 The call got dropped, and it took me a moment or
4 two to get back. But by some miracle, my iMac
5 stepped in, so I heard everything people said even
6 though the phone disconnected, so thank you for
7 those who stepped in. My apologies.

8 While I have the floor, I could ask for some
9 guidance from the group. The biomarker data is not
10 helping us with medical meaningfulness like the
11 trials are, but it impressed me that whatever
12 pathways underlie elevating YKL-40, they could be
13 shared by these two diseases. So does the
14 biomarker data have any biological relevance for
15 us? Does it tell us that perhaps this medication
16 is hitting a pathway that has shared relevance in
17 these two diseases, or you think that's taking the
18 data too far?

19 DR. NATH: This is Avi. I'm still quite
20 concerned about the biomarker data. The problem is
21 that it's only at a single time point, and I would
22 have liked to see that there were multiple

1 time points. A lot of these biomarkers fluctuate.
2 We don't know. You could measure these things
3 3 hours later, and you probably come up with a
4 different result. So if they would have measured
5 them at multiple time points and shown us change
6 over a period of time, that would have been more
7 convincing.

8 It's also concerning that the neurofilament
9 levels did not change. In fact, if you look at
10 that Alzheimer's study, look at that table there
11 that they presented, even though they say it's not
12 statistically significant, actually the
13 neurofilament and GFAP levels went up in patients
14 with Alzheimer's by several-fold -- the mean values
15 didn't [indiscernible] -- and that indicates axonal
16 damage as these neurofilament levels do, and GFAP
17 suggests that there is glial cell reaction taking
18 place. So I won't rest all my decision making on
19 the biomarkers for sure.

20 The other thing that concerned me was that
21 they added these natural history studies but never
22 really explained why the placebo group is so

1 different than all these natural history studies.
2 Maybe Dean would comment on it. If you do a
3 statistical analysis, you might actually see a
4 placebo response compared to the other natural
5 history studies, so that makes me discount the
6 natural history study. And then if you do that,
7 then you're just left with the placebo and the drug
8 data, but the data they represented last time.
9 Over.

10 DR. MONTINE: Thank you. That's very well
11 reasoned.

12 Dr. Traynor, I believe you have been waiting
13 to comment.

14 DR. TRAYNOR: Hello. Hi. Bryan Traynor
15 here. I'm just wondering, we've been talking about
16 lack of side effects for the patients they put on
17 this particular medication, but I'm wondering,
18 there is another aspect to this, and that is the
19 financial aspect.

20 Are we allowed to ask the company directly
21 about this? Because my concern will be are they
22 going to price it so that they recoup their money

1 within the three years until they know whether the
2 phase 3 worked or not, or are they going to price
3 it on the assumption that it is going to work in
4 the phase 3, and they're going to continue on for
5 10, or 20 years, or whatever the patent is? Thank
6 you. I don't know if that's an appropriate
7 question in this context.

8 DR. C. ALEXANDER: It's not a regulatory
9 consideration. I'm sorry to interrupt. This is
10 Caleb Alexander, but it's not a regulatory
11 consideration. You know --

12 DR. TRAYNOR: But it does --

13 (Crosstalk.)

14 DR. C. ALEXANDER: -- the FDA doesn't
15 consider dollar signs, and I don't see --

16 DR. TRAYNOR: Yes, Caleb. Thank you. But
17 it does actually come in to this.

18 DR. C. ALEXANDER: It's not a scientific
19 matter relevant to the question at hand.

20 DR. TRAYNOR: Yes. Okay. I mean, that's
21 your opinion. I wondered whether --

22 DR. C. ALEXANDER: Say the company offers to

1 give it away for free; does that affect -- I'm
2 sorry. Go ahead.

3 DR. TRAYNOR: We're not talking about them
4 giving it away for free. You're talking one
5 extreme. I'm talking -- you can't argue an
6 extreme. I mean, that's not appropriate.

7 I mean, what we're talking about here,
8 ultimately, is we're trying to address the question
9 whether the benefits outweigh the real side effects
10 that are potential here and the risk that this is a
11 false positive. So the question becomes, what
12 other aspects do we have to consider in that? And
13 there are opportunity costs that come along with
14 this as well. So if we give this drug, are we
15 missing an opportunity to run other clinical trials
16 of other agents that might work or that might be
17 better? I'm not so struck with that opinion that
18 this is not an important point.

19 DR. C. ALEXANDER: I mean, listen. There
20 are lots of potential spillover effects of
21 approval, and there are lots of potential
22 collateral effects of approval, and there are lots

1 of potential collateral effects of non-approval.
2 But the question for the committee is whether or
3 not there's substantial evidence of effectiveness,
4 or if you like, safety and effectiveness, but no
5 one is really arguing that this product is unsafe.

6 So the real question is, is there
7 substantial evidence of effectiveness? That's the
8 question before you, and the FDA has done a very
9 nice job of laying out what those criteria are and
10 how typically substantial evidence is appraised,
11 and so it's up to us to decide how we feel about
12 that. But financial toxicity --

13 (Crosstalk.)

14 DR. TRAYNOR: But, Caleb, that's your
15 opinion.

16 DR. C. ALEXANDER: -- is a bit astray from
17 whether or not --

18 DR. TRAYNOR: I'd like to hear from the
19 chairman or from the FDA.

20 DR. C. ALEXANDER: -- there's substantial
21 evidence.

22 DR. MONTINE: May I, please? This is a

1 great discussion. Is there a colleague on the line
2 from the FDA who could guide us?

3 DR. DUNN: Yes. Dr. Montine, thank you.
4 This is Dr. Dunn. I'm happy to address that. I
5 want to be respectful of the committee's ability to
6 have a discussion that ranges where they feel that
7 it needs to, to address the question.

8 Dr. Traynor, I'm listening closely to you.
9 I will briefly simply clarify -- not really
10 clarify, but simply supplement the comment that
11 Dr. C. Alexander made regarding the fact that cost
12 is not a consideration in our scientific
13 deliberations and decision making. That is
14 absolutely true.

15 So if there's any confusion on any
16 part -- I'm not suggesting there is confusion. If
17 there is any confusion on the part of a committee
18 member about whether that is a relevant
19 consideration for us in our decision making, it is
20 not.

21 Dr. Traynor, I don't mean to imply that you
22 have any confusion about that. Again, I understand

1 what you're getting at. You may certainly, again,
2 ask for clarification on issues from the company
3 that you think are relevant to the question. And
4 again, I wouldn't presume to talk to any members
5 what they can and can't discuss with each other,
6 but I do want to make clear the point that cost is
7 not a consideration in our assessment of the
8 scientific evidence.

9 DR. MONTINE: Thank you, Dr. Dunn.

10 DR. DUNN: Very good, Dr. Montine. Thank
11 you.

12 DR. MONTINE: Thank you.

13 MR. WESTON: Tom, this is Mark. I've had my
14 hand up for a bit, and being the non-doctor on the
15 panel, I'd like to weigh in a little bit there.

16 We're focused on the first question, which
17 has to do with the robustness of the study and the
18 data and so forth. I think we can talk about this
19 for a few days, and still all of us agree that the
20 data could be better. The study could be better.
21 We could sort out some of the finer statistical and
22 analytical techniques, and find different ways to

1 do them, and the result's going to be the same.
2 The drug is safe and it might help people. So
3 maybe we should put equal focus, as we deliberate,
4 on the other considerations, unmet need in
5 particular.

6 We have two drugs. Some would say three,
7 but one drug administered one way; two drugs with a
8 choice for administration. That's not a lot of
9 choice; right? So maybe we talk more about unmet
10 need, which is not exactly something we can
11 quantify nearly as well as p-values and that sort
12 of thing.

13 So I'm going to save some of what I want to
14 say until we get into discussion, but it seems to
15 me we're actually bridging from questions to the
16 committee, in the committee discussion, before we
17 get to the vote. Thank you.

18 DR. MONTINE: Thank you. Yes, I think
19 that's great advice, Mr. Weston, trying to stay
20 focused on the totality of the question in front of
21 us.

22 The summary that Dr. Nath gave, at least of

1 his opinion, resonated with me that as we go
2 through the new information and the new data
3 presented today, I'm not sure that we're actually
4 that much farther along than the information that
5 we had in March. I'd love to hear people's opinion
6 about that, and then as Mr. Weston just said, in
7 addition to that, the importance of the unmet need
8 in ALS, and then the third part is the context of
9 the ongoing phase 3 trial.

10 So I'll stop summarizing, and I'll just move
11 to the next.

12 Dr. Dayno, please?

13 DR. DAYNO: Yes. Thanks, Dr. Montine. This
14 is Jeff Dayno. Maybe moving us towards the
15 regulatory issues and transitioning to the broader
16 discussion, I just wanted -- and maybe it's
17 timely -- to take a moment to share a few thoughts,
18 kind of representing an industry perspective in the
19 context of these very challenging issues that we
20 are discussing with regards to the data and overall
21 risk-benefit.

22 First, also I want to thank the Amylyx team

1 and members of the FDA for their excellent
2 presentations, and I also want to thank and
3 acknowledge Dr. Dunn for his introductory remarks.
4 I think he framed the issues very effectively of
5 what we're kind of grappling with today, and
6 several which were expanded upon by Dr. Buracchio
7 in her comments. And I just want to highlight, I
8 think, a few key points based on the FDA's
9 comments.

10 First, I think it's important to understand
11 the importance and the distinction between
12 statistical significance, which you've been
13 speaking a lot to, and clinical relevance. Then
14 beyond that, Dr. Dunn alluded to another level of
15 distinction between statistical significance and
16 statistical considerations, another really
17 interesting point he made, and those which are
18 based on both scientific and clinical judgment; and
19 obviously clinical perspective and clinical
20 judgment in the context of a rare and fatal
21 disorder becomes very important.

22 I think most importantly, I think we all

1 appreciate the comments from both Dr. Dunn and
2 Dr. Buracchio related to regulatory flexibility,
3 and I know that we'll be speaking more about this
4 in the context of the impending vote; but the
5 importance of regulatory flexibility in the setting
6 of a serious and life-threatening disease like ALS,
7 which is one that has both not only a significant
8 but an urgent unmet medical need, and I think
9 actually the FDA alluded to that. Then in this
10 setting, the FDA has acknowledged they have the
11 opportunity to exercise both the maximal and
12 broadest degree of regulatory flexibility, and I
13 think that's important to keep in mind.

14 With regard to regulatory flexibility, FDA
15 in the briefing document and their comments spoke
16 to precedence in this disease area in ALS, and I
17 think riluzole is a good example of that, and an
18 important one. The application included two
19 adequate and well-controlled trials, both of which
20 failed to meet the prespecified primary endpoint
21 that assessed survival. The FDA thought that a
22 different alternative test was appropriate, and

1 conducted a post hoc analysis using the alternative
2 statistical testing, which resulted in exploratory
3 findings of nominal significance, and that's the
4 data that led to the approval of riluzole.

5 Then lastly, I think Dr. Dunn mentioned a
6 really interesting concept during his comments
7 around regulatory flexibility related to the need
8 for increased regulatory tolerance in the setting
9 of uncertainty; I think a setting that obviously
10 we're in and discussing today. In that setting
11 that we're discussing, it means increased tolerance
12 for both a false positive outcome if AMX0035 is
13 approved during this review cycle, then the phase 3
14 PHOENIX trial proves to be negative, as well as
15 increased tolerance for a false negative if it is
16 not approved during this review and the PHOENIX
17 trial reads out positive.

18 I think really important and critical in
19 that setting, it's really critical to listen
20 carefully to the patients and the patient
21 community, as well as the clinicians who are taking
22 care of patients with ALS. And we heard from both

1 of those groups, and they're both key stakeholders
2 in this discussion, and we heard from them during
3 the public hearing today.

4 Just to close my remarks, I think all of
5 this is consistent with FDA's initiative as part of
6 the 21st Century Cures Act, which identifies the
7 importance of using real-world evidence in
8 assessing the approvability of investigational
9 agents, as well as the value of the patient's voice
10 in the context of patient-focused drug development,
11 especially in the setting of rare and fatal
12 diseases with significant unmet medical need.

13 Thank you.

14 DR. MONTINE: Thank you.

15 We need to watch our time, so I guess I'll
16 take my chair's prerogative and try to refocus us
17 again on the discussion point. The point is
18 effectiveness. Has the new information that we
19 received today, the post hoc analysis, the
20 biomarker data, all that -- again, remembering what
21 we had in March, is anyone -- who is strongly
22 persuaded by the new information of effectiveness?

1 DR. R. ALEXANDER: Dr. Montine, this is
2 Robert Alexander. I just want to respond to
3 Dr. Nath's comment since he observed survival in
4 the placebo group was longer than what was
5 predicted by the two natural history cohorts,
6 discounted them. When you think about it, they
7 were on placebo through the double-blind period,
8 but the majority did go on to drug in the open
9 label; so they're not in that sense a pure placebo
10 group, so they have an intermediate survival and in
11 some ways makes sense to me.

12 I did ask a question to the sponsor; when
13 you look at the subjects who never went into the
14 open label, who are initially randomized to
15 placebo, their survival seemed to be closer to the
16 prediction. So it will be interesting to hear what
17 other members think, or maybe I'm thinking about it
18 the wrong way. But it seemed to me that the
19 difference between the natural history predictions
20 and the survival on the placebo can't be explained
21 in a way that doesn't discount those analyses.
22 Thanks.

1 DR. MONTINE: Thank you.

2 DR. NATH: Jeff, this is Avi. Thanks for
3 that explanation. I appreciate that. Thanks.

4 DR. MONTINE: Thank you, Avi.

5 So effectiveness, if we could focus our
6 comments on how today's new information has moved
7 you, one way or the other, on effectiveness.

8 DR. TRAYNOR: This is Bryan Traynor here. I
9 would say that it's mildly to moderately
10 persuasive. The issue that comes up with looking
11 at the natural history data is what's the source of
12 that natural history data.

13 Simply, we pretty much as epidemiologists in
14 ALS kind of recognize that the gold standard is
15 really the population-based registries that are
16 available, particularly in Europe. Now some of
17 them were part of that ENCALs efforts, so the Irish
18 and the various Italian databases were part of
19 that. I think even the English one was as well,
20 although there was other data thrown in there as
21 well. But that data is probably as close to
22 population base as you're going to get in the ALS

1 world.

2 I'm not so much impressed by numbers, but
3 that really is not the issue when it comes to ALS.
4 It's more the source of the data and the population
5 base. So I'd say I'm mildly to moderately
6 persuaded by that. At least it's better than what
7 it was in March.

8 DR. MONTINE: Great. Thank you,
9 Dr. Traynor; very helpful.

10 I'll just go down the list as I see it.

11 Dr. Caleb Alexander, your hand is up.

12 DR. C. ALEXANDER: Yes. Can you hear me?

13 DR. MONTINE: I can.

14 DR. C. ALEXANDER: Great. Yes. I think the
15 natural history data is very interesting. I was a
16 little surprised that the sponsor didn't suggest it
17 as the primary confirmatory evidence of efficacy,
18 although I'm sure they have their reasons guided by
19 the FDA to suggest that the open-label data is the
20 primary confirmatory evidence. But the issue with
21 the natural history data is not just the source of
22 the information, but it's how it's analyzed, and

1 how those analyses are put together.

2 I think what we heard from the FDA is that,
3 typically -- there was a very good question from
4 one of you, which was a question that I was going
5 to ask myself, which was in what settings is
6 natural history data, or what the FDA calls,
7 quote/unquote, "well-documented natural history of
8 disease studies," in what settings would that
9 suffice as confirmatory evidence? And what we
10 heard from the FDA was that it's in settings where
11 it is prespecified and where there is a great deal
12 of work, a priori, between the FDA and the sponsor
13 to design and execute those studies.

14 History is rife with examples of natural
15 history studies that have gone sideways and that
16 have not alternately borne out, so I think that the
17 selection of external controls and the use of
18 natural history studies as a basis for that is one
19 which requires an extraordinary amount of caution.
20 And that's the reason that we heard the FDA's
21 perspective, that in settings where they
22 believe -- I don't want to misquote them, but

1 essentially that in settings where it's used as
2 confirmatory evidence, that it's prespecified, and
3 those analytic plans are carefully developed
4 between the sponsor and the FDA; not after the
5 fact, but in advance, in advance of the studies
6 being underway.

7 DR. MONTINE: Great. Thank you. So you
8 find it less persuasive. I don't mean to put words
9 in your mouth, but just summarizing for me.

10 Dr. Fischbeck, your feelings, your thinking
11 or perspective.

12 DR. FISCHBECK: Yes. There's more analysis
13 and some more data that was presented today, but it
14 has not for me reached the level of substantial
15 evidence of effectiveness that we need to approve
16 it, or the FDA needs to -- or encourage them to
17 approve it.

18 Just to touch briefly again on Dr. Traynor's
19 earlier point about cost, it's true that we're not
20 supposed to be talking about how much it cost, and
21 I did ask last time, and the CEO of the company
22 didn't answer or refused to answer. He said,

1 "Well, we have to figure it out later."

2 But what we are basically doing, as I
3 understand it, is helping to decide whether or not
4 the company can charge for this drug between now
5 and the end of phase 3, or perhaps longer, and I
6 don't think it's met the standard of evidence to
7 allow them to sell the drug. I don't think there's
8 any limit on their ability to give it away for
9 free. They can do that by expanding their expanded
10 access program or by opening phase 3 in the U.S.
11 They already had the sites identified and started
12 enrolling patients, so that would give ALS patients
13 the opportunity to participate in the trial in this
14 country. I think they should move in that
15 direction rather than pushing us further to approve
16 it for sale.

17 DR. MONTINE: Thank you so much for your
18 comments.

19 Dr. Apostolova, your hand is up.

20 DR. APOSTOLOVA: Okay. Can you guys hear
21 me?

22 DR. MONTINE: I can.

1 DR. APOSTOLOVA: Oh, good.

2 Yes, it's interesting that people bring the
3 question of cost. I come from the Alzheimer's
4 world where it's a very common disorder that goes
5 on for 10-15 years, so the ramification of a costly
6 drug for the treatment of Alzheimer's is huge. ALS
7 is a rare disease. Survival is a couple of years
8 or three, so I don't know that I would factor in
9 cost as much.

10 On the other hand, has the data persuaded
11 me? Mildly to modestly. I've been reassured by
12 seeing two external control samples with all the
13 [indiscernible] mentioned by the FDA statisticians
14 that they still find are prolonged survival. So
15 it's unidirectional. There is no -- to me, that is
16 some confirmation of effect, and to deprive ALS
17 patients from a drug that might work, it's probably
18 not something I would feel terribly comfortable
19 with my conscience, to say.

20 In the previous meeting, it wasn't that
21 clear. It's still questionable, but, yes, I would
22 say cost for a rare disease with such a harsh

1 prognosis, I don't know if I'll go there.

2 DR. MONTINE: Thank you, Liana. That's very
3 helpful.

4 Mr. Weston, you have your hand up.

5 MR. WESTON: Yes. Thanks. A couple of
6 thoughts comparing the information we had to
7 consider for this meeting against what we saw in
8 March, I was a little disappointed at the lack of
9 more persuasive confirmatory analysis. I was
10 hoping for something more. I voted in favor of
11 this the last time because I felt, on balance, it
12 made sense from a patient representative
13 perspective.

14 I'm not a statistician. I'm not a
15 practicing physician, but I can't decouple my
16 thoughts about that from my thoughts about the
17 unmet need and where this drug is going in trial,
18 so I won't be changing my vote for those reasons.

19 But also on the topic of cost, I don't know
20 if anybody's looked at the fact that oral
21 edaravone, at least on my insurance formulary, is
22 ridiculously expensive. I wouldn't take it. It

1 just cost way too much, yet that's fully approved,
2 and there wasn't a lot of discussion about that.
3 Bang. It's on the market, so they can charge for
4 it. And that's a whole different meeting, I think,
5 is the way in which our corporate medical world is
6 regulated and how profit is a motivation, a
7 necessary motivation. But back to the question,
8 I'm equally maybe a little bit more persuaded that
9 this is a drug that we should recommend approval of
10 to the FDA.

11 DR. MONTINE: Thank you, Mr. Weston.

12 We're running short on time, so just to be
13 fair, my own opinion is I agree with Liana and
14 others who have said that what we were shown today,
15 although still has its limitations and challenges,
16 it's all trending in the same direction. So it has
17 pushed me further along in my assessment of its
18 effectiveness. Then, of course, as Mr. Weston and
19 others have eloquently reminded us, we need to
20 consider the urgent need for new therapeutics in
21 this area.

22 I'm not entirely sure. Liana and Mark

1 Weston, your hands are up, but I imagine that's
2 because you just spoke. Yes.

3 Liana, do you have another -- no. Okay.
4 Thank you.

5 We now move on to the next question, which
6 is a voting question. Dr. Jessica Seo will provide
7 the instructions for the voting.

8 DR. SEO: Thank you, Dr. Montine. I
9 apologize. I just wanted to relay to you, before
10 we get to the voting, that there were some requests
11 from the FDA and sponsor to speak, for your
12 consideration, to grant their requests --

13 DR. MONTINE: No, of course. I'm sorry.

14 DR. SEO: -- if you feel it's relevant to a
15 discussion.

16 DR. MONTINE: Of course. I'm sorry. I
17 didn't see the comments in the chat.

18 Dr. Buracchio, please.

19 DR. BURACCHIO: Hi. This is Teresa
20 Buracchio. I just wanted to provide some
21 additional clarification on a comment that I made
22 earlier in response to a question regarding the

1 ability of externally-controlled data to serve as
2 confirmatory evidence. I did state that, ideally,
3 such data would be prespecified and discussed with
4 the agency prior to doing the analyses. I think
5 that is an ideal situation but often may not be
6 feasible.

7 So I do want to just note that confirmatory
8 evidence can be just about anything. I gave some
9 examples on one of the slides from our guidances of
10 what confirmatory evidence could be, and I think
11 natural history data was listed as one of those
12 things on there that was intended to support
13 particularly persuasive results.

14 But I think if we did have natural history
15 data as a comparison, and we did have very
16 compelling results that were able to overcome many
17 of the biases that are inherent to those types of
18 analyses, that we would be willing to consider
19 those for confirmatory evidence even if the sponsor
20 had not had the opportunity to discuss those with
21 the agency beforehand.

22 So I just wanted to clarify that point, and

1 I'll ask Dr. Dunn if he had anything he wanted to
2 add to that comment.

3 DR. DUNN: Thanks, Dr. Buracchio.

4 This is Dr. Dunn. No, nothing specific to
5 add, other than to support your portrayal of the
6 situation. It's certainly true that when sponsors
7 want to work with us in difficult situations to use
8 an external control as kind of a primary basis for
9 assessing an outcome, a primary outcome in a
10 prespecified way, then a lot of that rigorous,
11 prospective discussion is going to occur because,
12 as Dr. Buracchio said, it's not even that uncommon
13 to interrogate external databases of sufficient
14 quality to try to get a sense about how things are
15 going after a study may have been performed.

16 Of course that's not the same as using those
17 data for a primary outcome in a prespecified way,
18 but its ability to serve as confirmatory evidence
19 is as Dr. Buracchio described, and there's no need
20 to identify any one particular thing as the
21 confirmatory evidence. There's obviously a variety
22 of related pieces of data that are being

1 entertained here for confirmatory evidence, as
2 Dr. Buracchio said; but nothing to add, other than
3 just to support your comments, Dr. Buracchio.
4 Thank you.

5 DR. MONTINE: Thank you both.

6 I understand that our colleagues from Amylyx
7 would like to make a comment. That's fine. I just
8 would please ask to be brief. We're running short
9 on remaining time allotted. Please.

10 DR. TIMMONS: Yes. Hello. This is
11 Dr. Timmons. I'll be very brief. We're actually
12 on the same wavelength as the FDA. We found a
13 publication that summarized 45 drug approvals from
14 2000 to 2019 that used external controls in their
15 approval. Forty-four percent of those came from
16 historical controls that were derived
17 retrospectively, as showed today. So we just
18 wanted to share that information. Thank you.

19 DR. MONTINE: Thank you. Okay. So I'll try
20 this again.

21 We will now move on to the next question,
22 which is a voting question. Dr. Jessica Seo will

1 provide the instructions for the voting.

2 DR. SEO: Thank you, Dr. Montine.

3 Question 2 is a voting question. Voting
4 members will use the Adobe Connect platform to
5 submit their votes for this meeting. After the
6 chairperson has read the voting question into the
7 record and all questions and discussion regarding
8 the wording of the vote question are complete, the
9 chairperson will announce that voting will begin.

10 If you are a voting member, you will be
11 moved to a breakout room. A new display will
12 appear where you can submit your vote. There will
13 be no discussion in the breakout room. You should
14 select the radio button that is the round circular
15 button in the window that corresponds to your vote,
16 either yes, no, or abstain. You should not leave
17 the "no vote" choice selected.

18 Please note that you do not need to submit
19 or send your vote; again, you need only to select
20 the radio button that corresponds to your vote.
21 You will have the opportunity to change your vote
22 until the vote is announced as closed. Once all

1 voting members have selected their vote, I will
2 announce that the vote is closed.

3 Next, the vote results will be displayed on
4 the screen. I will read the vote results from the
5 screen into the record. Thereafter, the
6 chairperson will go down the roster, and each
7 voting member will state their name and their vote
8 into the record. You can also state the reason why
9 you voted as you did, if you want to; however, you
10 should also address any subparts of the voting
11 question, if there are any.

12 Are there any questions about the voting
13 process before we begin?

14 DR. C. ALEXANDER: Well, this is Caleb
15 Alexander. I have a question about the question
16 itself.

17 DR. SEO: I believe Dr. Montine will go
18 ahead and read the question into the record and ask
19 for any questions about the voting.

20 DR. C. ALEXANDER: That's fine.

21 DR. SEO: Dr. Montine?

22 DR. MONTINE: Thank you.

1 Question 2. Vote. Considering the new
2 information submitted and the information presented
3 at the March 30, 2022 PCNS meeting, is the
4 available evidence of effectiveness sufficient to
5 support approval of sodium phenylbutyrate/
6 taurursodiol, AMX0035, for treatment of patients
7 with ALS? In addition to the prior and new
8 evidence presented, you may take into account in
9 your vote the unmet need in ALS, the status of the
10 ongoing phase 3 trial, and the seriousness of ALS.

11 Are there any questions or comments about
12 the voting question?

13 Dr. Caleb Alexander?

14 DR. C. ALEXANDER: Yes. This is Caleb
15 Alexander. Previously we were asked whether the
16 data established a conclusion that the product is
17 effective, and now we're being asked whether there
18 is available evidence sufficient to support
19 approval.

20 Are either of these asking whether we
21 believe there's substantial evidence of
22 effectiveness, or let me be more pointed and direct

1 and ask, are we currently being asked in this
2 question whether there is substantial evidence of
3 effectiveness? Are we being asked if the evidence
4 today fulfills, meets, or exceeds the regulatory
5 and statutory requirements for approval?

6 DR. MONTINE: Dr. --

7 DR. BURACCHIO: Hi. This is Teresa
8 Buracchio.

9 (Crosstalk.)

10 DR. BURACCHIO: If I can start.

11 I was going to say that, in essence, I think
12 we are asking about substantial evidence of
13 effectiveness without using that language
14 specifically. We were trying to use language that
15 basically gets at the idea of substantial evidence
16 of effectiveness, but we didn't want to use
17 regulatory too much in the language. We were
18 trying to make it, a little, plain language.

19 Dr. Dunn, did you want to add anything to
20 that?

21 (Crosstalk.)

22 DR. C. ALEXANDER: But that is what you're

1 asking, just to be clear. I'm sorry. That is what
2 you're asking, just to be clear, is whether the
3 data provides substantial evidence of
4 effectiveness, as you nicely defined it and
5 explained it in the briefing materials today.

6 DR. BURACCHIO: Yes, that is correct.

7 DR. DUNN: This is Dr. Dunn. I'll jump in
8 if I could.

9 DR. C. ALEXANDER: Okay.

10 DR. DUNN: Dr. Buracchio, is that alright if
11 I jump in.

12 DR. BURACCHIO: Yes. Please do.

13 DR. DUNN: Right.

14 So it's an important question, and we
15 certainly are asking a different question here.
16 We've attempted to provide the committee with the
17 relevant information that speaks to the need to
18 make a scientific and regulatory decision, and
19 we've attempted to provide the committee with the
20 background information on pathways to approval, and
21 for the requirements of that.

22 We recognize that the committee are not

1 regulators, and we also recognize, as we have
2 explained to the committee, a conclusion about the
3 existence of substantial evidence of effectiveness
4 is a regulatory decision that we as regulators must
5 make, and it's a qualitative decision. It's a
6 subjective decision that, as we read to you from
7 regulations, requires the exercise of scientific
8 judgment.

9 So we have attempted to provide the
10 committee with that background for you to give us
11 your opinion about whether or not the evidence that
12 you have heard supports approval. That's our
13 question to the committee.

14 Dr. Montine, I hope that helps. Sorry to
15 take up that extra time there.

16 DR. MONTINE: Oh, it's no problem at all,
17 Dr. Dunn. Please, take whatever time you need.
18 It's a critical question.

19 Thank you, Dr. Alexander, for clarifying.

20 Are there any other comments or questions
21 about this question?

22 (No response.)

1 DR. MONTINE: Hearing none; then if there
2 are no further questions or comments concerning the
3 wording of the question, we will now begin voting
4 on question 2.

5 DR. SEO: We will now move voting members to
6 the voting breakout room to vote only. There will
7 be no discussion in the voting breakout room.

8 (Voting.)

9 DR. SEO: Voting has closed and is now
10 complete. Once the vote results display, I will
11 read the vote results into the record.

12 (Pause.)

13 DR. SEO: The vote results are displayed. I
14 will read the vote totals into the record. The
15 chairperson will then go down the list, and each
16 voting member will state their name and their vote
17 into the record. You can also state the reason why
18 you voted as you did, if you want to; however, you
19 should also address any subparts of the voting
20 question, if any.

21 There were 7 yeses, 2 noes, and zero
22 abstentions.

1 Dr. Montine?

2 DR. MONTINE: Thank you.

3 We will now go down the list and have
4 everyone who voted state their name and vote into
5 the record. You may also provide justification of
6 your vote, if you wish to.

7 We'll start with the top of the list,
8 Dr. Nath.

9 DR. NATH: Thank you, Dr. Montine.

10 I voted yes, and I had voted yes previously
11 also, and I didn't see any reason to change that
12 vote. But the presentation by the company, the
13 analysis by the FDA was a very in-depth analysis
14 the last time, and again this time, as well as the
15 criteria presented to us. The discussion was very,
16 very helpful in helping me make a decision. Last
17 time I was on the fence and wasn't really sure
18 which way to go, but this time it helped me move a
19 little bit more towards the yes vote. Thank you.
20 Over.

21 DR. MONTINE: Thank you.

22 Dr. Traynor?

1 DR. TRAYNOR: Hello. Hi. Can you hear me?

2 DR. MONTINE: I can.

3 DR. TRAYNOR: Good. This is Bryan Traynor.
4 I voted yes, and I based my decision on a number of
5 factors, which I'm going to outline now.

6 First and foremost, obviously the
7 seriousness of the disease and the unmet need for
8 treatment, but more relevant to what was discussed
9 today, I think that the provision of the new
10 information was supportive; albeit, a little bit
11 exploratory, but it's still supportive in its
12 overall nature.

13 I was also struck by the public statement of
14 the CEO on behalf of the company, convincing them
15 to voluntarily withdraw the drug if the phase 3 is
16 negative, and in addition, the existence of an
17 established method for the FDA to withdraw it if
18 need be if that voluntary method doesn't actually
19 work.

20 So on balance, I think the danger of
21 delaying treatment by 3 years kind of overcomes, in
22 a pretty robust way, the relatively real risk of a

1 false positive result for the phase 3. But I think
2 that now, at this stage, we have to approve it, and
3 wait and see what the actual results of the phase 3
4 is down the line. Thank you

5 DR. MONTINE: Thank you.

6 Dr. Follmann, please?

7 DR. FOLLMANN: Yes. Thank you. My name is
8 Dean Follmann. I voted yes. I voted yes in March,
9 and I thought that the evidence today was fairly
10 similar to what we voted on in March. I think
11 there was a better estimate of the survival benefit
12 presented, but the totality of evidence, I thought
13 the information content was similar to March.

14 What I did find different was, the updates,
15 a thoughtful and thorough discussion about the
16 evidentiary basis for approval, and I think that
17 helped me to some extent, and I think it helped the
18 other board members, the committee members as well,
19 so I appreciate that discussion that they did.
20 Over.

21 DR. MONTINE: Thank you.

22 Dr. Caleb Alexander?

1 DR. C. ALEXANDER: Thank you. I voted no.
2 First, I do want to thank the sponsor and trial
3 participants, and their loved ones, and the FDA,
4 and all the parties that have come together and are
5 working to develop new treatments for this disease.

6 I did vote no. As a husband, and father,
7 and son, and clinician, I don't doubt for a minute
8 the value of additional days or months of life, nor
9 the willingness of many patients, many in the ALS
10 community, to take upon the risks that this product
11 doesn't work. But I voted no last time and,
12 unfortunately, I don't believe the new evidence
13 that we've reviewed, while promising,
14 constitutes -- combined with that prior
15 evidence -- substantial evidence of effectiveness.

16 We essentially have a single study with many
17 non-trivial scientific concerns, confirmatory
18 evidence that's not prespecified derived from the
19 same study, and post hoc natural history analyses
20 that we've heard about. In most cases where a
21 single study may be used for approval, it's because
22 the second trial is impracticable or unethical, and

1 here not only is another trial feasible, it's
2 actually underway.

3 I also think the FDA, with all due respect,
4 significantly understates the complexity and
5 likelihood of their pulling a product from the
6 market. Frankly, I'm not sure it's ever taken
7 place, although admittedly in rare cases,
8 manufacturers themselves have made the decision to
9 do so. But regardless, as we heard from the FDA,
10 whether or not they can ultimately pull a product
11 from the market, it's no substitute for the
12 evidentiary thresholds that are required for market
13 access.

14 So again, I want to thank the parties
15 involved working to develop new products for ALS,
16 and I'm just as much looking forward to the results
17 of the PHOENIX trial as anybody else. Thank you.

18 DR. MONTINE: Thank you.

19 Dr. Fischbeck, please?

20 DR. FISCHBECK: I agree with Dr. Alexander,
21 Dr. Caleb Alexander. I'm impressed with all that
22 was presented today by the company and by the FDA,

1 and really moved by the comments, all 1288 comments
2 that were submitted. I didn't read them all, but I
3 read enough to get the sense of burden that these
4 families and patients are facing, and I'm well
5 aware of that from having cared for ALS patients
6 over the course of my career.

7 I do not think, though, that there is
8 substantial evidence of effectiveness at this
9 point. As Dr. Alexander said, there were some
10 problems with the study in terms of the
11 randomization, for example. The additional data is
12 useful, but it's post hoc and not prespecified, the
13 analysis that was used, which is kind of like
14 trying to change the result of an athletic game,
15 or I hesitate to say, an election, after the fact,
16 after the trial is finished.

17 So I don't think that it's quite met the
18 standard that we should have here to move forward
19 with giving approval to the company before we have
20 the results of phase 3. I do look forward to
21 phase 3, and I appreciate the company's expanded
22 access program, and I hope that we get the results

1 expeditiously and move on with a safe and effective
2 treatment.

3 DR. MONTINE: Thank you.

4 Dr. Apostolova?

5 DR. APOSTOLOVA: Yes. I voted yes. I was
6 previously a nay voter, and today's meeting, first
7 and foremost, of course we need to take into
8 account the disease that is being investigated,
9 ALS. It's a horrific disorder. It's a death
10 sentence. And very similar to the March 30
11 meeting, again, today, we have to have an internal
12 dialogue between our scientific scrutiny and
13 clinical compassion.

14 However, today I also saw there's now
15 additional confirmatory evidence, which is not
16 unequivocally persuasive, but nonetheless, it's
17 quite reassuring, and because of that, I am voting
18 in support of AMX0035.

19 DR. MONTINE: Thank you.

20 Mr. Weston?

21 MR. WESTON: Yes. Thank you. Just a couple
22 of additional comments beyond those that I made

1 prior to the vote, for the record, this is Mark
2 Weston, and I did vote in favor.

3 A lot of it has to do with the ok support
4 for the previously presented data back in March,
5 but also, my people, my community, they are willing
6 to risk their lives by seeking out sometimes wildly
7 expensive treatments that can be deadly or
8 dangerous, and others of us hope to be able to
9 access the expanded access programs for
10 experimental drugs. But the trouble with that is
11 that not every person with ALS has access to a
12 multidisciplinary clinic, and then to further
13 narrow that choice, not every clinic has an
14 expanded access program. They're really expensive
15 to operate, a lot of monitoring, a lot of
16 reporting, and many very good neurologists work in
17 hospitals, and offices, and clinics, where they
18 simply don't have the bandwidth to do that, so it's
19 very, very exclusionary.

20 As others have said, even if this drug, if
21 it gets approved, doesn't work as desired, we live
22 in a pretty competitive society, and people just

1 won't take it if it doesn't -- word gets around,
2 and surviving people with ALS aren't going to take
3 it. The FDA has grounds to withdraw it. Whether
4 or not they would do it, I agree that's maybe a
5 remote possibility, but they could do it. And I'd
6 rather see people have access to this drug by a
7 prescription, and maybe even through insurance
8 carriers, and even less likely at an affordable
9 price; but maybe we can keep our fingers crossed on
10 that.

11 Those are some of the random reasons why I
12 voted the same way that I voted on March 30th,
13 which is to recommend to the FDA that it get off
14 the fence, forthwith, and approve this. Thank you
15 very much.

16 DR. MONTINE: Thank you, Mr. Weston.

17 Dr. Alexander, Robert Alexander?

18 DR. R. ALEXANDER: Yes. This is Robert
19 Alexander, and I voted yes. I want to say that I
20 really appreciate the testimony of the many ALS
21 patients and their families, and just like last
22 time, it really underlies the seriousness of ALS

1 and the profound unmet medical need.

2 It was a close call last time, so it does
3 represent a change from my March vote. But I felt
4 that there was now additional evidence to believe
5 that there is a survival benefit associated with
6 the drug, and that in my mind was sufficient for me
7 to change my vote. Thanks.

8 DR. MONTINE: Thank you.

9 For the record, my name is Thomas Montine.
10 I voted yes. My justification, or just for
11 background, I voted no the last time. What I found
12 especially helpful in today's discussion was the
13 reviews by Dr. Dunn and Buracchio on judging what
14 substantial evidence is within context, and setting
15 that context with the ongoing phase 3 trial, the
16 seriousness of the disease, the unmet medical need,
17 the moving testimony of patients and families, and
18 the consistent testimony of experts in treating
19 patients with ALS. Although there are still
20 limitations, in aggregate, my judgment was for,
21 yes, to support.

22 I'd like to thank everyone for their time,

1 thoughtful, and effort into what was a very
2 informative day. I'd like to thank our colleagues
3 from Amylyx, of course our colleagues from the FDA,
4 all of our presenters in the open session,
5 especially patients and their loved ones. I think
6 we've had a robust discussion that reflects the
7 difficulty of this decision, and I know I speak for
8 all of us in thanking the FDA for inviting our
9 input.

10 Before we adjourn, Dr. Dunn, or any of our
11 colleagues from the FDA, would you like to make a
12 comment?

13 DR. DUNN: Sure, Dr. Montine. This is
14 Dr. Dunn. Thank you for offering me the chance to
15 say thank you. We're just a few minutes over time,
16 and I appreciate your very well-managed handling of
17 a difficult schedule today. I'll keep it brief
18 since we are over time.

19 I simply want to echo what you just said,
20 Dr. Montine, in your thanks that are offered to the
21 patients and their loved ones, and also to so many
22 who are invested more broadly in the ALS community,

1 who took their time and effort to address the
2 committee, either directly today or in the
3 extensive written comments, as we heard about from
4 the committee members. That represents a lot of
5 effort, and we take that very seriously. We keep
6 these issues in mind at all times, and we're
7 deeply, deeply appreciative of that input.

8 I very much want to thank the committee
9 members as well. As we said at the beginning of
10 the meeting, and reiterated throughout the day, we
11 thought it was imperative that this committee have
12 the opportunity -- given the sophisticated
13 discussions that occurred during the first meeting
14 and the supplementary material that the sponsor
15 brought to our attention, we thought it was
16 imperative that the committee have an opportunity
17 to fully contemplate those data and give every
18 aspect of the application their full consideration.
19 We know that represented additional work for you.
20 We know that's not easy, and you take time away
21 from your normal workflow to do that on behalf of
22 the American public.

