

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION

FOOD AND DRUG ADMINISTRATION SCIENCE BOARD ADVISORY  
COMMITTEE MEETING

8:30 a.m.

Tuesday, June 14, 2022

(Via Virtual Webcast)

10903 New Hampshire Avenue  
Silver Spring, Maryland 20993

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

MEETING ROSTER

Designated Federal Officer

Rakesh Raghuwanshi, MPH

Office of the Chief Scientist, Office of the

Commissioner, Food and Drug Administration

Science Board Members

Cynthia A. Afshari, Ph.D., DABT

Anthony Bahinski, Ph.D, MBA, FAHA

Kathryn Boor, Ph.D.

Barbara B. Kowalcyk, Ph.D. (Chair)

Richard Linton, Ph.D.

Lisa K. Nolan, DVM, Ph.D.

Theodore F. Reiss, M.D., MBE

Dojin Ryu, Ph.D.

Minnie Sarwal, M.D., DCH, FRCP, Ph.D.

Laura I. Tosi, M.D.

Connie Weaver, Ph.D.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A G E N D A

<u>AGENDA ITEM:</u>	<u>PAGE:</u>
Opening Introductions	5
Barbara Kowalcyk, Ph.D. Science Board Chair	
Conflict of Interest	10
Rakesh Raghuwanshi, MPH, Designated Federal Officer, Science Board, FDA	
New Alternative Methods	14
Janet Woodcock, M.D., Principal Deputy Commissioner, FDA	
David Strauss, M.D., Ph.D., Director, Division of Applied Regulatory Science, FDA	
Jacqueline O'Shaughnessy, Ph.D., Acting Chief Scientist, FDA	
Commissioner's Update and Data Science Efforts	60
Robert Califf, M.D., Commissioner of Food And Drugs, FDA	
Open Public Hearing	109

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A G E N D A

<u>AGENDA ITEM:</u>	<u>PAGE:</u>
CFSAN Session: Challenges in Evaluating the Safety of Dietary Supplement and Food Ingredients With Predicted Pharmacological Activity	153
Janet Woodcock, M.D., Principal Deputy Commissioner, FDA	
Patrick Cournoyer, Ph.D., Acting Science and Policy Coordinator, Cannabis Product Committee, Office of the Commissioner	
Cassandra Taylor, Ph.D., Chemist, Botanical Review Team, Office of Pharmaceutical Quality, CDER, FDA	
Gregory Noonan, Ph.D., Acting Deputy Director, Office of Dietary Supplement Programs, CFSAN, FDA	
Jeremy Gingrich, Ph.D., Toxicologist, Office of Food Additive Safety, CFSAN, FDA	
Steven Musser, Ph.D., Deputy Director for Scientific Operations, CFSAN, FDA	
Final Thoughts and Closing Comments	282
Barbara Kowalcyk, Ph.D., Science Board Chair	

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

P R O C E E D I N G S

Opening Introductions

DR. KOWALCYK: Okay. Good morning, everyone.

I hope you can hear me.

Welcome to the Science Board meeting. As this is a virtual meeting, I would first like to remind everyone to please mute yourselves when you are not speaking. As this meeting is also being webcast and transcribed, please ensure you speak clearly, slowly, and state your name each time you speak so that the transcriber can accurately capture your thoughts.

If you are on mute while you're speaking, we will remind you to unmute and you can restate your comments.

My name is Dr. Barbara Kowalcyk, and I'm the Chairperson of the Science Board to the FDA and I will be chairing this meeting.

I will start by letting the Science Board members introduce themselves. I'll call on each one of you in alphabetical order by last name and will ask that you also mention your affiliation and your role at your institution. I'll begin with myself.

1           Again, my name is Dr. Barbara Kowalcyk. I am  
2 faculty at the Ohio State University in the Department  
3 of Food Science and Technology. I am also Core Faculty  
4 member in the Translational Data Analytics Institute at  
5 OSU and I direct the Center for Foodborne Illness  
6 Research and Prevention.

7           Next, I will call on Dr. Cynthia Afshari.

8           DR. AFSHARI: Hi, this is Cynthia Afshari. I  
9 work for Janssen Pharmaceuticals, and I'm the Global  
10 Head of Preclinical Sciences and Translational Safety.

11          DR. KOWALCYK: Thank you.

12          I will now call on Dr. Anthony Bahinski.

13          DR. BAHINSKI: Good morning. Hopefully that  
14 got rid of it. Unfortunately, I'm having trouble with  
15 my --

16          MR. RAGHUWANSHI: Oh, we can hear you fine,  
17 Tony.

18          DR. BAHINSKI: Excuse me?

19          MR. RAGHUWANSHI: We can hear you very well  
20 now.

21          DR. BAHINSKI: Okay, great. I'm Tony  
22 Bahinski. I'm the Chief Technology Officer for

1 Vivodyne and there I am in charge of bringing and  
2 implementing the high super-automated systems we're  
3 developing for 3-D human tissue chips.

4 DR. KOWALCYK: Thank you.

5 I'll next call on Dr. Kathryn Boor.

6 DR. BOOR: Good morning. I'm Kathryn Boor.  
7 I'm Professor of Food Science at Cornell University,  
8 also Dean of the Graduate School and Vice Provost for  
9 Graduate Education.

10 DR. KOWALCYK: Thank you.

11 I'll now call on Dr. Rich Linton.

12 DR. LINTON: Well, good morning, everybody.  
13 Rich Linton. I'm President of Kansas State University  
14 and the former Dean of the College of Agriculture and  
15 Life Sciences at North Carolina State University.

16 DR. KOWALCYK: Thank you.

17 Now I'll call on Dr. Lisa Nolan.

18 DR. NOLAN: Hi, I'm Lisa Nolan, Professor  
19 Infectious Disease and Dean of the College of  
20 Veterinary Medicine at the University of Georgia.

21 DR. KOWALCYK: Thank you.

22 I'll next call on Dr. Theodore Reiss.

1 DR. REISS: Hi, this is Ted Reiss here. I  
2 was most recently with a biotech company called  
3 Repertoire Immune Medicine where I was the Executive  
4 Vice President and Chief Medical Officer and Head of  
5 Development. I'm also, for the record, board advisor  
6 to Aerami, a small respiratory biotech company, and on  
7 the advisory board of a medical device company called  
8 Koneska.

9 DR. KOWALCYK: I'll next call on Dojin Ryu.

10 DR. RYU: Hi, my name is Dojin Ryu. I'm a  
11 Professor in the Department of Animal, Veterinary, and  
12 Food Sciences at the University of Idaho.

13 DR. KOWALCYK: Okay. Thank you.

14 I'll now call on Dr. Minnie Sarwal.

15 DR. SARWAL: Good morning. I'm Minnie  
16 Sarwal, and I'm Professor of Surgery in the Division of  
17 Multiorgan Transplantation at the University of  
18 California, San Francisco, with affiliated appointments  
19 in the Department of Medicine and Pediatrics. I also  
20 direct a Precision Transplant Medicine Program and I'm  
21 the Director of the Clearing Ground in Transplant  
22 Surgery as well as the Co-Director of the Pancreas



1 Transplant Program. I have consulting and following  
2 status on companies that respond out of both Stanford  
3 University where I was before as well as at UCSF in  
4 Diagnostics and Kidney Disease and Organ  
5 Transplantation. I'm delighted to be here today.

6 DR. KOWALCYK: Thank you.

7 I'll now call on Dr. Laura Tosi.

8 MR. RAGHUWANSHI: Laura said she might be a  
9 little delayed this morning. So we can come back to  
10 her when she hops on.

11 DR. KOWALCYK: Great, great. Thanks. Thank  
12 you.

13 Now I'll call on Dr. Connie Weaver.

14 DR. WEAVER: Good morning. I'm Distinguished  
15 Research Professor at San Diego State University in the  
16 College of Exercise and Nutrition Science.

17 DR. KOWALCYK: All right. Thank you.

18 I don't believe there are any other FDA  
19 Science Board members on the call. Did I miss anyone?  
20 Okay. Then we'll move along. Thank you, everyone.

21 So our goal is that today's meeting will be a  
22 fair and open forum for discussion of the agenda

1 topics. As a gentle reminder, individuals will be  
2 allowed to speak into the record only if recognized by  
3 the Chair.

4 If you wish to speak, simply use the Raise  
5 Hand function in Zoom to get my attention. Rakesh will  
6 also assist me in recognizing speakers. If I miss you,  
7 feel free to unmute yourself and get my attention.

8 In the spirit of the Federal Advisory  
9 Committee Act and the Government in the Sunshine Act,  
10 we ask that the Advisory Committee members take care  
11 that their conversations about the topics at hand take  
12 place in the open forum of the meeting.

13 Now I'll pass it to Rakesh Raghuwanshi who  
14 will provide some information about Conflicts of  
15 Interest.

16 Rakesh?

17 Conflict of Interest

18 MR. RAGHUWANSHI: Thank you, Barb, and good  
19 morning to all of you. It is so nice to be able to see  
20 you again, having been knee-deep in the pandemic for  
21 the last two years. We haven't had too much of a  
22 chance to interact and hopefully this fall we'll be

1 able to see you all in person for an in-person meeting.

2 I'd like to welcome the members of the  
3 Science Board, the public, and the FDA staff members to  
4 today's meeting.

5 Today, the Science Board will consider  
6 Challenges in Evaluating the Safety of Dietary  
7 Supplements and Food Ingredients with Predicted  
8 Pharmacological Activity Utilizing Cannabinoids as a  
9 Case Study.

10 The Science Board will also hear about the  
11 agency's enhanced efforts to spur the development,  
12 qualification, and adoption of new alternative methods  
13 for regulatory use that can replace, reduce, and refine  
14 animal testing and have the potential to provide both  
15 more timely and more predictive information to  
16 accelerate product development and enhance emergency  
17 preparedness.

18 Lastly, the Science Board will hear about the  
19 agency's efforts to ensure optimal organization,  
20 infrastructure, and expertise for data science efforts  
21 in alignment with its regulatory scope and evidence-  
22 based decision-making in support of FDA's public health

1 priorities.

2 All members of this advisory committee are  
3 special government employees and are subject to federal  
4 conflict of interest laws and regulations.

5 The following information on the status of  
6 this committee's compliance with federal ethics and  
7 conflict of interest laws covered by but not limited to  
8 those found at 18 USC 208 is being provided to  
9 participants in today's meeting and to the public.

10 FDA has determined that members of this  
11 committee are in compliance with federal ethics and  
12 conflict of interest laws. Based on the agenda for  
13 today's meeting, no conflict of interest waivers have  
14 been issued in connection with this meeting.

15 We have an Open Public Comment period  
16 scheduled for 11 a.m. with seven members of the public  
17 having signed up to speak.

18 For our members and other panelists, please  
19 remember to unmute yourselves when you're speaking and  
20 mute yourselves when you are not speaking to help  
21 minimize any background noise so that our transcriber  
22 can pick up all that is being stated.

1           Thank you so much for taking the time to be  
2 here today and taking part in the Science Board  
3 meeting.

4           Barb, I'll turn it back over to you now.

5           DR. KOWALCYK: Thank you very much.

6           We're going to jump right into things this  
7 morning. The first topic is New Alternative Methods  
8 and we are very glad to have Drs. Janet Woodcock, David  
9 Strauss, and Jacqueline O'Shaughnessy here with us  
10 today.

11           Before they begin their presentation, I'd  
12 like to request that each one of you please introduce  
13 yourselves for the record and briefly describe your  
14 role at the agency, starting with Dr. Woodcock, then  
15 Dr. Strauss, and then Dr. O'Shaughnessy.

16           I would like to make sure to note that we do  
17 look forward to hearing more from you, Dr.  
18 O'Shaughnessy, at our next Science Board meeting this  
19 fall to learn more about your efforts within the Office  
20 of the Chief Scientist, which is an integral part of  
21 FDA, and also to get to know you better.

22           So I will now pass this over to Dr. Woodcock.

1                                   New Alternative Methods

2                   DR. WOODCOCK: Thank you.

3                   I'm Janet Woodcock. I'm currently the  
4 Principal Deputy Commissioner at FDA and I am very  
5 happy to meet this distinguished panel and I think we  
6 are bringing some real tasty issues for you to wrestle  
7 with scientifically today.

8                   Jackie?

9                   DR. O'SHAUGHNESSY: Hi, good morning. I'm  
10 Jackie O'Shaughnessy. I'm currently serving as FDA's  
11 Acting Chief Scientist and I began serving in this role  
12 when Rear Admiral Denise Hinton began her appointment  
13 as the Deputy Surgeon General last fall.

14                  I, of course, want to thank, as well as Dr.  
15 Woodcock had just mentioned, the efforts of the Science  
16 Board members really for your time. We're, of course,  
17 grateful for your service and, of course, the Office of  
18 the Chief Scientist has and is continuing to advance  
19 all of these efforts as related to our first topic  
20 today on the New Alternative Methods and really look  
21 forward to the opinions and discussion.

22                  Thank you.

1 David?

2 DR. STRAUSS: Good morning. I'm David  
3 Strauss. I'm Director of the Division of Applied  
4 Regulatory Science in the Center for Drug Evaluation  
5 and Research at FDA, and I am presenting today on  
6 behalf of a group that spans all of the Product Centers  
7 at FDA and is on the New Alternative Methods Initiative  
8 and I'm looking forward to talking to you further about  
9 that in a minute.

10 DR. KOWALCYK: Okay. Dr. Woodcock, would you  
11 like to make your presentation?

12 DR. WOODCOCK: Certainly. Well, I'd just  
13 like to make an introduction to this first topic which  
14 is about the qualification of New Alternative Methods.

15 I noticed when people introduced themselves  
16 that many of you were involved in translational science  
17 and at least at FDA translational science involves  
18 evaluation of evolving products and technologies and we  
19 must use evaluative tools or translational tools,  
20 right, that help us determine what the performance  
21 characteristics are of the new method or whatever and,  
22 you know, how reliable it is, how predictive it is for

1 use in making regulatory decisions.

2           And so the question arises how will you get  
3 on the path from a new alternative method of some type,  
4 a new evaluative method that has been developed,  
5 whether it's a patient-reported outcome, a new  
6 biomarker, a new kind of clinical trial design, or some  
7 type of test that might replace or refine animal tests?

8           A tremendous amount of work has gone into the  
9 new alternative methods space internationally, in the  
10 U.S. FAAM has looked at this, the National Academies  
11 have looked at this, certainly the FDA, and there's a  
12 wide range of government efforts. There's been many  
13 technologies developed, such as NIH, for example, is  
14 very interested in organs on a chip, as everyone knows,  
15 and these have a wide variety of potential  
16 applications.

17           But to move any of those from point of a new  
18 technology that's been developed that perhaps is  
19 standardized somewhat to something that actually can be  
20 used in regulatory decisions that impact human lives,  
21 there's a big gap.

22           We have been working over the years in



1 certain areas, and Dr. Strauss will go into this in  
2 more detail, but, for example, in the biomarker area  
3 and tissue-reported outcomes area and so forth on what  
4 we call qualification process and that is a way to  
5 rigorously determine the performance of a new  
6 evaluative method or we call it TOOL, the new TOOL, and  
7 see what it can do and to what extent can you rely upon  
8 it for making a decision in the specific context of  
9 use.

10           We call that process qualification. If  
11 something becomes qualified for a specific context of  
12 use, then in fact developers or others can use this  
13 tool without having to reprove its validity or  
14 reliability in their circumstances, as long as they  
15 stick to the particular use the tool was qualified for.

16           Now this is something difficult to get one's  
17 head around and this is why we're giving an  
18 introduction only at this meeting and hope to have an  
19 ongoing engagement with the Science Board about this  
20 topic because reducing, refining, and replacing a  
21 current battery of toxicology tests that are used in a  
22 variety of evaluations, everything from contamination

1 by chemicals in the food supply to drug development to  
2 compatibility and testing for devices and so forth, we  
3 do need new tools and we're very eager to have new  
4 tools but those tools have to be fully vetted and it is  
5 a fairly rigorous process.

6           So what we would like to do is really  
7 initiate a process and get going on this because a  
8 tremendous amount of science has been done. There are  
9 many tools out there. They've reached some degree of  
10 standardization and reliability and so I think now is  
11 the time to start really looking at can we qualify them  
12 for various uses and those uses are everything from lot  
13 release tests all the way through to the toxicology  
14 tests that are used for, say, drug development to  
15 first-in-humans and so forth.

16           So with that, I'll turn it over to Jackie  
17 O'Shaughnessy. Dr. Strauss is going to go over this in  
18 much more detail, but I wanted to give a broad  
19 framework first.

20           Thanks.

21           DR. O'SHAUGHNESSY: Thank you very much, Dr.  
22 Woodcock. Greatly appreciate, of course, all of your

1 remarks this morning and really do at this point would  
2 like to turn it over to David to get him to start the  
3 presentation and discussion for everyone.

4 Thank you.

5 DR. STRAUSS: Okay. I'm getting my screen  
6 share going. Okay. Are you seeing slides?

7 DR. KOWALCYK: Yes, we are.

8 MR. RAGHUWANSHI: We see them, David.

9 DR. STRAUSS: Okay. Very good. Thank you.

10 All right. So I'm going to be presenting  
11 today, as just introduced, on Advancing Alternative  
12 Methods for Regulatory Use.

13 My name's David Strauss. I'm Director of the  
14 Division of Applied Regulatory Science in CDER, but I'm  
15 presenting today on behalf of the FDA New Alternative  
16 Methods Group that's come together around this topic,  
17 and I would like to thank all the members of this group  
18 that Dr. O'Shaughnessy and I have been co-leading. We  
19 have members from all the different Product Centers, as  
20 shown here.

21 Here's a key to the abbreviations. We will  
22 be using these abbreviations in the talk but not too

1 much. There are other members from other parts of the  
2 Office of the Commissioner that are also a part of this  
3 group.

4           Why are we here? Well, as Dr. Woodcock  
5 briefly introduced, we plan to seek input from the  
6 Science Board on how the agency can enhance its  
7 existing approaches to support the development,  
8 qualification, and implementation of alternative  
9 methods for regulatory use that can address the so-  
10 called three Rs of animal testing, replace, reduce, and  
11 refine, and improve predictivity of non-clinical  
12 testing.

13           The purpose of today's presentation is to  
14 introduce the topic. We're not seeking specific  
15 detailed feedback from the FDA Science Board today, but  
16 we would like to charge a Science Board subcommittee to  
17 work on this topic and the subcommittee's report would  
18 be presented at a future Science Board meeting.

19           The outline for our talk is to cover a  
20 background, introduce FDA's proposed New Alternative  
21 Methods Program, discuss FDA product areas specific  
22 consideration, foods, drugs, medical devices, tobacco,

1 etcetera, then discuss new alternative methods applied  
2 research and examples of alternative methods use in  
3 regulatory submissions, and, finally, summarize and  
4 discuss next steps.

5           We have a broad mission at FDA. It includes  
6 ensuring the safety of food supply, cosmetics, products  
7 that emit radiation, the safety advocacy and security  
8 of human and veterinary medical products, drugs,  
9 biologic products, medical devices, regulating the  
10 manufacturing, marketing, and distribution of tobacco  
11 products, not just traditional tobacco products but  
12 newer types, as well, and fostering development of  
13 medical products to respond to deliberate and naturally  
14 emerging public health threats, and FDA's mission is at  
15 the core of what we do.

16           Animal testing has played an important role  
17 in fulfilling FDA's mission. As an example, in the  
18 medical product development space, FDA reviews medical  
19 product developers' submitted data to establish under  
20 what conditions a new medical product can be safely  
21 administered to patients, whether some new medical  
22 products carry an increased risk for developmental and

1 reproductive toxicity or an increased cancer risk, and  
2 this includes endpoints that cannot ethically be  
3 obtained in humans, such as histopathological analysis  
4 of all major organs. This is many organs that are  
5 looked at and also blood chemistries of how organs talk  
6 to each other and animal studies play a critical role  
7 to meet this need and bring safe and effective  
8 therapies to patients.

9           At the same time, we have a longstanding  
10 commitment to replace, reduce, and refine animal  
11 testing. A little bit more detail about the three Rs,  
12 replacing, that's a test method that substitutes  
13 traditional animal models with other test systems.  
14 This can include cellular in vitro methods. It can  
15 include in silico computer methods.

16           Reducing, where a test method decreases the  
17 number of animals required for testing, and refining,  
18 where a test method eliminates pain or distress in  
19 animals or enhances animal well-being.

20           New alternative methods incorporate the three  
21 Rs. We have had successes to date with the three Rs.  
22 One example in the drug and biologic space is the

1 International Council for Harmonization, ICH, of the  
2 Technical Requirements for Pharmaceuticals. Prior to  
3 these guidelines, separate animal studies were often  
4 required for developing drugs and biologics in  
5 different countries and regions and so creation of ICH,  
6 which happened in the 1990s and then over the past  
7 decades implementation of many different harmonized  
8 guidelines, has reduced animal testing by decreasing  
9 repeat animal studies that may occur in different  
10 countries or regions and standardizing the timing of  
11 when studies should be conducted. So they are not done  
12 unnecessarily or earlier and you wait until you need  
13 them for critical decision-making.

14           There are other organizations with similar  
15 themes relevant to other product areas. There's a  
16 Veterinary Medicine ICH. There's an International  
17 Collaboration on Cosmetics Regulation, an International  
18 Organization for Standards, ISO, develops standards for  
19 applied medical devices and other product areas.

20           We also had successes with interagency  
21 coordination and collaboration. We play an active role  
22 in the Interagency Coordinating Committee on the

1 Validation of Alternative Methods or ICCVAM. There are  
2 many U.S. Federal Government agencies involved in  
3 ICCVAM and ICCVAM coordinates activities within the  
4 Federal Government relevant to new test method of  
5 evaluation, acceptance, and use, and ICCVAM-coordinated  
6 activities have led to the acceptance of alternative  
7 methods for testing some FDA-regulated products, and we  
8 will talk more about that in a minute.

9           One is paralytic shellfish toxin detection  
10 where in vitro assays in 2013 were listed as approved  
11 methods for the National Shellfish Sanitation Program  
12 Guide in place of an animal test.

13           In the drug space, Botulinum Neurotoxin Type  
14 A, which is used for both cosmetic reasons and for  
15 treating certain medical illnesses or diseases, and you  
16 need to assess the product's stability and potency, and  
17 FDA accepted an in vitro method in 2012 for testing the  
18 stability and potency of drug products in place and the  
19 median lethal dose method in rodents.

20           With regard to pyrogen testing, these are  
21 endotoxin substances that cause fever, FDA guidance in  
22 2012 discussed approaches that could reduce animal use



1 and indicated an in vitro method may be used instead of  
2 an animal test with appropriate product-specific  
3 validation. There's more details in the guidance and  
4 there are also links here to the ICCVAM website that  
5 has a database and accepted of alternative methods.  
6 You can search for FDA and additional ICCVAM resources  
7 on some of the topics discussed here.

8           In Toxicology Assessment in the Drug and  
9 Biologic Development space, a guidance, ICH guidance  
10 released in 2015 introduced a step-wise approach for  
11 employing physiochemical and in vitro methods for  
12 photo-safety evaluation of pharmaceuticals that can be  
13 completed without the use of animal studies for  
14 assessing eye irritation and skin sensitization for  
15 pharmaceuticals, reconstructed human corneal-like  
16 epithelium, and 3-D reconstructed human epidermis  
17 models replaced rapid tests for eye irritation and skin  
18 sensitization, and there are multiple other ICH and FDA  
19 guidance documents with three R principles where there  
20 are topics of decreasing certain standalone animal  
21 studies, to reduce the number of animal studies, delay  
22 certain studies until later in drug development, and

1 guidances discuss the role of in vitro and silico  
2 methods, and there are links to a couple of FDA  
3 articles that have more resources and discuss this in  
4 more detail.

5           Transforming toxicology is a key goal for us  
6 at FDA. There was an Advancing Regulatory Science Plan  
7 in 2011 and the first listed priority was modernizing  
8 toxicology to enhance product safety. There was a  
9 Predictive Toxicology Roadmap in 2017, and a report  
10 released on Advancing New Alternative Methodologies at  
11 FDA in 2021.

12           We have multiple cross-agency working groups,  
13 including members from across the Product Centers, and  
14 the Toxicology Working Group, Alternative Methods  
15 Working Group, Modeling and Simulation Working Group.  
16 We also have Applied Regulatory Science Work throughout  
17 the agency, we'll talk a little bit more about that,  
18 and national and international collaborations, as I  
19 just discussed, examples of ICH and ICCVAM, are  
20 critical.

21           There's a lot of excitement about new  
22 technologies. This includes advances in systems

1 biology, stem cells, engineered tissues, mathematical  
2 modeling, to present new opportunities to improve our  
3 ability to predict risk and efficacy. This includes  
4 micro-physiological systems, combined in vitro and in  
5 silico models that can predict safety or efficacy in  
6 patients, genetically engineered cellular models that  
7 can predict efficacy in patients, such as for certain  
8 types of rare genetic diseases, and advances may help  
9 bring products to market faster with improved efficacy  
10 for medical products and also to prevent products with  
11 increased toxicological risk from reaching the market.

12           However, I want to stress, and as Dr.  
13 Woodcock mentioned, there are multiple steps required  
14 to translate these new technologies into regulatory use  
15 and maintain the same standard of safety, efficacy, and  
16 quality of FDA-regulated products, our core mission.

17           I'll talk more about context of use and some  
18 of these other aspects here that are critical to  
19 introducing new methods to use around the world for  
20 product development.

21           While we are nowhere near being able to  
22 replace all animal testing, there are opportunities for

1 alternative methods to make additional inroads in  
2 addressing the three Rs for specific context of use, a  
3 critical part we'll also talk about more.

4 I'm now going to transition to FDA's Proposed  
5 New Alternative Methods Program. In the Fiscal Year  
6 2023 President's Budget that has been released, there's  
7 a link. It proposes new funding to implement a cross-  
8 agency New Alternative Methods Program at FDA to spur  
9 the adoption of new alternative methods for regulatory  
10 use that can replace, reduce, and refine animal testing  
11 and improve predictivity of non-clinical testing to  
12 streamline development of FDA-regulated products, bring  
13 products to the U.S. public and patients more rapidly,  
14 more efficiently, and ensure these products are safe,  
15 effective, and that patients can depend on them.

16 This program will be essentially coordinated  
17 through FDA's Office of the Chief Scientist with FDA  
18 centers implementing agency-wide programmatic  
19 objectives.

20 We cannot develop and implement alternative  
21 methods alone. So through this initiative, we will  
22 expand processes to qualify alternative methods for

1 regulatory use. That's the top of this triple venn  
2 diagram on the right. On the left, provide clear  
3 guidelines to external stakeholders developing  
4 alternative methods and on the right fill information  
5 gaps with applied research to advance new policy and  
6 guidance development.

7           As we have already stressed, collaborations  
8 with external stakeholders are vital, including our  
9 federal partners, public/private partnerships,  
10 including industry scientists, academic scientists, and  
11 international regulators.

12           Why the focus on qualification? I'm going to  
13 discuss examples of our medical product development  
14 tool qualification programs. Medical product  
15 developers can submit data from alternative methods in  
16 investigational drug and device applications or  
17 marketing applications.

18           However, if it comes from a new method, an  
19 alternative method, the suitability of the alternative  
20 method would need to be evaluated in parallel and there  
21 typically isn't time to do this and it introduces  
22 significant uncertainty for the medical product

1 developer.

2           So qualification is a process that allows for  
3 an alternative method to be endorsed by FDA in advance  
4 for a specific context of use. The qualified context  
5 of use defines the boundaries within which the  
6 available data adequately justify use of the tool and  
7 this is a similar concept to a drug or medical device's  
8 indications for use that defines which patients can  
9 receive that therapy.

10           In addition, medical product developers can  
11 then use the alternative method for the qualified  
12 context of use with confidence that it is an acceptable  
13 method.

14           We have current FDA qualification programs in  
15 drugs and biologics, the drug development tools  
16 qualification programs, including biomarker  
17 qualification where alternative methods can be  
18 qualified, and a new pilot program that I'll talk about  
19 more in a minute.

20           In devices in CRH, Medical Device Development  
21 Tools Qualification Program, there is a specific  
22 category of non-clinical assessment models. There's

1 additional information, including qualified tools, on  
2 FDA's website, and introduces the question of whether  
3 there's a role for qualification programs in other FDA  
4 product areas.

5           A little bit more detail on the qualification  
6 process. It differs a little bit between CDER/CFER and  
7 CRH. This is the CDER/CFER process. It starts with a  
8 letter of intent that initiates the qualification  
9 process of a biomarker if you're doing biomarker  
10 qualification for a proposed context of use in drug  
11 development. This is reviewed by FDA and if accepted,  
12 it then would go to the stage of a qualification plan  
13 that defines the intended development to generate the  
14 necessary supportive data to qualify the biomarker for  
15 the proposed context of use.

16           This is also reviewed by the agency and then  
17 goes on to a full qualification package that the  
18 submitter would develop that contains all the  
19 accumulated data to support the qualification of the  
20 biomarker for the proposed context of use.

21           This comes into the agency and there is then  
22 a recommendation that contains FDA's determination on

1 whether the biomarker is qualified for the proposed  
2 context of use, based on a comprehensive review of the  
3 qualification package.

4           In addition to the previously existing  
5 qualification programs in CDER/CFER, we in the past  
6 year or so introduced the Innovative Science and  
7 Technology Approaches for New Drugs or IStand Pilot  
8 Program. It's designed to expand drug development  
9 tools types to those outside of scope of the other  
10 programs, and on our website we call out that this as  
11 examples can include micro-physiological systems to  
12 assess safety or efficacy questions and development of  
13 novel non-clinical pharmacology and toxicology assays.

14           As I'll talk about an example, alternative  
15 methods can go through biomarker qualification, as  
16 well, if there is a biomarker output.

17           On the devices side with the CHR  
18 Qualification Program, the non-clinical assessment  
19 model is a non-clinical test model or method that  
20 measures or predicts device function or in vivo device  
21 performance and this can be used to reduce or replace  
22 animal testing or reduce test duration or sample size.



1 For more information about medical device development  
2 tools, there's a link. An example of a medical device  
3 development tool is the virtual population, a set of  
4 anatomically-correct whole body models for thermal and  
5 electromagnetic fluid dynamic simulations, important  
6 for certain clinical devices, and there's a link where  
7 you can learn more.

8           As a part of the plan, we talked about policy  
9 and guidance to streamline qualification and  
10 implementation, and what do we mean by this? This can  
11 be guidance on qualification processes.

12           We have guidances in CDER/CFER and CHR on the  
13 respective qualification processes. It can include  
14 topical guidances on specific safety or development  
15 areas and we'll talk about more examples and guidances  
16 on assessing credibility of specific types of  
17 alternative methods or what to include in regulatory  
18 submissions. This can be very important for  
19 facilitating the use of new methods.

20           As examples, in devices there is a guidance  
21 on assessing the credibility of computational modeling  
22 and simulation in medical device submissions, and in

1 the Center for Drugs we have a guidance on  
2 computational and silico physiologically-based  
3 pharmacokinetic analyses that describes the format and  
4 content of how data using these methods should be  
5 submitted to the agency so we can easily and rapidly  
6 review that data.

7 A question of whether there'd be a role for  
8 micro-physiological systems or other complex in vitro  
9 models-related general considerations guidances.

10 I'm now going to talk about two case studies  
11 highlighting components of the FDA New Alternative  
12 Methods Program Plan, highlighted against in this venn  
13 diagram on the right, one related to cardiac safety and  
14 the other developmental and reproductive toxicity.

15 The first example will highlight how filling  
16 information gaps with applied research can lead to  
17 policy and guidance that ultimately streamline  
18 qualification and implementation.

19 This relates to the poor rhythmic risk or  
20 abnormal heart rhythm risk that drugs can cause and led  
21 to many drugs being removed from the market in the  
22 1990s and early 2000s and then regulatory guidelines

1 relied on a non-specific test for predicting drug-  
2 induced abnormal heart rhythms, and a consortia came  
3 together developing the so-called Comprehensive In  
4 Vitro Poor Arrhythmia Assay or SIPA that used  
5 laboratory cell-based models combining information  
6 together in systems pharmacology integrated computer  
7 models to predict a poor arrhythmic risk or heart  
8 safety in patients.

9           There was a systematic process over a number  
10 of years of significant FDA-applied research in  
11 collaboration with consortia and then leading to  
12 workshops, white papers, and ultimately new guidance.  
13 The type of applied research is defining assay  
14 standards, best practices, variability, how to develop,  
15 optimize, validate models, and best practices for new  
16 types of assays, such as induced pluripotent stem cell  
17 or iPSC-derived cardiomyocyte assays.

18           An example of a collaborative multisite study  
19 that was supported through a FDA broad agency  
20 announcement award to a consortia and it resulted in an  
21 international multisite study of human iPSC-derived  
22 cardio-myocytes for drug poor arrhythmic potential.

1 This includes ten sites from around the world using  
2 consensus protocol, standard blinded drugs across  
3 multiple continents, and that is how we can get the  
4 data to understand these new technologies for potential  
5 regulatory use.

6           There were collaborative workshops. This was  
7 a summary of a workshop that occurred in 2018 and after  
8 that workshop there were white papers that developed,  
9 one on human stem cell-derived cardiomyocyte assays,  
10 had broad authorship from many different groups.

11           There was another white paper on cardio-  
12 arrhythmia model validation. This included silico  
13 computer models that the principals applied to in vitro  
14 models or other model types.

15           Over the past three and a half years, we  
16 updated the clinical and non-clinical guidelines for  
17 priori risk potential, the ICH guidelines, and these  
18 new guidelines include best practice recommendations  
19 for in vitro ion channel and human IPS stem cell assays  
20 to enable use as follow-up studies in place of  
21 potential animal studies and principals for validating  
22 priori rhythmic models and qualifying them for

1 regulatory use which can reduce animal use.

2           The second case study highlighted policy and  
3 guidance to streamline qualification and implementation  
4 and how we have now accepted an alternative method into  
5 our qualification program and this is specifically  
6 related to reproductive and developmental toxicity and  
7 that ICH guideline revised in 2020 contains a new  
8 section on novel testing paradigms and regulatory  
9 acceptance of alternative assays supporting the three  
10 Rs.

11           It describes circumstances under which  
12 qualified alternative assays can be used. No specific  
13 assays are recommended but basic scientific principles  
14 are included to assist in assay qualification for  
15 regulatory use and there's an extensive annex,  
16 including reference compounds, for assessing  
17 alternative assays and this can be updated as new  
18 information comes along.

19           As I mentioned, we have accepted into our  
20 Biomarker Qualification Program an alternative method  
21 that, put up the context of use in a minute, has been  
22 accepted at the letter of intent stage. It's pending

1 submission of a qualification plan, and in the Drug  
2 Development Tools Qualification Programs, as a part of  
3 the 21st Century CURES Act, there were transparency  
4 requirements and so all submitted letters of intent  
5 qualifications plans and etcetera and FDA's responses  
6 go up on FDA's website and you can read more about  
7 them.

8           The proposed context of use's safety  
9 biomarker for detecting human developmental toxicity  
10 potential in vitro using pluripotent stem cells at the  
11 non-clinical stage of drug development for small  
12 molecule drugs as a part of weight of evidence approach  
13 as described in that ICH guideline.

14           Now we're going to transition to additional  
15 FDA product areas specific considerations.

16           We have not talked about tobacco much yet,  
17 but this is a very interesting and complex area. FDA  
18 regulates both traditional tobacco products and newer  
19 products, such as e-cigarettes.

20           This image from a FDA article shows the  
21 diversity of tobacco products that the agency  
22 regulates, traditional tobacco products and newer so-

1 called deemed tobacco products, and this article  
2 outlines how we need alternative methods relevant to  
3 target tissues for tobacco product exposure. The  
4 obvious one is a lung and I'll talk more about lung  
5 micro=physiological systems in a little bit.

6           With veterinary medicines, there are some  
7 different considerations. Animals are the patients.  
8 However, there are still opportunities to address the  
9 three Rs. Developing generic animal drugs for non-  
10 systemically-absorbed drug products has required  
11 clinical endpoint bioequivalence trials for every  
12 indication.

13           At the Center for Veterinary Medicine FDA is  
14 developing roadmaps for alternative approaches to bio-  
15 equivalence evaluation on these various types of  
16 products. This includes understanding drug physio-  
17 chemical properties, formulation, critical quality  
18 attributes, and use of physiologically-based pharmaco-  
19 kinetic models.

20           I earlier put up the FDA guidance document  
21 from CDER on PV/PK models and these concepts here are  
22 similar to what has been implemented and we continue to

1 try and implement in the Center for Drugs for generic  
2 drugs and reducing the need for clinical outcome  
3 studies.

4           In the food space, for measuring botulinum  
5 neurotoxin and contaminated foods, the standard method  
6 has relied on a mouse assay that can use large number  
7 of animals and a proposed alternative is in vitro  
8 approaches to detect the presence and potency of the  
9 neurotoxin.

10           In the cosmetics space, here is an article  
11 that includes FDA authors. It discusses next  
12 generation risk assessment. This is exposure-led  
13 hypothesis-driven approaches, and there's a need to  
14 develop and test in vitro and silico approaches to  
15 enable confident application in a regulatory context.

16           With product quality, and here specifically  
17 related to biologics and vaccines, detecting viral  
18 agents and biologics, biomanufacturing is very  
19 important. Standard methods have relied on multiple  
20 animal-dependent assays and a proposed alternative is  
21 to use next generation sequencing to detect viral  
22 advantageous agents.



1           With potency testing of human and veterinary  
2 rabies virus vaccine, this has relied on mice and is  
3 variable and time-consuming, and there are efforts to  
4 look at highly-specific monoclonal antibodies to  
5 quantitate key parts of the vaccine that could replace  
6 animal testing.

7           With regard to next generation sequencing to  
8 detect viral agents, there was a workshop co-sponsored  
9 by FDA and NIST, National Institutes of Standards, on  
10 this topic, and there's a link to that article here.

11           With medical devices, there was a workshop on  
12 new alternative methods and new approach methodologies  
13 for medical devices and at that workshop, there's also  
14 a link here, there were FDA talks on medical device  
15 development tools and bio-compatibility considerations,  
16 in vitro thrombogenicity evaluation of medical devices,  
17 regulatory considerations, and ongoing research  
18 efforts.

19           And in the drug space, this article, there  
20 have been links to this earlier, describes  
21 opportunities and challenges of using NAMs in drug  
22 development for regulatory purposes, and this

1 additional article describes events and activities that  
2 have had the greatest impact on animal use and ongoing  
3 efforts and opportunities.

4           We're now going to discuss new alternative  
5 methods applied research and examples of its use in  
6 regulatory submissions.

7           We have cross-cutting FDA-applied research  
8 in, as we'll highlight here, lung micro-physiological  
9 systems as an example. There's tobacco-focused  
10 research with the Center for Tobacco Products and FDA's  
11 National Center for Toxicological Research, NCTR.

12           There's also applied research with lung  
13 micro-physiological systems related to devices, and  
14 there are other applied research activities in this  
15 area in drugs, biologics, and related to medical  
16 countermeasures.

17           The liver is a very important organ system.  
18 Liver toxicity has been a major reason for  
19 discontinuation of drugs from development and chemical  
20 contaminants in food can also cause liver toxicity.  
21 The liver is critical for drug and food metabolism.

22           We've conducted applied research

1 characterizing reproducibility of liver NPS systems for  
2 toxicity, metabolism, drug accumulation, and in the  
3 Center for Food Safety and Nutrition, they've also  
4 evaluated liver NPS systems for their Regulatory  
5 Toxicology Program.

6           Our work has looked at reproducibility,  
7 similar results between test sites, similar results  
8 within a site if you're using different batches of  
9 cells and quality control criteria for cells.

10           This type of detailed work that is not the  
11 type of research that's going to get you a *Science* or  
12 *Nature* publication is arguably just as impactful or  
13 more impactful as this is what we need to do to be able  
14 to advance these technologies to be used around the  
15 world for regulatory use in developing products.

16           Alternative methods data has been used to  
17 support regulatory decision-making. We discussed some  
18 examples earlier. I'm now going to highlight a couple  
19 additional recent examples.

20           With regard to liver safety, there was a new  
21 drug being developed where other drugs class had been  
22 discontinued from clinical development due to liver

1 toxicity.

2           There was some liver enzyme elevations in rat  
3 studies at high doses. When complex in vitro models  
4 with 3-D spheroids combined with in silico modeling  
5 reproduced the observed liver toxicity of other drugs  
6 and suggested that the new drug had significantly  
7 reduced risk of liver toxicity.

8           This contributed to the liver toxicity  
9 assessment as described in the new drug application  
10 toxicology review by FDA and there's a link to those  
11 documents here.

12           With regard to efficacy and evidence of  
13 effectiveness, we have a very recent example where the  
14 circumstances are that certain fentanyl derivatives,  
15 such as carfentanil, had extremely high potency at the  
16 opioid receptor and had potential to be used as  
17 chemical weapons.

18           The Department of Defense supported the  
19 development of a high-dose naloxone auto-injector to  
20 counter this and instead of an animal model-based  
21 approach to demonstrate effectiveness, FDA recommended  
22 a model-based approach with in vitro methods feeding

1 into an in silico or computer quantitative systems  
2 pharmacology model, and the FDA-developed model was  
3 used to support approval. This is the indication for  
4 this high-dose auto-injector that was just approved a  
5 few months ago.

6 Finally, we're going to summarize and discuss  
7 next steps.

8 At the beginning of the talk we discussed  
9 FDA's mission and how it's to protect and advance  
10 public health with responsibility for regulating  
11 diverse products.

12 We need to ensure the safety, efficacy, and  
13 quality of FDA-regulated products and animal studies  
14 have played a critical role.

15 At FDA, we also have a longstanding  
16 commitment to the three Rs with successes to date and  
17 we discussed some of those examples: harmonization  
18 internationally, collaboration with our partners, and  
19 introducing and accepting alternative methods for  
20 specific context of use.

21 Newer technologies hold substantial promise.  
22 However, multiple steps are required to translate these

1 technologies into regulatory use while we maintain the  
2 same standard of safety, efficacy, and quality of FDA-  
3 regulated product areas.

4           The goal of our proposed New Alternative  
5 Methods Program is to spur the adoption of new  
6 alternative methods for regulatory use that can address  
7 the three Rs and improve predictivity of non-clinical  
8 testing.

9           We cannot develop and implement alternative  
10 methods alone. So through this initiative, we'll focus  
11 on expanding qualification processes, policy, and  
12 guidance to streamline qualification implementation,  
13 and then filling information gaps with applied  
14 research.

15           We discussed case studies highlighting  
16 components of this FDA New Alternative Methods Program  
17 in the cardiac safety space and developmental  
18 reproductive toxicity, and we discussed the critical  
19 role for collaborations with public/private  
20 partnerships with our federal partners and  
21 international harmonization of regulatory guidances and  
22 guidelines.

1           There are different considerations for  
2 different FDA product areas and we regulate diverse  
3 product areas. At the same time, there are  
4 opportunities for synergies within the agency.

5           We discussed how alternative methods in the  
6 lung and liver space can have potential context of use  
7 across multiple product areas, and there's a potential  
8 role for general considerations guidances for specific  
9 types of alternative methods.

10           As I discussed at the beginning, FDA plans to  
11 seek input from the Science Board on how the agency can  
12 enhance its existing approaches to support the  
13 development, qualification, and implementation of  
14 alternative methods for regulatory use that can address  
15 the three Rs and improve predictivity of non-clinical  
16 testing.

17           While our presentation today outlined FDA's  
18 proposed plan, we are interested in additional  
19 perspective from the FDA Science Board. We are not  
20 seeking specific detailed feedback from the Board  
21 today, but we plan to charge a Science Board  
22 subcommittee to work on this topic and the subcommittee

1 report would be presented at a future Science Board  
2 meeting.

3 I'd like to thank all of the FDA working  
4 group members that I recognized on the second slide of  
5 the presentation.

6 I'd like to thank the FDA Science Board for  
7 joining us today, listening to this introduction and  
8 hopefully working with us more on this topic, and now  
9 would like to open it up for questions.

10 Thank you very much.

11 DR. KOWALCYK: Thank you.

12 In the time we have, I think it's a good idea  
13 to provide some cursory feedback to the agency as they  
14 requested.

15 I think it's obvious that the Science Board  
16 will need to devote more time to this issue than we  
17 have today. So I concur that a subcommittee would be  
18 the best method to study this matter further. We will  
19 get started on that process following today's meeting.

20 I welcome high-level thoughts from the  
21 Science Board at this time. Please raise your hand if  
22 you would like to provide some feedback.



1 I call on Ted. Ted, please unmute yourself.

2 Thank you.

3 DR. REISS: Yeah. There we go. Thank you.

4 Thank you, Barbara.

5 So I just really have a question and that's  
6 can you give us just some insight? Obviously there's  
7 -- you're doing tremendous work. There's a lot going  
8 on moving in the right direction in very difficult  
9 areas, as Janet had outlined at the very beginning, and  
10 a critical one.

11 Right now, does the agency -- you started by  
12 talking about a lot of collaborative groups, but how  
13 does the agency prioritize what they're going to work  
14 on, what they're going to spend their time, energy, and  
15 resources on in this particular area? Can you give us  
16 just some general thoughts or insights to that?

17 DR. STRAUSS: I can provide a couple  
18 comments.

19 I don't think there's one answer to that  
20 question, and work today has been prioritized within  
21 the different centers at FDA and the centers best know  
22 the products they regulate and the questions and needs

1 at hand.

2 With this new initiative, we have a goal to  
3 bring up coordination of major efforts to the Office of  
4 the Chief Scientist within the Office of the  
5 Commissioner and be able to even further coordinate,  
6 prioritize areas.

7 We're interested in feedback, external  
8 feedback that will include from the Science Board, from  
9 other external partners, and it's a continuous process,  
10 and we get feedback from the reviewers in the different  
11 Product Centers where there's opportunity.

12 So there are many answers to that and we're  
13 hoping to coordinate those activities better at the  
14 agency level.

15 DR. WOODCOCK: Yeah. And I would add it's  
16 been partly entrepreneurial I would say up till now.  
17 Where there was a huge need, there was a champion, for  
18 example, in cardiac safety and there were available  
19 technologies that could be put forward. People ran  
20 with them.

21 DR. KOWALCYK: Okay. Thank you.

22 Dr. Nolan.

1 DR. NOLAN: Thank you.

2 I'm very excited about what you're talking  
3 about, especially being a veterinarian and in a  
4 profession devoted to animal health and welfare, and,  
5 you know, it just strikes me as an academician we have  
6 lots of people that would love to partner with you on  
7 this kind of work. It just seems right for a big grant  
8 push, right, extramurally-funded program to get us  
9 going and working with you. So well done.

10 DR. WOODCOCK: Yes, this is Janet Woodcock.  
11 I agree that would be very desirable. We don't have  
12 the funding for that currently, but as David said, we  
13 are seeking funding. Much of it would be to set up our  
14 internal program, but to be able to help spur this  
15 translational research, some more dollars toward this  
16 effort would be helpful.

17 What do you think, David?

18 DR. STRAUSS: Yes, I would certainly agree.

19 DR. KOWALCYK: As a follow-up question, have  
20 you reached out to the research funding agencies to  
21 make them aware of your priorities?

22 DR. STRAUSS: Yeah. We actively, you know,

1 collaborate with many of our federal partners. We've  
2 had longstanding collaborations with NIH and other  
3 partners, such as in the micro-physiological systems  
4 space, and we're continually working with those  
5 partners to look how we can synergize our efforts.

6 DR. KOWALCYK: Okay. Thank you.

7 I'll now call on Dr. Afshari.

8 DR. AFSHARI: Yes, thank you.

9 This is Cynthia Afshari. Dr. Strauss, thank  
10 you for your presentation. I mean, it was a superb  
11 kind of compilation of a lot of literature and actions  
12 by the agency and so it's going to be a really, I  
13 think, nice reference source for everybody listening in  
14 and beyond.

15 You know, I will say again, you know, three  
16 Rs is really important to all of us and so I think  
17 through the years we've seen that the science wasn't  
18 necessarily always ready and I feel like, you know, at  
19 this time where we see the advances coming and various  
20 analytical methods, cell biology methods, also our  
21 knowledge of systems biology not only from preclinical  
22 models but also now more from human really does make

1 this the right time to kind of put the muscle behind it  
2 to push some things forward.

3 I like Dr. Reiss's comment around  
4 collaboration because I think there are definitely, you  
5 know, other government agencies, private industry, and  
6 others who really could come to the table together and  
7 it's not just in the methods development but we all  
8 know there's a lot of considerable expense and energy  
9 it takes to qualify these and so I think just again  
10 this subcommittee idea is a great one to think about  
11 some of the aspects of how we collate the  
12 infrastructure that would support those programs in  
13 terms of, you know, control sets, test sets, how we  
14 transparently share methods to understand how we can  
15 standardize faster is something that's -- you kind of  
16 feel like maybe it's not as long-hanging fruit as I'm  
17 saying, but that the time is now for that.

18 So hats off to you and the agency for kicking  
19 this off here today.

20 DR. KOWALCYK: Dr. Strauss, you're on mute if  
21 you're trying to respond.

22 DR. STRAUSS: Yes, sorry, I was on mute and

1 then I muted myself.

2           Yeah, no. Thank you and we hope you can join  
3 us on the subcommittee potentially.

4           DR. AFSHARI: Absolutely. Thank you.

5           DR. KOWALCYK: Are there any other comments  
6 or questions from the Science Board members?

7           DR. BAHINSKI: Barbara, I think I had my hand  
8 up and I don't know if you see it. This is Tony.

9           DR. KOWALCYK: Oh, go ahead.

10          DR. BAHINSKI: Yeah. Really fantastic  
11 overview by Dr. Strauss. Thank you very much.

12          Maybe just a comment. I mean, I've been  
13 lucky enough to be involved with some of these efforts  
14 over the last 12 years and just, you know, some  
15 history.

16          The FDA's been intimately involved with this  
17 since 2010 when they had the first collaboration  
18 through the Collins Fund with the NIH for developing a  
19 heart-lung micro machine and then through the FDA and  
20 DARPA/NCATS efforts with the tissue chips from 2012 on  
21 through, you know, the BAAs. So it's really, you know,  
22 been fantastic and they've been a great resource to a

1 lot of these and I can see them really implementing  
2 these going forward, you know, great source of guidance  
3 for a lot of folks.

4           Maybe, Dr. Strauss, a question to you. I  
5 know that there's an Alternative Methods Working Group  
6 right now that, you know, helps identify these across  
7 the FDA, all the different divisions. Maybe you could  
8 speak a little bit to some of the efforts that they're  
9 working on right now and also I know that with  
10 stakeholders, you know, collaborating with those.

11           I know the IQ Consortium, NPS Consortium has  
12 been very helpful in working with the FDA. Maybe you  
13 can give a little more insight and background on some  
14 of those collaborations, also. I think that would be  
15 useful.

16           Thank you.

17           DR. STRAUSS: Sure. I'll try and do it very  
18 briefly. I know we don't have too much time.

19           One of the earlier slides in my deck had  
20 different FDA reports, including Advancing Alternative  
21 Methodologies at FDA report, and there was a link there  
22 to the FDA Alternative Methods website which I would

1 refer people to for more information in that report  
2 about the Alternative Methods Working Group.

3           Your second question, I'm sorry, can you  
4 repeat that?

5           DR. BAHINSKI: It was just around  
6 stakeholders input, you know, and users, people like  
7 the IQ --

8           DR. STRAUSS: Oh, yes.

9           DR. BAHINSKI: -- and NPS, yes.

10          DR. STRAUSS: Yeah, no. The IQ Consortia,  
11 which is the Innovative and Quality Consortia related  
12 to drug development, they have put out an excellent set  
13 of papers that describe considerations and potential  
14 validation approaches for many different organ systems,  
15 for micro-physiologic systems.

16          We engage with that group and that kind of  
17 engagement with that group represents the scientists in  
18 industry that would be the users of these technologies  
19 and it's critical to have interactions with them, with  
20 the developers of the alternative methods, and, you  
21 know, that includes academic sites and people working  
22 with doing research in academia, with companies that



1 are developing these methods, and, yeah, it's very  
2 important to bring these different stakeholders  
3 together, and we have done that.

4 I discussed a few examples and we need to  
5 continue to do that to advance these new alternative  
6 methods forward.

7 DR. WOODCOCK: Well, I mean, this is about  
8 dragging some of these over the finish line and as  
9 somebody said, we know how hard it is to do that final  
10 translational step, to actually figure out predictive  
11 value of what you're interested in for humans, and I  
12 think one of the things that needs to be done is if we  
13 have methods that people agree are standardized and  
14 validated as far as their analytic characteristics and  
15 their performance, then we need to test them in  
16 development programs, encourage the manufacturers to  
17 incorporate them in their development programs because  
18 some of those development programs will have human  
19 read-outs for the toxicity and therefore it's a very  
20 unusual situation where you actually get the human  
21 read-out for some of these -- you know, you get the  
22 human exposure because just comparing to the animal

1 tests alone is not helpful because you don't know the  
2 predictive value of that test really, except through  
3 historical means.

4           So it's a conundrum, but I think this last  
5 step is going to require people to be using these in  
6 their programs so that we can get data, like real-world  
7 evidence, you might call it, of how these actually  
8 perform in the context of use for which they're  
9 intended before they're actually used for regulatory  
10 purposes.

11           DR. KOWALCYK: Okay. Great. We're running  
12 close to time and so, Dr. Sarwal, you have your hand  
13 raised.

14           DR. SARWAL: Yes, I'll be very brief and  
15 actually I think a lot of what I was going to say has  
16 been addressed very well by my colleagues.

17           I just wanted to really extend my  
18 congratulations again to Dr. Strauss for an outstanding  
19 presentation which summarizes something that's  
20 incredibly timely.

21           I just wanted to add the last thing is a  
22 subcommittee, I think this is again applaud the FDA for

1 really taking this path forward. I would just say that  
2 the charter for the subcommittee is going to be  
3 extremely important for us to, I think, set.

4           One of the things we actually want to start  
5 trying to achieve here, because this was going to be so  
6 much that we actually want to achieve, is funding,  
7 partnerships, how we're actually going to advance a lot  
8 of some of the very rare human diseases that we're not  
9 able to even bring better therapeutics to because of  
10 small numbers and sample sizes, etcetera.

11           So again applaud everyone and just say that  
12 our work is cut out as what the charter for the  
13 subcommittee should be and how we prioritize actually  
14 what we do going forward so that we can achieve this  
15 and this could be a pretty long subcommittee because  
16 there's a lot of work to be done.

17           DR. STRAUSS: I agree completely.

18           DR. KOWALCYK: Thank you very much and I  
19 agree, as well.

20           Tony, you still have your hand raised. I  
21 don't know if you have another comment or question or  
22 if that's from your previous one.

1 DR. BAHINSKI: Apologies. Previous.

2 DR. KOWALCYK: No worries.

3 Okay. So we're running on time which is  
4 wonderful.

5 We are now going to move on to the  
6 Commissioner's Update. We're glad that Dr. Califf can  
7 join us this morning. We're looking forward to his  
8 Updates and Thoughts on the Greatest Challenges the  
9 agency faces, his own top priorities and the plans for  
10 his term as Commissioner.

11 I'm sure if time permits, Dr. Califf may be  
12 able to take a few of our questions, as well.

13 Dr. Califf, welcome.

14 Commissioner's Update and Data Science Efforts

15 DR. CALIFF: I guess I better get my video on  
16 here.

17 Hey, everybody. It's good to see the Science  
18 Board again in my second time around. I hope there  
19 will be time for discussion. Remind me how much time  
20 we have on this agenda.

21 MR. RAGHUWANSHI: We have an hour, Dr.  
22 Califf.

1 DR. CALIFF: All right. Well, an hour's  
2 plenty. I got a few other things to worry about today,  
3 including the fact that I have two 18-year-olds  
4 graduating from high school, one graduated last night  
5 and the other is at 1 o'clock this afternoon down here  
6 in North Carolina, which is why I'm remote for  
7 everything today. So there are some higher priorities  
8 than FDA in my life right now, I guess I should say.

9 So I'm going to bring up some slides and what  
10 I'd like to do is spend half an hour on priorities in  
11 the call to the science community and the other half an  
12 hour specifically on the topic of data science and  
13 quantitative disciplines to get your ideas about how  
14 you can be helpful or whether you see this as something  
15 not necessarily in your arena.

16 Let me get on the share screen here. Okay.  
17 Let's see. Can you see the slides? Okay. Good.

18 MR. RAGHUWANSHI: Yes, sir.

19 DR. CALIFF: All right. So like I say, two  
20 topics today, and I hope most of the time will be for  
21 discussion.

22 So since this is the Science Board, I've been

1 asked by Holton Thorpe to write something for science  
2 and he actually hoped I would have it submitted before  
3 I started. I'm now four months in. A lot's happened  
4 in four months, but I think, you know, to me, the  
5 message is even stronger than it was before, at least  
6 in terms of the way that I think about this.

7           Basically, you know, there are a list of  
8 short-term priorities, things that have to get done,  
9 and, you know, I'm happy to answer any questions about  
10 those that you want, but because I do think we have a  
11 very strong group of center directors who can manage  
12 their own business, I think my role is to look beyond  
13 the immediate to the needs that we have to put the FDA  
14 in the right position for the future.

15           That's kind of an interesting contrast for me  
16 because I just finished a talk to the FDALI, the legal  
17 group that focuses on the FDA, and now I've got the  
18 Science Board, so trying to make this transition.

19           I'll note that Dr. Woodcock is giving a very  
20 prestigious address to the lawyers tomorrow. She has  
21 some pithy things to say. I haven't seen her comments  
22 yet, but I'm looking forward to hearing about them.

1           So as I look at the long term, there are a  
2 number of key priorities. I'm writing a sort of sister  
3 article for *JAMA* for the clinical audience, but I'll  
4 just go down this list and then open for anything you  
5 want to ask about until 10:30. Then I want to talk  
6 about data science and quantitative disciplines and get  
7 your ideas there.

8           I think no matter what, the work of the FDA  
9 relies on a workforce that needs to be talent deep in  
10 science and related disciplines, in addition to the  
11 group I just came from, many lawyers at the FDA for  
12 good reason, and, of course, the public health policy  
13 discipline.

14           You know, I hope the science community will  
15 get more proactive in interacting with the FDA, both to  
16 support current employees but also consider a term  
17 working in the FDA.

18           I think the scientists who are really  
19 interested in translation, the best thing I could think  
20 of to do would be to spend a few years at the FDA  
21 seeing how things actually do get translated and then,  
22 you know, either staying or moving on into the field

1 with a much better knowledge.

2           Also, I think it's still the case that the  
3 understanding of how all this works is pretty meager in  
4 the academic community and we would be well served if  
5 we thought of better and better ways to have more  
6 people aware of the issues that are involved in  
7 translation.

8           Obviously the COVID pandemic response is a  
9 huge issue. My general view of that is the science  
10 community has magnificently risen to the challenge and  
11 so here we are with we have a COVID hearing on Thursday  
12 with the Senate and I think we can proudly say we have  
13 vaccines that work, treatments that work, diagnostic  
14 tests that work and that are now in your home.

15           We have one big problem which I'll get to at  
16 the end, but I think it's obvious that we're going to  
17 have to continue this adaptive approach and maintain  
18 the intensity because the virus is not holding still.  
19 It's continuing to evolve in ways that we're going to  
20 have to respond to.

21           There are issues in preparing for future  
22 pandemics in a time of climate change and that are



1 going to require the best of science.

2 I feel like substance use disorder and  
3 overdose, this is the opposite of what I'd say about  
4 the pandemic, I think the science community has been  
5 pathetic in this regard and needs to pay a lot more  
6 attention to it. It is just not a sexy thing to do to  
7 study pain and its treatment or to focus on drug  
8 overdose, but we had over a 100,000 Americans die last  
9 year of drug overdose. We have huge amounts of  
10 synthetic fentanyl and methamphetamines being mail  
11 ordered into the United States.

12 None of you, I'll bet, have 18-year-old  
13 grandchildren like me but many of you probably have  
14 children and we have children dying on what they think  
15 is recreational oxycodone that's fentanyl-laced product  
16 dying on the first dose.

17 We need different treatments for pain. I  
18 don't think -- in my view, this is not going well, and  
19 the FDA obviously is not in the business of developing  
20 treatments. Our goal is to facilitate the development  
21 of treatment, but we don't have a National Institute of  
22 Pain. There's not a specific funding agency and while

1 some efforts, you know, it's better than it was, we've  
2 got a long way to go.

3 Cancer, I would put back in the pandemic  
4 response category, it's been basically a love fest of  
5 science and medicine and the recent findings in color  
6 cancer really validate that. So this is a very top  
7 priority for the President. It's a great time to be in  
8 cancer biology, working in the translation of cancer  
9 therapeutics. I'm all for it. Let's keep going.

10 Gene therapy is an area that sort of lulled a  
11 little bit during the pandemic but the science didn't  
12 lull and I think we're going to see an explosion of  
13 attempts to translate gene modification and other types  
14 of gene therapy in the practice for rare disease and  
15 also for common chronic disease to some extent.

16 But we don't have a system in this country  
17 that's good at measuring something beyond the acute  
18 effect and, of course, what's characteristic of these  
19 treatments is that they're going to be very expensive  
20 upfront with hopefully a lifetime of benefit, but we  
21 have no way of ensuring that there are not long-term  
22 toxicities and other effects that we just can't

1 anticipate right now.

2           So we need a scientific commitment to both  
3 the exciting front end of the biology and the very  
4 important back end of what happens afterwards which I  
5 think also involves multidimensional biology but also  
6 clinical research.

7           On common chronic disease, we just passed a  
8 negative milestone. The average American is expected  
9 to live five years shorter than the average person in  
10 other economically-developed countries. I want to say  
11 that again. Five years shorter.

12           So despite all of our prowess, all of our  
13 innovation, we have worse health outcomes than any  
14 other high-income country and we're moving in a  
15 negative direction, not a positive direction.

16           The cause of this is not mysterious in terms  
17 of the diseases. It's the common chronic diseases that  
18 we all know, heart disease, lung disease, kidney  
19 disease, mental health issues with suicide, and gun  
20 violence.

21           We've got to pick up the pace here on common  
22 chronic disease and I think for a whole variety of

1 reasons this has not been the focus of the science  
2 community at this point.

3 Tobacco is right there with drug overdose.  
4 We have a number of -- you'll hear a lot of press about  
5 tobacco but 500,000 Americans will die of tobacco-  
6 related illness this year, and we need the science  
7 community to get more engaged to figure out what to do.

8 I don't know if Janet's still on, but the  
9 sort of in joke within us is that we need a center for  
10 vices and bad decisions, but there are a whole set of  
11 things like tobacco and opioids where our society has  
12 decided we're not going to completely get rid of them.

13 The issue is what's the right amount of  
14 regulation to reduce the harm to a minimum, given that  
15 they're going to be around, and you could add Kratom  
16 and cannabis products to that, which I know you're  
17 going to talk about. So I look forward to the outcome  
18 of that discussion.

19 The next area is digital transformation. I  
20 don't need to tell any of you that we're in this era.  
21 I'll talk more about that in the second half hour, and  
22 then food has obviously taken up much more of my time

1 than I expected, but the science in food is, I would  
2 say, even more exciting than the science in medicine.

3           If you look at what's happening to the food  
4 supply in the face of climate change, the need to  
5 understand what good nutrition is, the availability of  
6 big data now, of quantitative methods that can measure  
7 population outcomes much more effectively, and global  
8 digital technology to look at things like water inflow  
9 and the plots of agricultural territory and  
10 understanding how to grow crops most effectively for  
11 the highest nutrition.

12           Then the thing I was saying about the  
13 pandemic response, the big thing that we're losing on  
14 is misinformation. I've been focused on this for a  
15 decade. My five years at Alphabet, I learned more than  
16 I ever hoped to know about misinformation and what I  
17 say is there's no robust academic enterprise in  
18 understanding what misinformation is, how it's  
19 transmitted, how it proliferates.

20           I can't find a single person that has what  
21 they would even claim would be a viable proposal for  
22 what to do about it.

1           There are elements that we know we need to  
2 do, but a winning strategy is yet to be found. We need  
3 the science community to wake up and it ought to be the  
4 job of every person in the science community, in my  
5 opinion, to spend some part of everyday doing something  
6 about misinformation. It's eroding trust in our  
7 organizations and in science itself, and, you know, we  
8 have living proof in the pandemic or I shouldn't say  
9 living proof, hundreds of thousands of people are dead  
10 for no good reason other than they were persuaded not  
11 to get vaccinated and didn't get access to antivirals  
12 that are highly effective.

13           Then last I'll mention One Health and  
14 Globalization. Obviously we're living in a coating of  
15 bacteria and viruses that are common to us and the  
16 animal kingdom around the world. If ever there was a  
17 place for high science and big data, this is it, and,  
18 you know, I think the science community needs to rise  
19 to this challenge. It's quite a daunting challenge.

20           So I'll stop there and happy to answer. Why  
21 don't we go to 10:35, gives us 10 minutes for any  
22 questions that you might have about this part?

1 DR. KOWALCYK: Thank you, Dr. Califf.

2 If any Science Board members have any  
3 comments or questions, please raise your hand. In the  
4 meantime, I do have a question.

5 Of course, my background is in food safety  
6 and I noticed that food safety wasn't one of the  
7 priorities in the food category which surprised me a  
8 little bit given the crossover between food safety and  
9 infectious disease as well as two ongoing outbreaks  
10 that have commanded a lot of attention, one in baby  
11 formula involving Cronobacter and the other one that  
12 involves peanut butter.

13 Could you comment on your priorities around  
14 food safety?

15 DR. CALIFF: Yeah. I'm sorry. That's an  
16 omission in our slide put together in a manuscript  
17 that's in progress but there's a big section on food  
18 safety.

19 So, you know, I mean, of course, you know,  
20 that's a priority and it is an area of high science. I  
21 mean, I think the genome sequencing is a good example  
22 of where it's made an enormous difference, but there

1 are other areas, like the use of social media to figure  
2 out where outbreaks are coming from when they occur.

3           So I'd be crazy not to say it's a priority.  
4 I'm spending more than a couple hours a day on food  
5 safety as we speak. So we do need the science  
6 community to be more involved in helping out to develop  
7 these methods where technologically I think you'd  
8 probably agree with me we have the capability of having  
9 a vastly different and improved food safety system.

10           DR. KOWALCYK: Yes, I would, and since I  
11 don't see any other hands raised at the moment, I'll  
12 just follow on to my comment.

13           You know, I was happy to see that One Health  
14 and surveillance are on your list because those are  
15 really important when you're talking about infectious  
16 diseases, and, of course, one of the challenges we have  
17 in our public health surveillance systems in the United  
18 States is that they have not been updated in a number  
19 of years and sometimes lack of capacity which goes to  
20 the workforce development priorities that you noted  
21 earlier.

22           For example, many of the local public health



1 agencies that are charged with surveillance of  
2 foodborne diseases and other infectious diseases were  
3 also charged with COVID pandemic response and had to  
4 stop doing a lot of their surveillance activities  
5 during the pandemic and so it's important that we build  
6 our capacity in that area because of infectious  
7 diseases certainly not going away.

8 DR. CALIFF: Well, I think you're right on  
9 several key points here. I've got absolutely no  
10 argument with what you said and you briefly referred to  
11 something which I think is really, really a complicated  
12 problem when you have constrained resources, where do  
13 you allocate them.

14 In the area of food safety, in particular, I  
15 had a fascinating meeting yesterday with Steve Troxler,  
16 the Agricultural Commissioner for the State of North  
17 Carolina. He's like the dean of agricultural  
18 commissioners now because he's been re-elected 10  
19 times. I think he has to run for office every two  
20 years or something. So he's been around and this came  
21 up with infant formula. What do you do when you'd like  
22 to have optimal safety but basically if people can't

1 eat, you know, that's a balance that's going to have to  
2 be reached while you fix a problem that you discovered?

3           Just to make sure everybody's awake, his  
4 prediction was we're going to see a lot of that over  
5 the next year because of the impact of the Ukraine, in  
6 addition to the fact that our supply chains in the U.S.  
7 are tenuous right now, and so, you know, I would much  
8 prefer to make those trade-offs based on quantitative  
9 information that enables us to assess risk as opposed  
10 to just somebody's best guess.

11           I think that's a very high form of science.  
12 I think of it much like the way we think about data  
13 monitoring committees for clinical trials. When you  
14 see a trend, when do you say it's enough to do  
15 something and how do you balance the need to get  
16 answers versus the risk to patients who are  
17 participating?

18           In this case, there's a lot more at stake  
19 because interruptions of food supplies can cause  
20 enormous problems.

21           DR. KOWALCYK: Yeah. I would agree with  
22 that. Of course, in the food safety community, we

1 often say that it's not food if it's not safe and so,  
2 of course, the intersection between food safety and  
3 nutrition and food security is something that really  
4 needs to be prioritized and, of course, we're moving in  
5 that direction in the international arena.

6 I don't want to monopolize the time, but does  
7 anyone else on the Science Board have a comment? Ted?

8 DR. REISS: Yeah. So Ted Reiss here,  
9 Commissioner. Thank you for your comments this  
10 morning.

11 So I also share your thoughts about  
12 innovation, the drug development process. The  
13 regulatory side is not as well understood outside of  
14 the small development community as it should in the  
15 academic community and so on and so forth.

16 I think, you know, NCATS, the CTSA is  
17 supposed to help with that. I think they've made some  
18 inroads, but what would your thoughts for next steps  
19 sort of be, and how do you see the FDA helping to  
20 promote that knowledge going forward?

21 DR. CALIFF: Well, I think of it as a multi-  
22 dimensional issue that requires -- you know, it's a

1 dance with two partners or more, but the FDA part of it  
2 is, you know, the sourcing program, I think, is a good  
3 start, but it's limited to certain institutions.

4 I think we need to promote educational  
5 programs and participate in them with curricula that  
6 reflect less about -- well, let's just say has the  
7 basics of the things that you need to know about how  
8 the FDA operates but also reflects the magic of  
9 innovation and product development which I actually  
10 think that's very hard to teach. It's best done  
11 through examples, but just knowing, you know, what the  
12 rules are doesn't get you to where you need to be in  
13 terms of understanding translation.

14 I mean, this thing that I was talking with  
15 the lawyers about today which I think Janet had a  
16 particular way of saying it that got my attention back  
17 in 2015, FDA can create an entire industry with one  
18 rule.

19 What we need to do, you know, regulation can  
20 actually improve innovation if it's orienting people  
21 towards things that will work as opposed to, for  
22 example, chasing biomarkers which aren't truly

1 surrogates as a therapeutic target would be one that  
2 throughout my whole career has been a problem and it  
3 still misunderstood, I think, by a lot of people who  
4 are more in the basic science community.

5           So but ultimately part of what I'm trying to  
6 do once we get formula on the shelves, which, you know,  
7 is the Number 1 priority of the agency right now, we  
8 need to call out people who are outside the FDA to  
9 activate on certain areas where they can make a  
10 difference because this vast universe of information  
11 out there is way bigger than we can handle on our own.

12           DR. REISS: Yeah. Great. Thank you.

13           DR. KOWALCYK: There's time for maybe one  
14 more comment from the Board.

15           DR. CALIFF: If no one else has an area that  
16 you think should be a priority for the science  
17 community that I haven't named, thanks for catching  
18 food safety. I need to get that on the table before I  
19 submit it.

20           DR. KOWALCYK: You're welcome.

21           Well, back to you, Dr. Califf.

22           DR. CALIFF: Okay. So I want to try to get

1 some of your thoughts about the quantitative community  
2 in data science. I only have preliminary thoughts.  
3 I've talked with all the center directors and gotten  
4 some input from them. I've talked with people around  
5 the agency to some extent.

6           So ultimately I want this to lead to a  
7 question of whether there's something the Science Board  
8 can help us think this through or I'd welcome your  
9 disagreement with the way I'm thinking about this.

10           So a big part of my background, you know, in  
11 terms of crystallizing my thinking came through work  
12 that was done with a number of organizations on the 4th  
13 Industrial Revolution and just to remind you of what  
14 the Industrial Revolutions were, the first was water  
15 and steam power to mechanize production.

16           The second was electric power to create mass  
17 production and, of course, the entire society changed  
18 with each of these revolutions because these elements  
19 were central to commerce and human interaction.

20           The third, which we're sort of on the tail  
21 end of now, was electronics and information technology  
22 to automate production. That's very far along, and as

1 I've gotten back into the food world, it's really  
2 amazing to see the extent to which automation is  
3 critical not just to the supply chain but to farming  
4 itself and Steve Troxler yesterday said if a farmer  
5 doesn't have access to broadband internet, that  
6 farmer's not going to be competitive.

7           So I think we're pretty much there on the 3rd  
8 Industrial Revolution, but the 4th is what we're on the  
9 front edge of now, the fusion of technologies. The  
10 boundaries are blurred because we're all moving to a  
11 digital world and I don't know about you.

12           I worked at Google until a few months ago,  
13 but I found myself intrigued by the engineer who's now  
14 declared that the latest Google AI is Sentient which I  
15 hope is not the case but who knows. I don't really  
16 have an opinion on that.

17           But what I do know is that increasingly as we  
18 look at our various areas of science, they are looking  
19 more and more similar rather than different because  
20 ultimately the sort of basic element of science is the  
21 digitization of the relevant information and it's  
22 leading to possibilities that were just unheard of

1 until now.

2           An element of this is something the National  
3 Science Foundation has been working on for awhile,  
4 convergence, which you're all familiar with, but  
5 something which I know there's a great appetite for  
6 within the FDA but which is not necessarily engendered  
7 by this structure that we currently have.

8           Let me be clear I'm not arguing for change in  
9 structure today, but I am hoping to have more thought  
10 about how to account for where science is going as we  
11 look at the future of FDA as it relates to society.

12           At a more basic level, I would just point out  
13 it's very clear to me in my first four months back the  
14 amount of heat generated by an FDA decision is  
15 inversely proportional to the quality of the evidence.  
16 The FDA functions well when it has high-quality data  
17 with appropriate methods applied to derive a conclusion  
18 and one can argue about the meaning of the conclusion.

19           For example, should tobacco products be  
20 banned because tobacco kills people and there's no  
21 redeeming health benefit, but others would argue, well,  
22 people like to smoke tobacco. So that has to be



1 considered. That's not the science part of it, but  
2 when the science is known about an individual product,  
3 the arguments are much less intense and severe.

4           Now I borrowed this slide from Steve  
5 Steinhoople, who's an old colleague. He was a chemical  
6 engineer at Kodak and one of his jobs was to defend the  
7 patents for chemical processing of film way back in the  
8 good old days of photography and you all know the story  
9 of what happened there when other companies moved to  
10 digital photography. Kodak was in big trouble because  
11 it continued to bank on chemical processing and the  
12 industries that basically are last to move to  
13 digitization are the ones that we deal with at FDA,  
14 particularly health care delivery and the medical  
15 products industry which has had a lot of trouble making  
16 the transition in a way that makes things more  
17 efficient for the consumer.

18           The cost of health care keeps going up, the  
19 cost of drugs and devices keeps going up, despite the  
20 fact that information technology is part and parcel of  
21 what's done. The transformation hasn't yet occurred.

22           So I would argue that FDA's role in helping

1 to make the transformation, like other industries where  
2 you have more effective products at a lower cost, is  
3 something we ought to be thinking about.

4           But it's also true that we can't just do this  
5 based on a theory of digitization. We have to have  
6 high-quality data and it's just emphasized by this  
7 slide which I've used a lot and explains a lot of the  
8 problems that we have when industries make claims in  
9 the absence of high-quality evidence.

10           Part of this effort and part of the global  
11 change that's occurring does have to do with sharing  
12 information and one of the ramifications of this in my  
13 opinion is that a lot of the information that's  
14 relevant to medical products or agriculture or  
15 cosmetics or food supplements is increasingly going to  
16 come from sources outside of the industry that makes  
17 the product and the FDA.

18           That is, in the real world, as things are  
19 more and more digitized, there's going to be more and  
20 more data that we are going to need within the FDA to  
21 understand and contend with to fulfill our mission and  
22 that data is going to increasingly be shared.

1           Now I'm not going to dwell on any one of  
2 these slides but just for fun, I sort of, based on  
3 what's happened in the last four months, I would say  
4 there's a vast need at the FDA for integrative data  
5 science, including all the quantitative sciences.

6           So just center by center, you'll notice that  
7 Items 1 and 2 for each center are the supply chain.  
8 The supply chain in agriculture and medicine is  
9 considered proprietary and confidential information for  
10 each company. There is no ability to combine the  
11 information and while it's increasingly digitized  
12 within each company, it's not shared with any federal  
13 agency and so we don't have a system to anticipate,  
14 preempt supply chain problems.

15           The second one in every slide is optimizing  
16 the system for inspections, investigations, and system  
17 quality. It's different for each agency what the  
18 principles are to some extent, but basically we need to  
19 move from the old system which is in process, but I  
20 think we need to accelerate the use of predictive  
21 algorithms in helping us go to the right places at the  
22 right time and understand the information that we're

1 seeing about these vast industries that we're  
2 regulating.

3           You can see the others here. I could talk  
4 about each of these in a lot of detail at this point,  
5 but I won't bore you with it.

6           For CDER, we're just going to see a lot more  
7 real-world evidence and I think a good example that's  
8 recently happened, Paxlovid is a highly-effective  
9 antiviral for COVID, and then some prominent scientists  
10 had what's been called Paxlovid rebound. Turns out  
11 there's a similar phenomenon that happens in placebo  
12 groups but that didn't stop it from being contagious  
13 viral Twitterati-driven perspective that maybe we need  
14 to rethink Paxlovid and there's nothing wrong with  
15 that. The issue needed to be addressed.

16           My main point is this happened totally beyond  
17 the ability of FDA and Pfizer to get ahead of it  
18 because it was very quick and driven over the internet  
19 and we are catching up now. There's a paper today  
20 about it which I think will be helpful. But there are  
21 many, many more examples like this.

22           For CFER, gene modification and vaccine

1 safety are just big issues. I got an amazing 20-page  
2 single-spaced document from the anti-vaxx community  
3 yesterday that has plots that look every bit as  
4 credible as the best science that you'll see and we've  
5 got to be able to integrate all the various sources of  
6 knowledge as best we can in the post-market phase for  
7 the public health, not in the interest of any  
8 individual product but for the public health.

9           And then devices, all you got to do is think  
10 about devices laden with software to realize that we're  
11 in the digital era and there are many issues that we  
12 need to address to deal with the information that's  
13 going to be derived from these data, most of which are  
14 not being used.

15           In my world as a cardiologist, the amount of  
16 information available when a person has a pacemaker or  
17 an ICD is just amazing, but we're only taking advantage  
18 of a fraction of that to improve health, and then we've  
19 already talked about One Health.

20           I think CBM is the most underappreciated part  
21 of the FDA and it's going to play an increasingly  
22 critical role but very dependent on data science.

1           Now in my career, I've been in multiple  
2 organizations that struggle with the question of what  
3 is data science and I would just say everybody has a  
4 different definition.

5           I happen to like this one which basically  
6 says there's a big table called Data Science and around  
7 it sit all types of quantitatively-oriented  
8 professionals and depending on the question at hand,  
9 they need to be able to work together as a team because  
10 no one person can be an expert in all of these  
11 different disciplines.

12           Little did I know that during the five years  
13 I was away, Janet and Amy Abernathy recruited a couple  
14 of key people into the central organization who had put  
15 together something called Data Forward which is, I  
16 think, a good start to bringing things together and  
17 they basically advertised that they were here to help  
18 in the area of data science and they had some  
19 introductory sessions to which over 1,400 people  
20 subscribed. So that's just telling you, no surprise,  
21 we got a lot of people who are involved in one part or  
22 another of data science representing all the centers

1 and you can see, like all of us, many felt confused  
2 about data science before the sessions. Afterwards,  
3 look at that, 99 percent excited, less intimidated,  
4 interested in learning more about data science.

5           In the dream world of Bev and Rahm, our two  
6 central leaders, we would go from an FDA which is  
7 disaggregated, dispersed, fragmented, disconnected,  
8 full of really good people, to one which is a  
9 functional ecosystem hiring the best people, always  
10 making sure the best methods are applied.

11           This is something I have observed at every  
12 organization I've been in, including Google. Often the  
13 analysis is given to the person who is within the  
14 subunit in which the work is being done without  
15 awareness there may be a world's expert sitting next  
16 door in a different subunit that just as a consult  
17 could make a big difference in how the problem was  
18 approached.

19           The system made the point. A lot of work was  
20 put into thinking about what are the skills that you  
21 need on this team and detailed definitions were  
22 developed in a way as sort of classifying people in how

1 they might self-assess for their skills across this  
2 breadth of things you would want to know about data  
3 science and quantitative disciplines.

4           As good people would do at the FDA, a lot of  
5 people did their self-assessment and the good news is  
6 we got experts in all of it. The bad news is they're  
7 disaggregated and often off in corners of the FDA  
8 universe and other people may not know about them.'

9           This is a slide that I thought was most  
10 amazing. Even with a cursory effort, it was  
11 identifiable that there were more than 60 active data  
12 communities within the FDA, groups of self-affinity who  
13 hang out together to some extent to share methods and  
14 knowledge and ways of doing their work.

15           One has to wonder maybe this is fine. It  
16 shows that people do want to hang together when they  
17 have a common interest but maybe with a little more  
18 central support, this could go even better.

19           Each of the centers has responded with its  
20 own view and I can tell you the organization of the  
21 centers has some common elements but a lot that are  
22 different.



1           This is just a look to give you an idea of  
2 the scope of this within the FDA. This is CDER which  
3 is our biggest center, as you all know. You look at  
4 the strategic programs, over a hundred people who are  
5 quantitative in one way or another. Translational  
6 science is over 400 people, surveillance and  
7 epidemiology, 93 people and counting, contractors. So  
8 a lot of people representing just about all the  
9 disciplines that I would have listed who need to be  
10 around the table.

11           You know, it's very highly organized so that  
12 within the basic function of the FDA, the required  
13 function of FDA, there is an organization where people  
14 are accountable for the tasks that they are supposed to  
15 do and I'm not arguing that and I have no reason to  
16 want to have anything to do with that sort of  
17 organization because I think it works pretty darn well.  
18 People do review applications and handle inspections  
19 and all of that.

20           My question is can we supercharge the system  
21 by creating a better interstitial environment across  
22 all these entities so it leads to the up-scaling to the

1 best level possible and bringing the best talent to the  
2 problem wherever it may be?

3           So I'll close with a couple of just slides  
4 from my experience. In doing this, I don't intend to  
5 differentiate whether one group is better than another  
6 or more superior. I've seen that in academia. I've  
7 seen it in industry. What we need to do, you know, I'm  
8 a basketball aficionado, we have guards, forwards,  
9 centers, team managers, coaches, general managers, I  
10 don't think any of them are better than the other.  
11 They function as a team and when the team doesn't  
12 function, the team loses and so I would hope for the  
13 same thing here.

14           But I do think, I'm pleased to say my  
15 granddaughter has graduated from high school today,  
16 it's claimed she wants to be a statistician and that's  
17 what she's going to major in and I told her you got it  
18 made if you love statistics because there is a massive  
19 shortage already and there's going to be an even  
20 greater shortage because we all know that we need  
21 people who cannot only do quantitative things but can  
22 translate those quantitative things into words that

1 people can understand.

2           So I'll stop there and I'm interested in your  
3 feedback on this thinking. I'm purposefully not  
4 suggesting any particular structure or any particular  
5 change in function, but based on my experience with the  
6 Science Board in 2016, I just have a hope that you all,  
7 since you represent different disciplines and different  
8 places, that you might be able to help us out.

9           DR. KOWALCYK: Thank you, Dr. Califf, and  
10 we'll again open it up for some feedback and comments  
11 from the FDA Science Board. While waiting for people  
12 to raise their hands, I can go ahead.

13           I'm a statistician by training, an  
14 epidemiologist. So this is a topic very near and dear  
15 to my heart, and so I think that this is very important  
16 work. I think a lot of organizations, like you pointed  
17 out, are struggling with this and FDA in particular,  
18 I'm most familiar with some of the efforts going around  
19 in food safety to integrate data, leverage existing  
20 data sources, and improve workforce capacity.

21           I think from my perspective, that's one of  
22 the biggest things we need and you mentioned it. We

1 need translators. I completely agree. You can have  
2 data scientists and statisticians, but if they can't  
3 translate into the language of the traditional  
4 scientists, it's going to be very difficult.

5 I think the biggest challenge we face in  
6 academia is developing a new generation of data  
7 scientists who both understand the statistical methods  
8 as well as understanding the area content.

9 So I will now -- Dr. Sarwal, you have your  
10 hand raised.

11 DR. SARWAL: Yes, thank you again. Fabulous  
12 presentation, and I think such an unmet need from all  
13 of us and so I have a background also in biostatistics  
14 and bioinformatics, and I think it is key for us moving  
15 forward, especially as we're trying to develop more  
16 hypothesis generation for disease mechanisms rather  
17 than using, you know, peer literature for just  
18 revalidation of perhaps biology that we all believe  
19 maybe has significantly greater heterogeneity in  
20 understanding disease than we may have appreciated  
21 maybe a decade ago.

22 So I think I totally echo all the importance

1 that you have highlighted here.

2           As a user and somebody that actually runs  
3 groups here, I think you've highlighted a very  
4 important challenge is the fact that the person that  
5 runs the numbers very often does not understand the  
6 biological concepts.

7           We have been able to work very successfully  
8 through that with a very close interface of both sides  
9 because it's very hard to actually get a single person  
10 have both aspects actually -- I mean, both, I think,  
11 skills sets being brought in.

12           I think, as you showed, there are different  
13 departments at the FDA. I would like to kind of maybe  
14 understand more how that kind of assimilation can occur  
15 at the ground level because I think that's going to be  
16 critical for us to create almost these kind of multi-  
17 disciplinary partnership teams and have content area  
18 experts for diseases to be teamed up and to have at  
19 least some basic statistical understanding so they can  
20 work with that data scientist and so really I think  
21 thinking about doing science with a new model because  
22 we don't usually fund labs with a synergistic team

1 model in place but I think the future is really going  
2 to require that and so I would be interested in your,  
3 you know, thoughts on that.

4           And then the second is I'd like to again --  
5 and I think you touched on this but the trove of data  
6 that exists in the public domain and the ability to  
7 actually develop some kind of systemized format of how  
8 the data which exists in very different dimensionality  
9 and different datasets using different platforms, in  
10 different, you know, methods of estimation, like even  
11 if you look at transcriptional platforms that are  
12 present that at various kind of different probes and  
13 different mechanisms, etcetera, but there is a way to  
14 unify all of that data and to get it normalized to  
15 actually allow us to create maybe very large  
16 hypothesis-generating tests with validating occurring  
17 within the lab through these kind of synergistic data  
18 scientists and, you know, basic kind of people that  
19 actually understand the molecular biology, kind of  
20 those partnerships things.

21           So I would be interested really in, I think,  
22 FDA's thoughts on creating these kind of new ways to

1 handle this kind of data and how you're thinking about  
2 it, too.

3 DR. CALIFF: Let me first say the first two  
4 comments are music to my ears and I hope I'm going to  
5 convince you to work with us over a period of time  
6 because I don't see this as, you know, file a report  
7 and then everything happens.

8 This is to me like a core to be able to work  
9 on. I think you're probably aware that my career, I'm  
10 not a data scientist, my career was built being the  
11 clinical side. You talked about the biology side with  
12 the data science.

13 There's an equal issue on the clinical side  
14 with the data science and so I feel like understanding  
15 the issue quite well and I think it is a very rare  
16 person who can master all sides of that equation and so  
17 we need teams and I do believe the FDA is fundamentally  
18 in the Review Divisions built on teams.

19 I'm just saying because of what you brought  
20 up both in the biological arena and I'm sorry I missed  
21 the last hour by listening to the very end of it, but I  
22 look forward to getting caught up on it, in the

1 biological area and in the product life cycle arena,  
2 the amount of data sitting outside the companies and  
3 outside the FDA is just growing and growing.

4 I probably don't need to tell any of you that  
5 working at Google, I was amazed at the amount of  
6 publicly-available information that if you have smart  
7 people, which I guess you could say we have a lot of  
8 smart people, it's very ascertainable but does require  
9 a huge amount of effort to normalize or organize the  
10 data in a way where the different dimensions fall into  
11 place.

12 There again, you can't even do that without  
13 someone who knows the topic to figure out if it makes  
14 sense. I did have quite a few engineers who told me  
15 things like high blood sugar predicts diabetes. Okay.  
16 Well, that's nice to know, but I'd also point out in  
17 the clinical arena, there's something that worries me a  
18 lot that I saw full force from both sides.

19 There is a lot of data about medical products  
20 and interventions that sits outside of the regulated  
21 domain in the hands of consultants who work with health  
22 systems and do analyses with no transparency to the



1 public that drive decision-making about which products  
2 go in formularies and get used and to me that's just a  
3 harbinger of the future if we don't get organized to  
4 deal with it.

5           So to go back to the fundamental issue, I  
6 think what I'm asking for is help both inside and  
7 outside the FDA, thinking through how to configure  
8 teams to take on this added dimension of science which  
9 is now possible.

10           I have some starting ideas, but the FDA also  
11 has to review products and do surveillance and that's  
12 what it gets paid to do, and so I don't want to --  
13 because it's interesting science, I don't want to do  
14 anything that detracts from the fundamental mission. I  
15 want to add to it.

16           DR. KOWALCYK: Ted, you have your hand  
17 raised.

18           DR. REISS: Yeah. I just want to make a  
19 comment because -- well, just a couple of comments,  
20 just throw it out, see if anybody wants to comment on  
21 my comment.

22           Obviously, you know, Rob, I think what you're

1 proposing here is four plus critical not only for the  
2 FDA but for development, innovation, the academic  
3 community, and so on and so forth.

4           There's lots of -- as you were pointing out  
5 and others, there's lots of technical issues here.  
6 You're combining data, having the right training, so on  
7 and so forth, but in my mind, also, having spent most  
8 of my career in pharma that requires integration of  
9 knowledge and so on and so forth to be successful is  
10 that the fundamental -- one of the fundamental issues  
11 that can't be sort of lost in all of the technical  
12 aspects of this is the cultural aspect of collaboration  
13 and working together on interdependently.

14           You know, without sufficient attention to  
15 sort of changing so that the mindset and the culture so  
16 that people think first to work in this way rather than  
17 within their silos, you know, sharp elbows, get away  
18 from my ROI, these sorts of things, you know, that  
19 either this will progress slowly, you know, as we're  
20 sort of seeing over time, or, you know, we'll have  
21 major challenges.

22           But I just wanted to throw out sort of that

1 issue about the cultural change that has to go hand in  
2 hand with these technical issues.

3 DR. CALIFF: No argument from me. I mean,  
4 it's interesting. I won't name any particular pharma  
5 organizations, but there are wars going on right now  
6 between statistics and data science in several large  
7 pharma companies that I saw last year and I think what  
8 I'm hoping is that there will be some, I call it,  
9 interstitial structure that supports collaboration to  
10 help the culture change because there's a reason we  
11 have separate regulations on clearance of devices and  
12 approval of drugs, for example. They can't be just  
13 merged and shouldn't be, I don't think. Thanks.

14 DR. KOWALCYK: Great. Dr. Afshari, you have  
15 your hand raised.

16 DR. AFSHARI: This is Cynthia Afshari. Thank  
17 you for this and, you know, I think you laid it out  
18 nicely, the challenge and the opportunity here of data  
19 science.

20 You know, in terms of advice or experience,  
21 you know, some of my comments are similar to what we  
22 just heard from Dr. Reiss, but one of the pieces of

1 advice I guess I would say and that I think is not  
2 truly inherent already in the FDA teams that, for  
3 example, review drug products is the diversity of teams  
4 and, you know, you have the quantitative computational  
5 statistics side, but when you say biology, we recognize  
6 biology as a host of disciplines and so the power  
7 really comes in terms of bringing those groups together  
8 and so I think we have to think in a way of, you know,  
9 it can't be an us and them and we also have to guard  
10 against what could quickly become group think.

11           So maybe an example you talked about, you  
12 know, you get a certain group together and you're like,  
13 well, let's correlate with diabetes. You know, I'm  
14 thinking about an example where you could see, for  
15 example, maybe AEs related to a certain target organ  
16 and you look at expression of that target and you say  
17 aha, there's a link here, but then there's another  
18 aspect of, well, if it's a nuclear target and you're  
19 drugging it with a biologic that's going to hit the  
20 membrane, the chance of that, you know, being the cause  
21 is probably, you know, very low probability and so  
22 that's where you can imagine you're bringing together

1 biochemists or cell biologists with pathologists, with  
2 physicians, you know, in addition to the quantitative  
3 pieces and so, you know, that's a must do in my view  
4 and I think FDA and the drug review teams are very  
5 diverse by nature.

6           So I think as we carry this into looking at  
7 broader datasets, we have to make sure that the  
8 individual voices come together in a culture dynamic of  
9 a team because in my experience what happens is  
10 sometimes, you know, let's just say the biologist side  
11 is sitting on one side and they're getting an output  
12 from the data science teams that's already reduced in  
13 dimension and, you know, maybe shining the spotlight in  
14 a simple way to an association but without those other  
15 pieces, you would come to a different conclusion.

16           So that's the challenge for all of us because  
17 it's stretching, you know, for us to think about data  
18 and talk about data in a different way, but I think we  
19 have an opportunity because we've got folks coming  
20 through who aren't constrained by the one or two  
21 dimensions that we've traditionally looked at, but we  
22 do have to provide really positive reinforcement for

1 that kind of culture and bracing the diversity of views  
2 and not letting it frustrate us in terms of, you know,  
3 what some may want to do as a quick win.

4 DR. CALIFF: I really appreciate that  
5 comment, and I'll just say, you know, I'm old enough  
6 now to say this. In every industry and academic  
7 setting, you can readily see the differences between  
8 environments where this sort of collaboration you  
9 described is promoted and rewarded and where it's not.

10 You know, many of you are probably not  
11 basketball fans, much less Duke basketball fans, but I  
12 learned a great lesson, Coach Kay, the famous coach,  
13 you know, teamwork is his entire theme, but one year,  
14 he hurt his back and he was out for most of the season  
15 and the team completely fell apart. He still had, you  
16 know, centers coaches, forward coaches, guards coaches,  
17 but he realized and he lectures about this, he realized  
18 then it wasn't enough for the leader to reinforce it,  
19 he had to instill that way of thinking in the next  
20 level down and leadership and management and I  
21 completely agree with you.

22 Of course, it's easier to say this than to do

1 it when you're under pressure to get a decision made  
2 within FDA, etcetera. So thanks.

3 DR. KOWALCYK: Okay. Dr. Woodcock, you have  
4 your hand raised.

5 DR. WOODCOCK: Yes, I just wanted to comment  
6 that the Center for Drugs, before the pandemic, you  
7 know, had worked on reorganizing the process for its  
8 review of the new drug applications and the INDs, and  
9 it was specifically about team science and how to have  
10 a process that enables that robust exchange of views,  
11 not at the end but during the process.

12 They had, you know, lecture series on team  
13 process and team science and a variety of changes that  
14 would enable this and some studies that were done  
15 showed that the interactions significantly increased  
16 and particularly we're looking for earlier in the  
17 process that there would be interactions, not at the  
18 11th hour.

19 Now that has not always occurred because it's  
20 very difficult to change culture, but I think that's  
21 probably a path forward. Thanks.

22 DR. KOWALCYK: Dr. Ryu.

1 DR. RYU: Hi. I am Dojin Ryu. Again, thank  
2 you very much for the high-level overview of the  
3 initiatives and laying out these discussion questions.

4 I'll try to piggyback on the comments made  
5 and try to put my thoughts regarding first two bullet  
6 points on this discussion.

7 Regarding data science, I think I've seen a  
8 lot of interactions, but many times it is either front-  
9 end or the back-end meaning either validation or the  
10 formulation of the hypothesis or the interpretation of  
11 the results, not necessarily throughout or interchange  
12 of the thoughts and ideas as team members.

13 So I would say, you know, FDA as a premier  
14 science-based regulatory agency, we could, you know,  
15 bring the idea of this convergence, like going back to  
16 your previous slide was, you know, what convergence is,  
17 so that we could sort of promote or, you know, enhance  
18 the way to drive the science forward would be the one  
19 way to, you know, contribute to the scientific  
20 community as well as the regulatory science.

21 DR CALIFF: Thanks.

22 DR. KOWALCYK: Okay. I personally had just a



1 comment that I wanted to piggyback on that and then  
2 we're going to move on.

3           But I think one of the big challenges is when  
4 you come back to culture, personally as a statistician,  
5 I can't tell you how many times I am brought in at the  
6 end of the day after all the data's been collected and  
7 asked to fix a whole mess of problems or I'm brought in  
8 at the beginning and then I never hear anything again  
9 till the end of the day.

10           But also I just wanted to comment because  
11 most of the comments we've had during this discussion  
12 have been around kind of clinical and medical arena,  
13 and I began my career in the pharmaceutical industry  
14 and then I moved over into food safety after about 10  
15 years, and I can tell you that the use of data  
16 analytics in the food safety arena is light years  
17 behind where it is in the other areas that FDA  
18 regulates and there really needs to be a concerted  
19 effort in my opinion to improve the use of data in food  
20 safety and other food-related fields.

21           So I personally stand -- I'm not speaking on  
22 behalf of the committee. I personally stand ready to

1 work with you, but I'm happy to hear the extent of  
2 interest through the comments from the board members on  
3 this topic.

4 DR. REISS: I think it's just a critical need  
5 not only for the FDA but for -- this is Ted Reiss --  
6 critical need for the FDA as well as sort of the world  
7 in general and I think any -- I certainly would be  
8 interested in helping and, you know, I think we should  
9 take this on as a board to help the Commissioner in his  
10 thinking here.

11 DR. KOWALCYK: Thank you very much.

12 So we're a bit behind schedule just by a few  
13 minutes, but I don't know about anyone else, but I need  
14 a five-minute break to stretch my legs and so while  
15 we're queuing up our speakers from the Public Hearing  
16 portion of this meeting, we're going to take a five-  
17 minute break and we're going to reconvene promptly at  
18 11:18 and so just a few minutes to stretch your legs,  
19 take a bio break if you need it, and we'll see you back  
20 here at 11:18.

21 Rakesh, anything to add?

22 MR. RAGHUWANSHI: No. We'll work to get the

1 public hearing presenters temporarily promoted to  
2 panelists during this break. So those who have a  
3 speaking slot please stay at your computers. You'll  
4 see a popup that will invite you to be promoted to  
5 panelist and then there is a schedule that we're going  
6 to follow so you'll speak when you're recognized by the  
7 Chair. Thanks.

8 DR. KOWALCYK: Okay. Thank you. We'll see  
9 you in a few minutes.

10 (Recess.)

11 DR. KOWALCYK: Okay. It's time for us to  
12 reconvene.

13 Rakesh, is that good on your end?

14 MR. RAGHUWANSHI: Absolutely, Barbara. It is  
15 a go.

16 DR. KOWALCYK: Okay. Great. We will now  
17 conduct the Open Public Hearing portion of today's  
18 meeting. Both the Food and Drug Administration and the  
19 public believe in a transparent process for  
20 information- gathering and decision-making.

21 To ensure such transparency at the Open  
22 Public Hearing Session of the FDA Science Board

1 Meeting, FDA believes it's important to understand the  
2 context of an individual's presentation. For this  
3 reason, FDA encourages speakers at the beginning of  
4 their oral statements to advise the committee of any  
5 financial relationship they may have with a company or  
6 group that may be affected by the topics of today's  
7 meeting.

8           If you choose not to address this issue of  
9 financial relationships at the beginning of your  
10 statement, it will not preclude you from speaking.

11           I would like to acknowledge that the Science  
12 Board received written comments from several  
13 stakeholders and want to assure you that we have read  
14 those submissions and take them under advisement.

15           I understand there are seven requests to  
16 speak today. So we will proceed down our list. For  
17 our public speakers, who I believe are all now on the  
18 line, you have temporary panelist access and you are  
19 able to unmute yourself when you speak.

20           We understand that there are some technical  
21 difficulties. So if we are unable to get your speaker  
22 to work, please stand by as we move on to the next

1 speaker and come back around to you as we work to  
2 resolve any issues.

3           Please monitor your e-mail for one of our FDA  
4 team members to reach out to you if there are any  
5 issues during this Open Public Hearing.

6           Let's begin. So our first two speakers are  
7 Joseph Dever and Sibyl Swift. You now have the floor.

8                           Open Public Hearing

9           MR. DEVER: Thank you very much, Barbara.  
10 It's a pleasure to be here today and I just wanted to  
11 briefly introduce myself.

12           I'm Joe Dever. I'm the Director of  
13 Toxicology at NSF, and we're a not-for-profit public  
14 health and safety organization with a mission to  
15 improve human health. The group I lead within that  
16 organization is the Toxicology Team and our core  
17 expertise is in the area of ingredient and chemical  
18 safety risk assessments and we do serve a variety of  
19 both internal and external stakeholders in this area.

20           So with regards to CBD, our team has spent  
21 many hundreds of hours of time reviewing, assessing,  
22 discussing the available safety data and developing

1 what we believe is a strong science-based perspective  
2 on the topic.

3 My intent today is really just to share a few  
4 high-level observations that we hope can be of benefit  
5 on this topic from a public health perspective.

6 So the first observation I'd like to  
7 highlight to the Board really is the pace at which the  
8 body of CBD safety data is expanding. Really, it's a  
9 situation of length and you might miss another study  
10 that's been published or entered the public domain in  
11 terms of CBD safety.

12 In our team, we reviewed at least 16 repeated  
13 dose animal studies that have evaluated CBD toxicity,  
14 10 animal studies around CBD toxicokinetics, eight in  
15 vitro genotoxicity studies, and over 50 clinical  
16 trials, in addition to the epidialect studies that have  
17 also been put out there.

18 This isn't even to mention the numerous  
19 studies exploring all the mechanisms of action  
20 regarding CBD and potentially efficacy, as well, for  
21 cannabinoid and separate binding, and I think it bears  
22 mentioning here that some of the highest-quality

1 studies we've seen have become available just recently  
2 over the last year or two and so a risk assessment  
3 standpoint results from what you might call the  
4 traditional battery of toxicity studies, particularly  
5 those most relevant to food and dietary supplement  
6 applications, like the 90-day sub-chronic toxicity  
7 study, are already in the public domain.

8           And so my first point to make here really is  
9 just that it would be our hope that these studies or at  
10 least the subset of those need to be from the highest  
11 quality could be leveraged for their full value in the  
12 public discourse around CBD safety and I've heard a lot  
13 of great discussion today around data science and how  
14 to integrate that in the framework and I think there's  
15 good opportunity here to do that with CBD.

16           Second observation I'd like to make is simply  
17 around some observations we've seen in this data that I  
18 think are really important and one of those is simply  
19 that the ABME, the profile of CBD in humans, it appears  
20 to be markedly different than in animal toxicity  
21 models.

22           So it appears that both rats and mice

1 metabolize CBD quite differently than humans, humans  
2 producing much more of a 7-carboxy metabolite versus  
3 some of the other animal models, like mice, producing  
4 more of a different metabolite.

5           So the role of these really in terms of the  
6 overall toxicology profile is not entirely clear yet.  
7 I think this is an opportunity for some target studies  
8 that can come out to help verify that situation.

9           This leads to kind of my final observation  
10 today which is that really based on some of these  
11 differences that we see, they're quite substantial.  
12 It's really our viewpoint that this is a great  
13 opportunity to leverage nouns.

14           Utilizing human cell lines, multi-  
15 compartmental approaches, in concert with human  
16 clinical data, as well, to fill these data gaps in a  
17 targeted, pragmatic, and mechanistic way.

18           We believe that the purpose methods already  
19 exist that could do this, but they do require flexible  
20 approach to be effective, but we do think that this  
21 work could be done relatively quickly when leveraging  
22 in concert with the data that's already out there.



1           So with my remaining minute here, I'll  
2 conclude by just suggesting to the Board with regards  
3 to CBD that based on our fairly extensive examination  
4 of the available data we think there's been a lot of  
5 progress in the understanding and safety profiles of  
6 CBD. We think there are high-quality studies out there  
7 that could be acknowledged and incorporated in the  
8 public discourse really with the goal of aborting more  
9 redundancy, especially in the realm of animal toxicity  
10 studies which have been useful in gaining insights, but  
11 we feel that our assessment of the data to date, the  
12 gaps that remain can benefit from a real modern  
13 approach, holistic weight of evidence approach using  
14 fit for purpose modern tools, in vitro, and silicon  
15 tools, and we think there's a really great opportunity  
16 to apply those tools which have really, I think, come  
17 to light for the past five years for public benefit.

18           So I appreciate having the opportunity today  
19 to make these remarks and be here today and I am happy  
20 to address any follow-up questions if there are any now  
21 or later, and that concludes my comments.

22           Thank you very much.

1 DR. KOWALCYK: Thank you. Does the Science  
2 Board have any follow-up questions for this presenter?

3 (No response.)

4 DR. KOWALCYK: Okay. I do not see any hands  
5 raised. Thank you very much.

6 Our next presenter is Sibyl Swift.

7 MS. SWIFT: Thank you, and I am the Vice  
8 President for Scientific and Regulatory Affairs at  
9 CBMD. So I am an employee of the company just to be in  
10 full disclosure.

11 So I'd like to start by saying thank you to  
12 the agency the Board for giving us a opportunity to  
13 provide comments today.

14 I'd like to reiterate what my colleague from  
15 NSF stated. There is a large amount of information  
16 related to CBD data and safety data on the market right  
17 now, not only publicly-available literature but also  
18 being generated by companies worldwide.

19 For example, CBDMD submitted a novel food  
20 dossier to the EU in the U.K. We were validated by  
21 both regulatory agencies as one of the first  
22 nationally-derived cannabinoid dossiers. Furthermore,

1 we anticipate approval in the U.K. in the next few  
2 months.

3           The agency has posted guidance documents and  
4 held numerous scientific meetings, opened the docket  
5 for submission of cannabinoid safety data, and in the  
6 face of all this data and testimony from medical  
7 professionals, we just keep hearing the question asking  
8 for more.

9           The safety study that CBDMD executed on our  
10 broad spectrum extract covered multiple systems and was  
11 more than sufficient for the rigorous review in the  
12 U.K. and the EU. The FDA is refusing to review our  
13 data.

14           The dietary ingredient notification has  
15 generally recognized that notification processes are  
16 well established and accepted for review of new dietary  
17 ingredients. These processes provide the agency with  
18 the ability to thoroughly review safety data and to  
19 request additional data if there are gaps.

20           As a specific example, CBDMD conducted an  
21 extensive literature review and gap analysis prior to  
22 conducting the safety studies I've mentioned covering

1 multiple physiological systems. This data showed that  
2 a serving size that would be considered a supplement  
3 extracted from a botanical ingredient. Instructions  
4 for use provide adequate information on how to consume  
5 the product and warnings for sensitive populations as  
6 guided by the safety studies.

7           The manufacturing process is repeatable and  
8 consistent and it's been certified to a dietary  
9 supplement CPMG standard by NSF.

10           The work conducted is more than required for  
11 self-grasp notification and/or other such  
12 notifications. This data has been reviewed by multiple  
13 toxicologists and is currently under review by both  
14 U.K. and the EU. It's beyond challenge. It's been  
15 offered to the agency to demonstrate the safety of our  
16 particular ingredient.

17           Despite all this, we keep being met with  
18 refusals to accept the submission and review of the  
19 data. So we have filed a citizens' petition with our  
20 trade association, the Natural Products Association,  
21 requesting that the extensive set of data compiled in  
22 our safety studies be provided the same opportunity for

1 review in the U.S.

2 Our study explored many of the endpoints for  
3 which FDA has expressed concerns, including repro-tox  
4 and gene-tox. Our petition also provides the basis for  
5 why we believe CBD is not drug-precluded. It is in  
6 fact a new dietary ingredient and should be given the  
7 opportunity to demonstrate its safety using the new  
8 dietary ingredient notification process.

9 But my remarks today are not an advertisement  
10 for our petition or our case. I'm here to speak on the  
11 process of demonstrating safety of a new botanically-  
12 derived dietary ingredient.

13 The notice for this meeting stated that the  
14 agency's concern challenges for the evaluating safety  
15 of supplements with predicted pharmacological activity,  
16 specifically highlighting cannabinoids for today's  
17 meeting.

18 I'd like to be clear. Cannabinoids are not  
19 the first constituent of a botanical dietary ingredient  
20 to exhibit pharmacological activity. There are a  
21 number of other ingredients that have a long history of  
22 use in dietary supplements while exhibiting

1 pharmacological activity, including caffeine, EGCG,  
2 EPA, DHA, carnitine, barstine. Commonly-consumed foods  
3 exhibit pharmacological activity. For example, there's  
4 a paper published that honey can exhibit anti-  
5 inflammatory effects through toll-like receptors.

6           Should we be questioning the safety of honey  
7 or an extract from honey due to its pharmacological  
8 effects? It's misplaced and, quite frankly, misleading  
9 and disingenuous to blindly state there are concerns  
10 about pharmacological activity in a dietary supplement  
11 by using the word "pharmacological" instead of  
12 biological or physiological.

13           It appears as though the agency's attempting  
14 to characterize this particular set of ingredients in  
15 cannabinoids as a drug. By contrast, it's well  
16 established that dietary supplements can have  
17 biological and physiological effects on structure or  
18 function in the body.

19           The structure or function notification  
20 process is defined in the FDA site as follows:  
21 "Structure function claims may describe the role of the  
22 nutrient or dietary ingredient intended to affect the

1 normal structure or function in the human body.  
2 Notifications may characterize the means by which  
3 nutrient or dietary ingredient acts to maintain such  
4 structure or function. For example, antioxidants  
5 maintain cell integrity."

6           This is distinct and separate from the  
7 question of if a dietary ingredient is safe. Food is  
8 well known for having biochemical and physiological  
9 effects on cells, tissues, and organs, otherwise known  
10 as pharmacological effects. Combine that with the fact  
11 that dietary supplements, food ingredients, are not  
12 intended to be ingested in certain sizes but would be  
13 considered pharmacological or for indications that  
14 would be actual drugs.

15           They absolutely will have biochemical and  
16 physiological effects. So I think we should look to  
17 history for clarity. If the dose makes it poison, we  
18 shouldn't be asking whether an ingredient has  
19 pharmacological activity, we should be asking is it  
20 safe?

21           The standard that FDA is attempting to  
22 establish for dietary ingredients using cannabinoids as

1 the poster child stifles innovation. Are we prepared  
2 as an industry to accept that arbitrarily high standard  
3 as the new norm?

4 So thank you for allowing me to speak today.  
5 Are there any questions about my comments?

6 DR. KOWALCYK: Thank you. Do any members of  
7 the Science Board have any comments or questions for  
8 the presenter?

9 DR. BAHINSKI: Hi, this is Tony. Just one  
10 quick question. Tony Bahinski.

11 It looks like the EU has actually put a halt  
12 pending review of safety for CBD. So I think that's in  
13 contrast to what the speaker's comments were that they  
14 were moving forward.

15 MS. SWIFT: Actually, we participated in the  
16 estimating parts of this meeting this morning at 9:30.  
17 Our dossier met with all of the objections and  
18 questions that that particular agency has raised and so  
19 one of our consultants in the EU has spoken with the  
20 representatives and asked them to look at our  
21 notification specifically because the gaps they have  
22 suggested exist were met with our dossier and with



1 those safety studies. But thank you for that question.  
2 That's an excellent point.

3 DR. KOWALCYK: Thank you. Any other comments  
4 or questions from the Science Board members? Again,  
5 just a reminder to please raise your hand if you have  
6 some.

7 Okay. I do not see any hands raised. So we  
8 will move on to the next speaker. Thank you very much.

9 Our next speaker is Vicki Seyfert-Margolis  
10 and Reggie Gaudino. My apologies if I mispronounced  
11 your name.

12 DR. SEYERT-MARGOLIS: Can you hear me?

13 DR. KOWALCYK: Yes, thank you.

14 DR. SEYFERT-MARGOLIS: Great. Hi, I'm Vicki  
15 Seyfert Margolis, and I'm currently the CEO and Founder  
16 of a company, My Own Med, which is a customizable  
17 digital platform that supports decentralized clinical  
18 trials and health workflows.

19 Today, I also know some of you because I  
20 actually worked at the FDA for several years as the  
21 Senior Advisor for Regulatory Science and Policy to  
22 Commissioner Hamberg, and I know Dr. Sarwal through my

1 work as the Chief Scientific Officer of the Immune  
2 Tolerance Network which was a large public-private  
3 clinical trials network supported by NIAID.

4 I'm coming at this from a bit of a different  
5 perspective which is as part of an organization called  
6 The Council for Federal Cannabis Regulation and as a  
7 representative of their Scientific and Regulatory  
8 Affairs Committee.

9 In addition to me, my co-chair is Dr. Reggie  
10 Gaudino, who is a molecular geneticist focused on the  
11 biochemical networks in plant phytochemistry with an  
12 emphasis on CBD.

13 In addition to some of the people on these  
14 slides, CFCR has assembled a team of scientists,  
15 entrepreneurs, regulatory lawyers, representatives of  
16 the cannabis enterprises, pharmaceutical,  
17 nutraceutical, consumer packaged goods, wellness,  
18 etcetera, to really try to take a look at how we can  
19 bring a smart regulatory approach to this very  
20 challenging and complex product.

21 We believe that good policy comes from good  
22 science and the CFCR is a nonprofit organization. We

1 are really working hard to address the unique issues  
2 and challenges that are related to cannabis and that  
3 must be addressed to develop a science-based regulatory  
4 framework for drugs, foods, dietary supplements,  
5 veterinary products, and cosmetic products.

6           We believe in supporting FDA's access to and  
7 helping to support aspect to desperately-needed  
8 resources within the agency to take on this challenging  
9 regulatory framework and challenging product, hopefully  
10 bringing help in the form of independent scientific and  
11 regulatory experts and to help bring together current  
12 data and research on cannabinoids in order to help the  
13 FDA operate within and advance a 21st Century approach  
14 regulating a wide variety of beneficial products, be  
15 able to buy a plant that has been federally illegal for  
16 eight decades but by now is in widespread use through  
17 state legalization.

18           We have submitted written testimony and I'm  
19 giving a brief excerpt of it.

20           So while we recognize that the FDA has  
21 already developed a regulatory approach to cannabinoids  
22 via the Drug Pathway, including the approval of

1 Epidiolex, the widespread utilization of cannabis under  
2 state utilization products has created challenges and  
3 we want to be very clear in the composition section of  
4 this that we recognize that THC or the THC components  
5 will need to stay and it is our belief will be in the  
6 clinical realm of potentially in a totally different  
7 framework for adult use and in a recreational format.

8           We are really here more to address the  
9 cannabinoid and CBD, but we just wanted to mention  
10 that, and we also recognize that the cannabinoids come  
11 in a wide variety of forms or compositions, starting  
12 from the plant, moving forward into complex extracts,  
13 purified extracts, and into bio-synthetics.

14           Existing research indicates that CBD and  
15 other cannabinoids may hold great promise as  
16 therapeutics in disease treatment and prevention and it  
17 appears likely that drug development pathway will be  
18 utilized to address these pharmaceutical uses,  
19 including the use of drug claims.

20           However, unlike many new drugs, there is a  
21 long history of cannabinoid use prior to and after  
22 legalization in multiple use states and so we believe

1 this broad utilization can afford the opportunity to  
2 look at historical data as well as the need to generate  
3 new data in conventional studies, real-world  
4 approaches, so that we can obtain much-needed data  
5 about the safety and benefits associated with  
6 cannabinoids.

7 We also believe there needs to be significant  
8 attention placed on developing standards for purity,  
9 dosing of CBD, and other cannabinoid products in order  
10 to better evaluate the risks and benefits of  
11 cannabinoids for consumers.

12 So the goal of CFCR this morning and this  
13 afternoon is to raise and discuss with the Science  
14 Board and the FDA the creation of a foundational set of  
15 data hopefully using a collaborative approach and a  
16 protocolized approach with industry players that will  
17 allow for us to address some of these very important  
18 issues, including dose-related safety events in humans,  
19 for the benefit of streamlining regulatory approvals  
20 and to set a foundational knowledge of science.

21 We propose further evaluation of animal and  
22 human toxicology data to date and identification and we

1 hope to help with the identification data gaps with the  
2 development of master protocols or strategies that can  
3 be used to address dose response safety events in  
4 healthy humans and ultimately to use data that will be  
5 developed or derived from clinical trials treating  
6 humans with different diseases.

7           We recognize that there's been data published  
8 in the Epidiolex filing and additional data that's been  
9 published in journals, such as *JAMA*, demonstrating that  
10 there may be benefits of cannabinoids, for example, in  
11 emotional stress and exhaustion in front-line health  
12 care workers, but also notably there were some adverse  
13 events with respect to increases in liver enzymes which  
14 were noted in these published studies.

15           We hope to use this sort of a framework to  
16 help identify critical elements. Of course, the range  
17 of products that exist and to that end, CFCR has begun  
18 to outline this and, for example, to try to create  
19 tools, educational information, and to gather and  
20 convene experts so that we can help understand what is  
21 the complex nature of this product. How can we develop  
22 and derive data that will support understanding, what

1 are the safety dose considerations in all of these  
2 different complex product compositions, how we can  
3 drive standardization of products, and, of course, how  
4 we can use and build on base of knowledge to identify  
5 areas where more data is needed to help the FDA to find  
6 the best strategies for obtaining such data most  
7 efficiently and cost effectively.

8 Thank you for the opportunity to speak to the  
9 Science Board today.

10 DR. KOWALCYK: Thank you very much. Are  
11 there any questions from the FDA Science Board for this  
12 speaker? Again, just a reminder, please raise your  
13 hand and I will call on you.

14 Okay. I do not see any hands raised. So  
15 we'll move on to the next.

16 The next speaker is Gregory Gerdeman.

17 MR. GERDEMAN: Hello. Let me see if I can  
18 share this. Can this be seen? It's very brief, just  
19 some bullets.

20 My name is Greg Gerdeman. I don't have time  
21 for long credentials, but thank you for allowing me to  
22 have comments. I have 25 years of experience with

1 cannabinoid pharmacology, dating back to my time as a  
2 graduate student at Vanderbilt University in the '90s  
3 where I did endo-cannabinoid research, and it spanned  
4 academic and industry.

5           I have advised a number of cannabis and hemp  
6 companies over the years. Presently, I have a  
7 scientific advisor role with a company called Tennessee  
8 Pharmaceuticals but no other real interest in the  
9 industry, other than my academic interest, and I  
10 suppose I'm offering myself at your disposal for some  
11 of these broad level pictures that I think are  
12 important for anyone advising the FDA.

13           First of all, on this point, I feel like it's  
14 appropriate in this kind of forum and on this subject  
15 to insist, at least for the public record, that prior  
16 to FDA approval of Epidiolex, CPD was certainly  
17 consumed by the public in certain areas.

18           For what it's worth, contention that  
19 Epidiolex was, quote unquote, first is indefensible  
20 honestly. I saw in early 2000s I West Coast sort of  
21 medical marijuana collectives, a lot of breeding for  
22 high CBD varietals and artisanal extracts that had CBD



1 in them and were used in the community. I saw  
2 chromatographic proof of CBD, although it wasn't  
3 published in a way that could represent prior art, so  
4 to speak, and this, of course, influences the  
5 recognition per the Food, Drug, and Cosmetic Act of CBD  
6 now being seen as a drug and an adulterant rather than  
7 something that has dietary use. It was present in, of  
8 course, Europe dating back centuries.

9           Of more direct importance, I think I want to  
10 say a few things about the safety profile of CBD and  
11 including what was just briefly momentarily mentioned a  
12 moment ago about liver toxicity seen in the Epidiolex  
13 clinical trials.

14           Again, I think it's really important to know  
15 the polypharmacy context of that clinical experiment.  
16 First, prior to that GW conducted studies with CBD as  
17 an ingredient both in apixomals and as a solitary  
18 extract in the early 2000s in elevated liver enzymes  
19 and signs of hepatotoxicity were simply not seen. This  
20 comes to me for years from a long-time friend and  
21 colleague, Dr. Ethan Russo who was the pharmcoviligance  
22 officer on those studies.

1           And then subsequently, years later, Epidiolex  
2 was in trials for Gervasin and there was evidence of  
3 elevated liver enzymes, but by mandate of that study  
4 design patients were not taken off their existing  
5 therapies despite the fact they weren't working and it  
6 very notably included the anti-seizure medication  
7 Valproic acid which is very well known to be hepatotoxic  
8 and neurologists considered it a terrible molecule to  
9 use with other substances that could impair its hepatic  
10 metabolism.

11           So CBD and Epidiolex was never really tested  
12 as a monotherapy but was tested in conjunction with  
13 known hepatotoxic compounds. A long history of frequent  
14 animal research, although it was duly noted that  
15 animals metabolize cannabinoids quite differently in  
16 some regards, has supported CBD safety and some very  
17 recent observational studies put out by a company  
18 called Valid Care with which I have no connection has  
19 found that consumers using a variety of over-the-  
20 counter commercial CBD oils daily for over two years  
21 did not show elevated liver enzymes of any concern, and  
22 I can help you see that data if you have not seen it

1 yet. Again, I'm not associated with that company.

2           So sort of the overall point of my experience  
3 of over 20 years in developing this field, CBD extracts  
4 and islets can be manufactured very safely under CGMP  
5 and other standards. That should be a minimal concern  
6 for public safety in the diet as far as I steadfastly  
7 believe, but in the absence of more regulation, a lot  
8 of products are not produced that way and there are a  
9 lot of products with shoddy quality control, mislabeled  
10 ingredients, and so forth.

11           In my minute left, I want to try to just push  
12 out two other comments that I think are important for  
13 anyone advising the FDA to be cognizant of.

14           One regards the great need for greater  
15 pharmacovigilance and regulation over something that is  
16 not regulated at all which are the CBD-derived  
17 synthetic isomers, the synthetic cannabinoids, very  
18 popularly including Delta-8 THC, and just the slightest  
19 of comments, there are many unknown contaminant  
20 reaction products that come from the synthetic industry  
21 that create Delta-8 THC. This has been well reported  
22 by Dr. Crusidala, for example, from Purvadi Labs and

1 others.

2 I've got great concern with more potent  
3 designers sort of cannabinoids, like THCP and THCO  
4 acetate, and lastly, I want to say that the FDA should  
5 not be concerned over cannabinoids and the use of hemp  
6 grain as an animal feed. The FDA is very comfortable  
7 with regulating oil seed production and the products  
8 that go into hemp grain production are not containing  
9 cannabinoids.

10 Ranchers will not scale up for efficiency in  
11 ways that include cannabinoids and I hope in a time of  
12 food scarcity that regulating this nutritious grain  
13 source can be done in a way similar to other oil seeds  
14 without misplacing too much emphasis on cannabinoids.

15 Thanks for giving me this chance for a  
16 somewhat distinct set of comments and I consider myself  
17 at your disposal for conversation or discussion and I  
18 welcome any questions. Thank you.

19 DR. KOWALCYK: Thank you. Are there any  
20 questions from the Science Board for this speaker?  
21 Please raise your hand.

22 Okay. Seeing none, we'll move on to the next

1 speaker, Elizabeth Baker.

2 MS. BAKER: Hello. First, I would like to  
3 give thanks to the FDA and to the Science Board for the  
4 information that was provided this morning on FDA's new  
5 alternative methods activities. I'll refer to the new  
6 alternative methods as NAMS in my brief comments.

7 I'm Elizabeth Baker. I am the Regulatory  
8 Policy Director at the Physicians Committee for  
9 Responsible Medicine. We're a nonprofit supported by  
10 about a 175,000 members who are working for effective,  
11 efficient, and ethical research and testing.

12 Last month there was an article published in  
13 *Forbes* that did a really nice job of highlighting the  
14 urgency of implementing human-specific approaches for  
15 evaluating drugs and other products.

16 According to the author, 208 patient deaths  
17 and 10 liver transplants resulting from the toxic drugs  
18 in the study could likely have been avoided had the  
19 human-based liver chip been used.

20 This article is a really nice reminder that  
21 there are great reasons to do this work of implementing  
22 new approaches that center on health and scientific

1 innovation, in addition to sparing animals from being  
2 used in tests that will often result in pain and death.

3           Today, there's been a lot of talk about  
4 maintaining current safety standards, but I want to  
5 make the point of this is really about improving the  
6 standards and these methods offer the possibility to do  
7 so.

8           So in agreement with the author of the *Forbes*  
9 article, our team thinks it's really important that FDA  
10 be willing to take a hard look at these studies, at the  
11 models that we're using, and being willing to embrace  
12 new approaches that better reflect human health.

13           In recent years, it's been really nice to see  
14 the agency shifting its thinking with regard to NAMS.  
15 This has been evident in reports from the Commissioner,  
16 like the one that Dr. Strauss shared today, that  
17 affirms FDA's goals of integrating new science and  
18 reducing animal use, the launch of FDA's Predictive  
19 Test Roadmap, the Alternative Methods Group, the Ice  
20 Dam Qualification Program, and the Animal Welfare  
21 Council, and more.

22           And so from our perspective, these activities

1 really have set a nice foundation, but we need to see  
2 more funding. They need to be developed further. We'd  
3 like some more transparency. We also think that policy  
4 change must be implemented to really complement these  
5 efforts.

6           We've been on the Hill advocating for funding  
7 to support FDA in this qualification and NAM  
8 integration activities. So it was really great to see  
9 the Fiscal Year 2023 President Budget Request included  
10 five million for new alternative methods and the  
11 program that Dr. Strauss covered today.

12           I believe that FDA's qualification programs  
13 have the ability to really revolutionize product  
14 development by providing a process for methods to be  
15 qualified.

16           I also think we need a lot of improvement  
17 around efficiency and timelines compared to the current  
18 programs. Patients are suffering and dying of  
19 toxicities while we wait to qualify these new methods  
20 that may be able to better detect these toxicities than  
21 the animal studies.

22           So we hope that Congress will appropriate the

1 funding and we'd like to see some transparency around  
2 the program's activities and output as well as the  
3 opportunity to provide input, for example, through a  
4 public meeting or commenting period.

5           One thing that we hear time and again from  
6 industry is that FDA's written policies don't support  
7 the use of newer science. So many of FDA's regulations  
8 are still referencing animal data, guidance recommend  
9 animal use, some guidance has conflicting information  
10 about utilizing different animal tests, and actually  
11 some guidance includes some language that indicates  
12 intent to allow for use of NAMS, but there's no real  
13 guidance around how to make that happen.

14           So we request that the agency and that the  
15 Board advise the agency to update its written policies,  
16 do a review to see what needs to change so that the  
17 regulatory framework does keep pace with science. We  
18 can move the requirements for animal use, broadening it  
19 to more clinical which will then account for these  
20 newer approaches, and then doing a very thorough review  
21 of guidance to industry because, as I mentioned,  
22 there's a lot of conflicting information.



1           It used to be the case that the non-animal  
2 methods were evaluated against animal data, but this  
3 thinking and practice is shifting for NAMS meant to  
4 assess risk to humans. Human relevance is the  
5 important consideration and should be prioritized.

6           We know that it's not always available, but  
7 we think there's a lot there and the President's Budget  
8 Request included 7.5 million for NCTR to do comparative  
9 studies to evaluate NAMS. They will compare side-by-  
10 side the traditional animal tests to NAMS and it would  
11 result in the death of many new animals for NAM  
12 evaluation.

13           So for NAMS intended for testing human  
14 products, this is a step back with regard to science  
15 and ethics and we actually think a lot of this could be  
16 avoided by NCTR working with FDA centers and  
17 interagency partners, such as the National Toxicology  
18 Program, to utilize existing data.

19           As far as animal welfare and FDA science  
20 goes, in 2018 the FDA established its Animal Welfare  
21 Council. We really haven't heard any updates on this  
22 and we'd like some transparency around whether the

1 group still exists and what it does, and I think one  
2 potential project for the group would be to help us get  
3 an understanding of the actual numbers that are being  
4 used for FDA purposes.

5           So FDA has committed to this goal of reducing  
6 animal use if there's not really a process for  
7 accounting for the animals used and without an  
8 approximate accounting, it's really hard to understand  
9 how we can even measure progress toward the agency's  
10 reduction goal.

11           Finally, NGOs, I think, can be a great  
12 resource to FDA. The NGO staff have ideas. We have  
13 scientific and policy expertise. We also have  
14 extensive experience with training regulators and  
15 industry scientists and we've heard today multiple  
16 times about the need for collaboration. We agree, but  
17 NGOs were left off the agency's list.

18           So I'd ask the FDA and the Board as part of  
19 NAMS' efforts to host stakeholder meetings to explore  
20 how the NGO resources can be best utilized and also to  
21 seek some NGO input on the subcommittee efforts that  
22 will form as a result of today's meeting.

1           That's it for my comments. Thank you.

2           DR. KOWALCYK: Thank you. Are there any  
3 comments or questions from the FDA Science Board?

4           Okay. Seeing none, we will move on to the  
5 next speaker, Michelle Peace.

6           DR. PEACE: Good afternoon. Let me pull my  
7 screen back up. Okay. You should be able to see that  
8 now, correct?

9           All right. So good afternoon. Thank you so  
10 much for giving me the opportunity to present our  
11 research findings from my team at VCU.

12           I have more than 20 years of experience as a  
13 analytical chemist and a forensic toxicologist. I've  
14 been funded by the National Institute of Justice to  
15 study vaping drugs other than nicotine. My research  
16 has characterized the rising unregulated hemp and CBD  
17 industry.

18           The hemp and CBD industry is largely  
19 unregulated and its quality assurance support is  
20 inconsistent throughout the CBD industry. Even though  
21 once a boom, we now have some understanding that the  
22 CBD market is projecting weaker growth.

1           So what is it going to do with all of this  
2 expensive surplus? It can be converted into a  
3 cannabinoid that provides psychotropic effect. With  
4 time and strong acids, CBD can be converted to Delta-8  
5 THC. The conversion will produce both Delta-8 and  
6 Delta-9 THC. The chemical that's used in synthesis  
7 could end up in the final product that a consumer buys.

8           The unregulated industry is calling Delta-8  
9 products hemp-derived because Delta-8 is a natural  
10 cannabinoid and is converted from natural CBD. Make no  
11 mistake, the Delta-8 THC end products is synthesized.

12           This honey stick was supposed to have only 45  
13 milligrams of Delta-8 THC. It precipitated some of the  
14 most terrifyingly strong hallucinations an experienced  
15 cannabis consumer ever had.

16           We found more than 900 milligrams of CBD, 200  
17 milligrams of Delta-9 THC, and more than 630 milligrams  
18 of Delta-8 THC in this honey stick purchased at the  
19 same time as the one consumed.

20           If we assume that the natural plant contains  
21 one percent of Delta-8 THC which is generous, 14 pounds  
22 of plant material are needed to make this single honey

1 stick. This is economically not feasible. Therefore,  
2 we can say that Delta-8 found in this sample was  
3 synthesized.

4 Anecdotally, the effects of Delta-8 are  
5 mixed, but we do not know how much drug is in the  
6 products people consume. In the Martin tetrad  
7 developed at VCU that assesses activity at the CB1  
8 receptor, three of the four assays showed that Delta-8  
9 and Delta-9 are equally potent and efficacious.

10 When we received this hemp drive product, I  
11 thought it contained zero THC, misunderstanding what  
12 THC zero meant. This came in as a case in which  
13 somebody had violent hallucinations that precipitated a  
14 significant crime. We identified THCO or THC acetate.  
15 Supposedly it is more spiritual or two two one-hundred  
16 times more potent than Delta-9 THC.

17 We believe this is only the tip of the  
18 iceberg. These analogs are reasonably easy to  
19 synthesize for enterprising persons. It is possible  
20 that from these structures alone hundreds of other  
21 analogs can be formed.

22 A two-year-old accidentally ingested cannabis

1 candies at a swim meet in rural Virginia. It was  
2 labeled as Delta-8 and had a significant adverse  
3 reaction. However, we analyzed the product and found  
4 that it only contained Delta-9.

5           We have also tested more than 60 products  
6 purchased in surveillance testing in the Commonwealth  
7 of Virginia. These two products contain residual  
8 solvents presumably used during manufacturing. This  
9 product consistently contains at least twice the Delta-  
10 8 THC concentration, no matter where it's purchased and  
11 no matter how many times we purchased it.

12           This smokable hemp cigarette is actually not  
13 plant material. It is shredded paper that has been  
14 sprayed with Delta-8 and rolled into cigarette form,  
15 and this cookie product was still wet, smelled of  
16 solvent, and contained hair.

17           We are still not sure what is growing on top  
18 of this date product. This product appears to contain  
19 medical grade gummy candies, but it is really plant  
20 product inside the package. This apple cider sold at a  
21 fair didn't contain any CBD at all, and these moon  
22 rocks failed the microbial testing.

1           The most compelling data we have regarding  
2 the consumer safety and public health gaps are the  
3 testimonies of persons who purchased CBD products for  
4 therapeutic benefit and had adverse effects.

5           We conduct untargeted chemical analyses to  
6 discover all chemicals in a product. The experiences  
7 of persons from the top five products are not  
8 surprising because of the presence of synthetic  
9 cannabinoids. The last three cases were women who  
10 reported having strong adverse reactions. Their  
11 products contained only natural cannabinoids.

12           It is not known what other medications they  
13 were taking. We do not know what precipitated the  
14 adverse events, other than they had these effects  
15 immediately following consuming the products. The  
16 women had no idea who to reach out to.

17           So there's so many points that can be made in  
18 summary, but advancing research and public education  
19 are key. Consumers believe mythology, preliminary data  
20 and poor science regarding the effects of cannabinoids.  
21 When robust studies emerge years later, consumers  
22 showed mistrust and disdain oftentimes for real

1 science.

2           Educational campaigns and informational  
3 portals must be funded to inform the public about  
4 products sold online and in stores. The pervasion of  
5 these products in our communities warrants a strong  
6 unified effort. Misinformation and mythology reign in  
7 small communities.

8           So on that note, on that really awful last  
9 note, I do want to thank the FDA for holding this  
10 meeting and I am certainly at your disposal if you are  
11 interested in any other information that is coming out  
12 of my research laboratory. Thank you.

13           DR. KOWALCYK: Thank you very much. Do any  
14 of the Science Board members have a question? Dr.  
15 Afshari.

16           DR. AFSHARI: This is Afshari. Thank you. I  
17 had a question related to your comment around the  
18 potency of the various THC forms, and I was just  
19 wondering in your opinion, are there reliable and  
20 standard biochemical assays or methods to determine  
21 that potency across the various forms?

22           DR. PEACE: I do. The assay that I



1 referenced in my talk is the Martin tetrad that was  
2 developed here at VCU. This assay has been used for  
3 decades. It was originally developed to study the  
4 synthetic cannabinoids that were being generated, the  
5 Data BUH compounds particularly and certainly the  
6 compounds coming from Pfizer.

7           So this assay has been used by VCU's  
8 Department of Pharmacology and Toxicology for decades  
9 to assess activity at the CB1 receptor.

10           DR. AFSHARI: Thank you.

11           DR. KOWALCYK: Thank you. Are there any  
12 other comments or questions? Dr. Ryu.

13           DR. RYU: Hi. Is there any surveillance data  
14 from other states in terms of the prevalence of the  
15 synthetic cannabinoids?

16           DR. PEACE: I think that is a great question.  
17 So there are only a handful of small studies that tried  
18 to capture how pervasive these are. There was a study  
19 that was just released, I believe it was conducted by a  
20 cannabis quality assurance lab, I believe called  
21 Prevarity, and we also do quite a bit of surveillance  
22 studies ourselves.

1           The real challenge around this is that  
2 particularly for untargeted analyses and because of the  
3 depth of the analyses that have to be conducted, it's  
4 expensive and funding support for these kinds of  
5 analyses is oftentimes very difficult to get.

6           So I would say a lot of the data is coming  
7 out of our crime labs and forensic toxicology and  
8 controlled substances sections of those labs, as well.

9           DR. RYU: Thank you.

10          DR. KOWALCYK: Thank you. Are there any  
11 other questions or comments?

12          Thank you very much. We will move on to our  
13 last presenter, Elias Jackson, and I believe he will be  
14 presenting with Charlotte Thompson and Alan Shirley, if  
15 I got that correct.

16          DR. JACKSON: Yes, hello. This is Dr. Elias  
17 Jackson from Vyripharm Enterprises, and I want to thank  
18 the Scientific Board and the FDA as well as the  
19 previous speakers.

20          We would like to present to you today a  
21 solution to some of the challenges that have been  
22 brought up over these talks for today.

1           Vyripharmaceuticals and Vyripharm Enterprises  
2 is a biopharmaceutical firm located in the Texas  
3 Medical Center Innovation Institute. Our focus is the  
4 integration of traditional pharmaceuticals with novel  
5 and alternative pharmaceuticals.

6           So what we want to talk to you today about is  
7 beyond sale integration. We believe that this will  
8 answer many of the challenges currently facing this  
9 industry.

10           Now Vyripharm Enterprises owns over 50  
11 patents and we are focused on building a regulatory  
12 framework which would allow the FDA to have full  
13 regulatory oversight not just from seed to sale but  
14 seed to patient outcomes.

15           You know, a lot of the states and I commend  
16 on their courage, but they currently are using software  
17 programs but as we well know, software programs aren't  
18 full comprehensive regulatory framework for uniform  
19 standards within the industry, and since we're talking  
20 about active pharmaceutical ingredients, it's going to  
21 be critical that these medical cannabis programs begin  
22 to collect true and solid medical data. That's the

1 only way the physicians in those states are going to be  
2 able to make sound decisions, sound suggestions to the  
3 legislature of those states.

4 But to this talk, we want to ensure that the  
5 FDA has that ability to make those same recommendations  
6 to Congress.

7 Now one of the most important pieces about  
8 this methodology has been recognized by the United  
9 States Government. There are three patents surrounding  
10 the methods and evaluation of cannabinoids and  
11 cannabinoid-based products for public health and public  
12 safety.

13 What this means is that currently the FDA  
14 could begin to implement a solid regulatory framework  
15 that would capture data from every point of the supply  
16 chain. What does that do? That brings in the DEA.  
17 That brings in HHS. All those data points that allow  
18 the FDA to begin to give Congress those suggestions,  
19 those recommendations to allow the FDA scientists,  
20 working groups to begin to tease out how do we go  
21 forward with this industry. It's right here ready to  
22 go with these intellectual properties made by Vyripharm

1 Enterprises.

2 I now want to turn it over to Alan Shirley,  
3 the President of VPH.

4 DR. SHIRLEY: Thank you, Elias.

5 I want to highlight the combined solution,  
6 you know, within a robust testing program and really  
7 it's all the testing of the critical production and  
8 supply chain. It gives you false supply chain  
9 feasibility, for instance, a recall process.

10 The emphasis on regulatory compliance but  
11 also a holistic approach to quality via the growers and  
12 how they manage their production.

13 You know, the actual test platform is based  
14 on a transaction and then tracking it, you know, to  
15 measure safety and quality and we're leveraging data as  
16 early as possible in the supply chain to react to that  
17 and also to do what we call process within that supply  
18 chain.

19 Here's an example of an adoption of new rapid  
20 testing technology to assist law enforcement. This  
21 particular technology is handheld THC monitors where  
22 the field results are linked to the actual reporting

1 platform and the supply chain management for actual  
2 recall process.

3 I'd like to hand it over to Charlotte Parker-  
4 Thompson, the Chief Compliance Officer.

5 DR. PARKER-THOMPSON: Thank you, VPH  
6 President Alan Shirley.

7 I'd like to stress to the FDA and all other  
8 participants and the Science Board that the Medical  
9 Cannabis Certification Program for Public Safety and  
10 Public Health of VPH enables standardization,  
11 transparency, accountability, as well as supporting the  
12 regulatory and law enforcement guidelines.

13 Throughout the systems development life  
14 cycle, we are aligned with the product life cycle from  
15 seed to human consumption. There is microbial testing,  
16 analytical testing, quality control, and quality  
17 assurance throughout the entire supply chain.

18 Our training actions for this platform are  
19 available at the administrative level with respect to  
20 the grower, the tester, the data analyst, and the  
21 dispensation analyst.

22 Throughout the blockchain methodology, the

1 application allows for the certification and an actual  
2 certificate throughout the entire process life cycle.  
3 There's traceability and digital transfer of title  
4 throughout the certification process. There are over a  
5 thousand data points and data elements that are  
6 available within the application that will support the  
7 appropriate resource as well as timing throughout the  
8 process and the product processing.

9 We would like to encourage the ability to  
10 collaborate and work with you further with respect to  
11 the methodology and we thank you very much for the  
12 opportunity and your time.

13 DR. KOWALCYK: Thank you. Are there any  
14 questions or comments from the FDA Science Board  
15 members?

16 Okay. Hearing none, we will move along. I  
17 want to thank each member of the public who took time  
18 to address the Board today.

19 We will now take a 30-minute recess and  
20 return sharply at 12:42.

21 Thank you again to the presenters and we look  
22 forward to seeing everyone back again at 12:42

1 promptly. Thank you.

2 (Whereupon, at 12:12 p.m., the meeting was  
3 recessed for lunch.)

4 AFTERNOON SESSION

5 DR. KOWALCYK: Welcome back, everyone.

6 We have another very interesting meeting  
7 topic on Challenges in Evaluating the Safety of Dietary  
8 Supplements and Food Ingredients with Predictive  
9 Pharmacological Activity.

10 I appreciate all of the speakers making time  
11 to address us today. For this session, since we have  
12 several speakers from FDA, I will ask that each  
13 introduce themselves right before they make their  
14 presentation.

15 Once we have heard from the speakers, we will  
16 move on to the questions that we have been asked to  
17 consider for this session.

18 For the Science Board members, if you should  
19 need a point of clarification or have a question during  
20 a presentation, please use the Raise Your Hand function  
21 to get my attention and I'll attempt to find a time to  
22 interject to ensure you can ask your question.





1 scientific information gaps and so we're very  
2 interested in your input on how we can fill in these  
3 scientific gaps.

4           And then we are going to be asking you about  
5 the overall safety assessment and risk management  
6 that's related to these type of substances.

7           What we're not going to be asking you about  
8 is any specific regulatory pathway and how appropriate  
9 it might be.

10           We know you're not regulatory experts. We  
11 are giving you some tutorial, all right, on the  
12 different regulatory pathways during this session so  
13 that you understand the scope of types of regulatory  
14 frameworks that we have, particularly in foods but also  
15 across other parts, and as you've heard, these  
16 compounds are being used in many different manners of  
17 administration, shall we say, different substances, but  
18 we're not really here to discuss whether we should use  
19 one or another different regulatory pathways. We would  
20 not put that burden on you. We're asking for science.

21           So next slide. So you see a cannabis plant  
22 has bioactive compounds, known as cannabinoids. We

1 heard a little bit about the analysis, the chemical  
2 analysis of some of those recently, and the plant  
3 itself, THC and CBD are the most prevalent  
4 cannabinoids, but as one of the public speakers said,  
5 of course, the strains can be manipulated and grown in  
6 order to stress one type of cannabinoid over another.

7 But these two molecules, cannabinoile and  
8 Delta-9, are very similar in structure, as we already  
9 heard. THC, Delta-9, is the compound responsible for  
10 the high in cannabis, but CBD is also bioactive.

11 Next slide. So the history of this, this  
12 dates from 2018 in the Farm Bill, which removed hemp  
13 from regulation under the Controlled Substance Act,  
14 and, of course, this was intended to open up  
15 agriculture to growing hemp for a wide variety of  
16 things, like clothing and rope and so forth.

17 But it was removed from Schedule 1 of the  
18 Controlled Substance Act and defined hemp as the plant  
19 cannabis sativa with Delta-9 THC not more than 0.3  
20 percent on a dry weight basis, and this includes hemp  
21 derivatives, such as CBD, can be in there and can have  
22 a high concentration of CBD.

1           Hemp products would be subject to regulation  
2 under the Federal Food, Drug, and Cosmetic Act when  
3 that would be applicable. So if they were a drug, for  
4 example, we have a drug, Epidiolex, that is CBD, or  
5 potentially if they were able to be dietary supplements  
6 or cosmetics or veterinary products and so forth.

7           Okay. But hemp products under the Food,  
8 Drug, and Cosmetic Act have to meet the same standards  
9 as any other product regulated under the FD&C Act for  
10 that particular commodity.

11           Next slide. So CBD right now is about a \$4  
12 billion market, predicted to grow. We heard from one  
13 of the public presenters that maybe the market is  
14 flattening out, but we also heard that other related  
15 compounds may be growing in interest and marketing.  
16 Some people feel that CBD will continue to grow.  
17 That's just something we'll have to look at.

18           So how is CBD that became, you know,  
19 available out of the Controlled Substances Act, how is  
20 it currently sold? I'm sure all of you have seen it in  
21 stores in different formats. It's sold as tinctures,  
22 capsules, topicals, in beauty products, like cosmetics,

1 in vape oil and cartridges to vape, to smoke, for pets,  
2 in gummies, that's very common and has been the source  
3 of a number of poisoning problems with children, in  
4 beverages, in other foods and edibles, and as an  
5 approved drug, as I already said.

6           And so all these formats are avenues for  
7 consumers to get CBD, whether through, you know,  
8 inhalation, absorption through the skin, oral, and a  
9 portion of the market is the Epidiolex, the approved  
10 drug, but that's not a huge proportion of the market.

11           Some CBD products clearly meet the definition  
12 of products that are regulated by the FDA, for example,  
13 if they are using drug claims and so forth, but others  
14 may not be at all clear.

15           Next slide. So why do people use CBD  
16 products? For us, when we looked at adverse events, so  
17 this is people who have had adverse events and reported  
18 them to the FDA, okay, so this wasn't a broad sample,  
19 the top three self-reported conditions for suing CBD  
20 products were pain, anxiety, and insomnia. So people  
21 are taking those, self-medicating with those for those  
22 conditions.

1           Here you see some of the other types of uses  
2 that we've seen.

3           So this is purely limited as far as numbers.  
4 It's N of 16, but you just see there's a wide variety  
5 of types of conditions that people are consuming CBD  
6 for, and the premise that, you know, we feel that there  
7 is some type of biological, pharmacological activity of  
8 CBD and that people, you know, are taking CBD for a  
9 hope of some relief of some condition.

10           When we say this product may well be psycho-  
11 active, obviously it's neurologically active. This is  
12 approved as an anti-seizure drug and it doesn't seem to  
13 be creating a high. It does seem to have a neurologic  
14 effect, however.

15           Next slide. Now this is sort of the plot  
16 thickens here, right. So interest both in the people  
17 who sell these products and in the people who buy them  
18 and other cannabinoids is growing.

19           More than a hundred different cannabinoids  
20 have been identified to this point and we don't really  
21 understand the biological properties or pharmacologic  
22 properties of many of them.

1           This figure is from a study that FDA did on  
2 CBD products contained in the marketplace. Of course,  
3 that's just a snapshot, but these are some of the  
4 common compounds or molecules that are found. We also  
5 heard from a public speaker about this, although that  
6 sample was from people who had experienced serious  
7 adverse events.

8           But the point is compared to, say, THC and  
9 CBD, we don't know very much about the safety profile  
10 of each one of these individual molecules, although  
11 they may have been consumed by people from hemp. Their  
12 prevalence, you know, how much exposure people actually  
13 got of those is unknown, but based on their chemical  
14 structure, they have predicted activity, bioactivity  
15 which raises safety concerns.

16           Next slide. So there are statutory barriers  
17 that currently prevent marketing CBD in foods and  
18 supplements, although that is currently done. CBD is,  
19 as I said, the active ingredient, FDA-approved, drug  
20 and was subject in clinical investigations before it  
21 was marketed in food or dietary supplements.

22           So there's a food prohibition for that and

1 then there's a dietary supplement exclusion for  
2 products that were marketed as drugs.

3           Now we do have the ability to issue a  
4 regulation that would allow the use of a  
5 pharmacologically-active ingredient, you know, an  
6 approved drug, for example, or something that was  
7 studied as a drug in a food or dietary supplement, and  
8 Commissioner Gottlieb said in 2018 we only would  
9 consider doing so if the agency were able to determine  
10 that all other requirements in the Food, Drug, and  
11 Cosmetic Act are met, and that would be for that is  
12 required for food additives or those used for dietary  
13 ingredients and that's one of the reasons we're going  
14 to present you some of our different authorities and  
15 what they are like so that you'll understand, you know,  
16 the different standards that these different pathways  
17 have.

18           Commissioner Gottlieb established the CBD  
19 Working Group which is now the Cannabis Product  
20 Committee which I chair, started chairing recently,  
21 and, you know, one of the questions that they've been  
22 doing research on and struggling with is could CBD meet



1 the safety standards as an ingredient in food or  
2 dietary supplement.

3           Next one. And so what we've done since this,  
4 the Farm Bill, about hemp was passed in 2018, we've  
5 collected a lot of information. We've done a lot of  
6 research. We had a public meeting in 2019. We opened  
7 a docket. We've done analytical sampling of CBD  
8 products and you've seen some of the results of that.

9           Part of the problem is we're dealing with a  
10 large number of different molecules and that seems to  
11 be growing. Collecting information on the market and  
12 how people are using these products. We've led  
13 toxicologic studies of CBD and, of course, we've  
14 reviewed outside tox studies that have been conducted.

15           We've monitored adverse event reports and  
16 reached out to groups, like Poison Control Centers and  
17 others. You hear some of these come through forensic  
18 channels when a crime might have been committed, others  
19 come through poison control or emergency rooms, and so  
20 forth. Some are reported to the FDA.

21           We've looked at the scientific literature.  
22 We've worked with external research groups. We've

1 pulled the studies that were done as part of drug  
2 development, including post-market studies, to learn  
3 what we can from those studies since they followed a  
4 well-established pathway, and we issued a  
5 Cannabis-derived Products Data Acceleration Plan which  
6 is a way to try to get and utilize real-world evidence  
7 about the use of these products.

8           So we've done all this work. We want to  
9 present to you where we are with all this and so I will  
10 turn this over right now to Patrick Cournoyer and,  
11 Patrick, if you'll introduce yourself and then carry  
12 on.

13           Thank you.

14           DR. COURNOYER: So my name is Patrick  
15 Cournoyer, and I'm acting as a Science and Policy  
16 Coordinator for the Cannabis Project Committee, and my  
17 permanent job is as the Regulatory Scientist in the  
18 Office of Food Additive Safety in the Center for Food  
19 Safety and Applied Nutrition.

20           So I will continue with Dr. Woodcock's  
21 introductory information and go a little deeper into  
22 what we've been working on since 2018.

1           So as Dr. Woodcock mentioned, we held a  
2 public meeting in May of 2019 to obtain information  
3 from the public, from the scientific community related  
4 to FDA oversight of cannabis-derived compounds. We had  
5 over a hundred speakers present at that event and over  
6 4,500 comments were submitted to the public docket.

7           Now we've maintained that public docket open  
8 since that time to provide an easy avenue for the  
9 public, for stakeholders to submit information to us  
10 that might inform our analysis as regulatory options  
11 for cannabis-derived products.

12           Along with that, we posted a list of  
13 scientific questions we had to stimulate the community  
14 to look into some of the things that we're concerned  
15 about. This was a rather long list and some of the  
16 things that we listed were risks related to liver  
17 injury, active metabolites in humans, such as 7-COOH-  
18 CBD, impact on the reproductive system, effects once  
19 CBD is co-administered with other substances, the  
20 impact on neurological development, potential sedative  
21 effects, pharmacokinetics and transdermal penetration,  
22 the need for long-term toxicity studies, repeat dose,

1 effects of different routes of administration, such as  
2 oral, topical, versus inhaled, and how those can  
3 differ, effects on pets and on food-producing animals,  
4 the potential for bio-accumulation of CBD, and effects  
5 on the eye. So these were all potential scientific  
6 questions that we raised to help provide the community  
7 with some input on where we were seeking information.

8           We have some ongoing studies. As part of an  
9 initial study, we looked at a 147 products on the  
10 market and analyzed them for the 11 cannabinoids that  
11 Dr. Woodcock showed you earlier and a 133 of those were  
12 analyzed for toxic elements content and the produces  
13 included a wide range, including beverages, edibles,  
14 gummies, pet products, tinctures, and now a more  
15 ambitious second phase underway looking at  
16 approximately 1,400 samples for cannabinoids and for  
17 toxic elements, and you can see here a publication that  
18 came out with the first phase of that work.

19           We've been using multiple avenues to obtain  
20 information on the market and how consumers are using  
21 it, including by accessing third party market research  
22 and looking at the scientific literature that speaks to

1 those things.

2           We're also conducting a study of our own  
3 toxicological studies and several of them are listed  
4 here on this slide, but it's not an exhaustive list.  
5 Many of these studies are being conducted along with  
6 the FDA's National Center for Toxicological Research.

7           One of the studies listed here is an in vitro  
8 evaluation of male reproductive toxicity, looking at  
9 testicular cells exposed to cannabidiol and its main  
10 metabolites, 7-Carboxy-CBD, as I mentioned before, and  
11 the earliest data of this work have now been published  
12 and this publication you can see to the right.

13           A different study is looking at developmental  
14 neurotoxicity of CBD exposure in rats, and there's  
15 several other studies that are ongoing, as well, with  
16 question we have about CBD's effects.

17           We're also monitoring adverse event data.  
18 These come in through various avenues and FDA staff are  
19 looking at this information and looking to spot trends  
20 and are compiling this information for presentations  
21 like the data shown here.

22           We're monitoring the scientific literature.

1 As one of the public commenters mentioned before, there  
2 is a lot of research going on into CBD, in addition to  
3 the drug development pathway, and so this is  
4 screenshots from a literature review that has been put  
5 on FDA's website that was completed as of 2019 but, of  
6 course, a lot of information has come out since then.  
7 So we're constantly looking at the scientific  
8 literature.

9 More recently, we issued the Cannabis-derived  
10 Products Data Acceleration Plan and what that is is a  
11 portfolio of pilot initiatives and partnerships,  
12 looking to advance data-driven safety signal detection  
13 to enable us to be aware and identify emerging and new  
14 issues more readily and leverage doing different types  
15 of data sources. Work in those projects is ongoing.

16 So given the entirety of all of that work  
17 that we've done to acquire more information, there are  
18 things that we do know and we do know that CBD raises  
19 important safety concerns and so we've done our best to  
20 be clear and communicative to the public so that they  
21 can be informed about potential risks from CBD  
22 products.

1           Here I list the website snapshot where we  
2 summarize some of the key points that CBD can cause  
3 liver injury, interact with drugs, and cause  
4 reproductive toxicity in test animals.

5           We've taken targeted actions to protect  
6 public health. As Dr. Woodcock mentioned, the market  
7 is large and our resources are not unlimited, but we  
8 prioritize products with the greatest public health  
9 risks and we issue warning letters to select firms  
10 marketing CBD products that are marketed to treat  
11 disease or for other therapeutic use, products for  
12 food-producing animals more recently, also foods for  
13 humans and animals with added CBD, and we've indicated  
14 in those letters that we cannot conclude that CBD is  
15 generally recognized as safe for use in food.

16           We've also targeted CBD products with  
17 concerning routes of administration, like nasal and  
18 thalamic, and we quite recently issued some warning  
19 letters to products containing Delta-8 THC due to the  
20 risks that those pose to the public.

21           Now what brings us here today is that CBD and  
22 cannabinoids raise scientific and regulatory

1 challenges. So we know that if used outside of the  
2 approved drug context for several reasons raises  
3 important safety concerns, particularly with long-term  
4 lifetime use, but besides CBD, we know that other  
5 cannabinoids are poorly understood and so they have  
6 suspected pharmacological activity but really that  
7 raises more questions than answers and we have a very  
8 limited understanding of their respective toxicity  
9 profiles.

10           And so our questions to the Science Board  
11 today relate to the challenges of ensuring the safety  
12 of the substances that are like this outside of context  
13 of an approved drug.

14           The subsequent presentations will be looking  
15 at the different pathways for drugs, dietary  
16 supplements, and food ingredients. So just as a primer  
17 for that, I'll run through some of the key elements of  
18 each and put them here for comparing and contrasting.

19           Starting with drugs, the typical users are  
20 those with a medical condition. So those users are a  
21 quite defined subset of the population. The safety  
22 standards for a new drug approval is that the benefit



1 outweighs the risk.

2           So you can see that there is some ability for  
3 risks to be entering the equation but what really  
4 matters is that the benefits exceed those risks.  
5 The types of information that are provided to the  
6 agency for a new drug approval are extensive. They  
7 include a suite of animal, pharmacology, and toxicology  
8 tests, including extensive human clinical studies with  
9 many participants and over long duration.

10           The agency has a lot of tools in its  
11 portfolio for managing the risks in the approved drug  
12 context. They're in the labeling with detailed  
13 instructions on warnings on a drug package. Drugs can  
14 be limited to prescription only access and behind the  
15 counter. Risk evaluation and mitigation strategy can  
16 be developed through the Prevent Program. There can be  
17 DEA scheduling as needed, and there are robust systems  
18 for reporting adverse events.

19           So these are all part of the ecosystem  
20 through which the agency is able to manage risks  
21 related to drugs in the approved drug context.

22           Then moving on to dietary supplements, the

1 typical users are those seeking to supplement their  
2 diet and maintain their health. So this is again a  
3 subset of the population but this subset of the  
4 population is accessing dietary supplements voluntarily  
5 typically.

6           The safety standard for new dietary  
7 ingredients is for them to be reasonably expected to be  
8 safe. So this means that they really must be safe.  
9 However, benefits do not enter this equation. So any  
10 serious risks cannot be offset by any potential  
11 benefits or perceived benefits.

12           Typically what's provided in a premarket new  
13 dietary ingredient evaluation is there might be  
14 evidence of history of safe use. There typically is a  
15 safety narrative that builds a case for safety and  
16 there might be animal toxicology tests as needed.

17           There are options available in the dietary  
18 supplement pathway. Some examples include the safety  
19 standards that are in the narrative that are safe.  
20 Labeled conditions are used and help to manage certain  
21 risks. For instance, dietary supplements can be  
22 indicated for a limited consumption amount, a limited

1 duration of use and for a limited subset of the  
2 population, excluding vulnerable groups, for example,  
3 and the safety evaluation will take that into account,  
4 and again users can report adverse events and that can  
5 feed into the portfolio to manage risks.

6           Now, finally, for food ingredients, the  
7 typical user here is quite different. This is really  
8 the whole population, including vulnerable groups over  
9 their lifetime, and so this isn't something that people  
10 volunteer with.

11           The safety standard is reasonable certainty  
12 of no comment. So this is a strict safety standard  
13 that again does not include benefits. Common types of  
14 information provided are safety narrative and  
15 sometimes, as needed, animal toxicology tests, and in  
16 terms of risk management, this is primarily done to a  
17 very strict premarket safety standard and it doesn't  
18 take into account typically restricted conditions of  
19 use with arbitrary limitations on consumption or  
20 something like that.

21           So really the premarket strict safety  
22 standard is the primary way that food ingredients are

1 ensured that they're safe.

2           So just to conclude, I wanted to highlight  
3 some pathways for CBD that CBD has found in select  
4 foreign jurisdictions, starting with the European Union  
5 and the United Kingdom. In both of those  
6 jurisdictions, novel food pathway is the route that's  
7 been evaluated and because it was determined that CBD  
8 is a novel food jurisdiction, it was subject to those  
9 requirements.

10           As was noted earlier, the novel food  
11 evaluations going on in the European Union have just  
12 been put on hold for more data or new data as the  
13 scientists stated that they cannot currently establish  
14 the safety of CBD as a novel food due to data absent  
15 certainties about potential hazards related to CBD  
16 intake.

17           Australia and New Zealand have taken a  
18 different approach and CBD is available on the market  
19 but through a medicines pathway, not through food, and  
20 it's considered a pharmacist-only medicine. So this is  
21 widely comparable to the current accessibility of  
22 cannabis products in states through their state=

1 regulated medical cannabis programs.

2           And then Canada has a different approach, as  
3 well, where CBD products are accessible through their  
4 Cannabis Act and are subject to all of the rules and  
5 requirements that apply to cannabis under the Cannabis  
6 Act and so this case would be akin to an adult use  
7 regulated cannabis space. So they're going to be  
8 positioned alongside THC-rich cannabis products in  
9 Canada.

10           So that concludes my remarks and with that, I  
11 will turn it over to Dr. Cassandra Taylor to speak  
12 about the Drug Pathway.

13           MR. RAGHUWANSHI: Patrick, would you mind  
14 hitting Stop Share? Thank you.

15           Cassie, you're on mute.

16           DR. TAYLOR: Can you hear me now, Rakesh?

17           MR. RAGHUWANSHI: Loud and clear. Thanks.

18           DR. TAYLOR: Great. Thank you so much.

19           Good afternoon, everyone. Thank you for  
20 joining us today.

21           My name is Cassie Taylor. I'm a chemist on  
22 the Botanical Review Team here in CDER. I'm in the

1 Office of Pharmaceutical Quality and today I'm going to  
2 talk to you about the Drug Regulation of Cannabis  
3 Products.

4           So as was mentioned previously, FDA regulates  
5 a wide variety of products and in this presentation,  
6 you will hear about the drug product regulations and  
7 there will be other presentations beyond this about  
8 other product categories.

9           So here at CDER we regulate prescription and  
10 non-prescription drugs and that includes generic drugs.  
11 We have a team-based review process which Dr. Woodcock  
12 had briefly mentioned earlier this morning. What that  
13 means is we have an independent and unbiased multi-  
14 disciplinary team of physicians, statisticians,  
15 chemists, pharmacologists, and other scientists who  
16 review investigators' data and proposed labeling.

17           Drugs are evaluated for safety, efficacy, and  
18 quality. If the review team establishes that a drug's  
19 health benefits outweigh its known risks, then CDER  
20 considers it safe enough to approve.

21           CDER works to ensure safe and effective drugs  
22 are available to improve the health of consumers. It

1 also ensures prescription and non-prescription drugs,  
2 both brand name and generic, work correctly and that  
3 the health benefits outweigh the known risks.

4 A brief overview of our drug authority will  
5 be provided here just so there's understanding for  
6 everyone on the Science Board.

7 So under the Food, Drug, and Cosmetic Act,  
8 the FD&C Act, any product, including a cannabis  
9 product, hemp or otherwise, that is intended for use in  
10 the diagnosis, cure, mitigation, treatment, or  
11 prevention of disease, or is an article, other than  
12 food, intended to affect the structure or any function  
13 of the body of man or other animals is considered to be  
14 a drug. With limited exceptions, a new drug must be  
15 approved by the FDA for its intended use before it may  
16 be introduced into interstate commerce.

17 FDA regulations can be found in Title 21 of  
18 the Code of Federal Regulations or 21 CFR.

19 Now here at CDER, we have premarket review.  
20 So this is the review that goes on prior to a drug  
21 being approved. Drugs include single molecule drugs as  
22 well as the TNF-alpha drugs. Sponsors, investigators,

1 researchers may utilize the regulatory pathway known as  
2 the Investigational New Drug Application or an IND.  
3 This is where drug development occurs.

4           Phases 1, 2, and 3 are conducted under an  
5 IND. Once the sponsor investigator reaches the end of  
6 Phase 3, they may decide to apply for a marketing  
7 application. The marketing application is known as the  
8 New Drug Application or an NDA.

9           Once an NDA is approved and on the market,  
10 CDER has post-market surveillance. This occurs in the  
11 safety of monitoring not just NDAs but Abbreviated New  
12 Drug Applications or ANDAs and prior to being approved  
13 as Biologic License Applications or BLAs. This is all  
14 done under the PHS Act.

15           We monitor products that reference under  
16 Section 3075 of the 21st Century CURES Act, but we also  
17 monitor products beyond the 21st Century CURES Act  
18 requirements.

19           So in a nutshell, we monitor the safety of  
20 all products that are identified in FDA's Adverse Event  
21 Reporting System or the FAERS Database.

22           For the botanical drug products, which is



1 where my team works on the Botanical Review Team, a  
2 botanical drug is intended for use in the diagnosis,  
3 cure, mitigation, treatment, or prevention of diseases  
4 in humans. A botanical drug product consists of  
5 vegetable materials which may include plant materials,  
6 algae, macroscopic fungi, or combinations thereof, and  
7 a botanical drug will usually be available as but not  
8 limited to a solution. An example would be a tea, a  
9 powder, a tablet, a capsule, an elixir, a topical, or  
10 even an induction.

11 Botanical drug products often have unique  
12 features. So, for example, these are heterogeneous,  
13 very complex mixtures, as Dr. Woodcock was mentioning  
14 earlier. They often lack a distinct active ingredient  
15 and sometimes there's substantial prior cumulus.

16 Fermentation products and highly-purified or  
17 chemically-modified botanical substances are not  
18 considered botanical drug products.

19 The botanical drug specialty requires  
20 consideration and adjustment during our FDA team-based  
21 review process. So we have botanical drug development  
22 guidance for industry that was issued by CDER back in

1 2016. Within that guidance you will see all these  
2 considerations taken into account and it helps to  
3 facilitate the development of new therapies that are  
4 using botanical sources, not just cannabis but any  
5 botanical source.

6           There are compounds that are derived from and  
7 related to cannabis. So for those of you who have  
8 looked at our website, FDA Cannabis Research and Drug  
9 Approval Process, you will have seen the visual like  
10 this. In the middle you'll see Cannabis is defined as  
11 cannabis sativa which is a plant that contains over 80  
12 different naturally-occurring compounds.

13           The main compounds that most of you are  
14 familiar with are called cannabinoids. We've heard  
15 about CBD and THC because they are the most well known,  
16 but plants are grown to produce varying concentrations  
17 of cannabinoids.

18           CBD and THC are two of those cannabinoids,  
19 but there are also over 100 others, and as humans start  
20 to intervene into any plant-growing process, these  
21 variations are created for these different compounds to  
22 express either more or less and so when humans

1 intervene to cultivate a plant, those variations are  
2 called cultivars.

3           This occurs in more than just cannabis. You  
4 see it often in all the different roses and tomatoes  
5 that are readily available to you. Those are all  
6 different cultivars.

7           If we look to the right of the diagram, we  
8 see the term "cannabis-related compounds." These are  
9 synthetic compounds that are created in the laboratory.  
10 They can be used to manufacture drug products. Some of  
11 the synthetic compounds may also occur naturally in the  
12 plant and others may not.

13           So one example of the synthetically-derived  
14 cannabinal is also naturally occurring. In contrast,  
15 nabilone does not occur naturally. The agency has  
16 approved three synthetic cannabis-related drug  
17 products, Marinol citrus, also known as dronabinol, and  
18 Cesamet, known as nabilone.

19           On the left-hand side, you'll see the  
20 cannabis-derived compounds. These are compounds that  
21 occur naturally in the plant. So we're using CBD and  
22 THC as our example. These compounds are extracted

1 directly from the cannabis plant itself. They can be  
2 used to manufacture drug products, also, and one  
3 example is the highly-purified CBD that was extracted  
4 from a plant.

5           The agency approved one cannabis dry drug  
6 product, Epidiolex, also known as cannabidiol.

7           So let's dig a little bit deeper. We know  
8 that CBD and Delta and THC are very closely related in  
9 structure. You can see that in the red oval. But  
10 they're not the only compounds that are in cannabis.  
11 There are over 100 cannabinoids that occur naturally in  
12 cannabis.

13           Cannabinoids are unique to the cannabis  
14 plant. However, most of these have unknown safety  
15 profiles. Also, it's important to understand that the  
16 cannabis plant itself, when it's growing in the ground,  
17 the majority of these compounds exist in the acidic  
18 form. So if we take CBD as an example, that's the  
19 neutral molecule, where CBDA or cannabidiolic acid is  
20 actually what occurs in the plant itself.

21           In order for CBDA to become CBD, it has to  
22 undergo a chemical process known as decarboxylation.

1 That generally occurs when the plant is cut and  
2 harvested and blown dry. That heating is what actually  
3 helps to help the decarboxylation to occur and this  
4 occurs for other acid forms of the plant that are  
5 prominent in the natural plant itself that have to be  
6 decarboxylated to form the neutral compounds.

7           Now in addition to cannabinoids, there are  
8 also a class of compounds known as Terpenes. These are  
9 the aromatic compounds that you associate with the  
10 smell of cannabis, but many of the Terpenes that are  
11 present in cannabis and there are over 100 that  
12 naturally occur in that compound are also found many  
13 other places throughout nature.

14           For example, when you peel an orange or you  
15 cut a lemon, you're used to that citrus smell.  
16 Limonene is generally the reason that you're smelling  
17 that citrus smell. If you have ever touched a pine  
18 tree, pinene is the reason that you're smelling that  
19 smell and oftentimes there's more than one Terpene that's  
20 contributing to those smells, but, in general, this is  
21 the class of compound that is responsible for those  
22 aromatics that you're accompanied with, but the

1 terpenes are not unique to cannabis while the  
2 cannabinoids are.

3           In terms of cannabis drug development, we  
4 mentioned already that there's four products that are  
5 approved by FDA. There has also been some rescheduling  
6 of drug control actions upon approval.

7           Here is the Ergonomic Controlled Substance  
8 staff or CSS whose mission is to promote the public  
9 health through the medical science-based assessment and  
10 management of drug-release risks.

11           CSS performs specific functional roles, such  
12 as activities regarding the drug scheduling, abuse, and  
13 dependence, including international drug scheduling and  
14 control.

15           This role is the Department of HHS function  
16 under the CSA or the Controlled Substances Act, and  
17 it's delegated to the FDA and it is performed by the  
18 Controlled Substances staff within CDER.

19           CSS is responsible for writing the eight-  
20 factor analysis, scientific and medical assessments and  
21 drug recommendations to the DEA as required by the  
22 Department of Health and Human Services under the CSA.

1           The four food drug products that are on the  
2 screen here have all undergone an eight-factor analysis  
3 and scheduling recommendations were provided by CSS to  
4 HHS who then sends the recommendation to DEA. DEA  
5 takes the HHS recommendation into consideration for  
6 their scheduling decisions.

7           So here you'll see Marinol, also known as  
8 donabinol, approved in 1985, is scheduled to be under  
9 the Controlled Substances Act. For Cesamet or  
10 nabilone, also approved in 1985, is scheduled, too.  
11 Dronabinol approved in 2016 is scheduled, too. We have  
12 Epidiolex or CBD which is approved in 2018 for  
13 childhood seizures, and THC was originally scheduled  
14 but is now no longer controlled.

15           When we talk about drug development, we had  
16 discussed already the IND. Well, any cannabis product  
17 that's intended for use under clinical trial with a  
18 claim of therapy benefit for any disease claim is in  
19 fact a drug.

20           So the IND application, once it's submitted  
21 to the FDA and CDER receives it, the 30-day clock  
22 begins and by day 30, the integrated team that we had

1 talked about earlier will assess the information and  
2 make a determination if that IND is either safe to  
3 proceed or if there are clinical holds for a variety of  
4 safety reasons.

5           If you are not ready to submit an IND, you  
6 may request what's called a pre-IND meeting with the  
7 Clinical Division that is under the Therapeutic  
8 Research Area. So an example, if you were proposing to  
9 study an oncology drug, you would reach out to our  
10 Oncology Division in the Office of New Drugs and  
11 request a pre-IND meeting.

12           This allows sponsors and investigators the  
13 opportunity to get specific feedback on their  
14 particular drug product and then that will allow them  
15 to potentially submit an IND and will help them get to  
16 a safe to proceed and do their work.

17           Now once you complete your phases of drug  
18 development, the IND phase, the sponsors can then  
19 formally propose the FDA approve the new pharmaceutical  
20 under the New Drug Application or an NDA. In general,  
21 when drugs are studied under a clinical trial, cannabis  
22 drug, cannabis and cannabis drug compounds, just like



1 any other drug, you have to meet all the FDA  
2 requirements that are in the IND application.

3           So this includes three broad areas: animal  
4 pharmacology and toxicology studies, so these are our  
5 non-clinical studies. This is where our toxicologists  
6 and our pharmacologists really shine. The  
7 manufacturing information. Here, this is where you  
8 would submit your botanical raw material control where  
9 my team, the BRT, would review it, and you submit all  
10 your drug substance and drug product controls and the  
11 chemistry manufacturing controls where my CMC  
12 colleagues would review the drug substance and the drug  
13 product.

14           And then the third would be the clinical  
15 protocols and investigational information and so  
16 inclusion/exclusion criteria, informed consent, as well  
17 as information to confirm that the medical  
18 professionals are properly licensed to ensure safety.

19           Now for those who are wishing to look into  
20 how to submit an IND, we have an excellent draft  
21 guidance here that's labeled Investigation of New Drug  
22 Applications Prepared and Submitted by Sponsors and

1 Investigators. It's important to understand that in  
2 each phase of the clinical investigations, sponsors  
3 must submit sufficient information to ensure the  
4 identity, quality, purity, and potency or strength of  
5 the investigational drug. The amount of information  
6 appropriate to meet this expectation will increase the  
7 successive stages of drug development.

8           So that means the information needed in Phase  
9 1 will not be the same as the information needed in  
10 Phase 3. It will be increased as you move through  
11 those stages of development.

12           And we treat products that contain cannabis  
13 or cannabis-derived compounds as we do any other FDA-  
14 regulated product. What does that mean? That means  
15 it's subject to the same authorities and requirements  
16 as FDA-regulated products containing any other  
17 substance.

18           We do have some information that is available  
19 to help sponsor investigators. So we have the  
20 Botanical Drug Development Guidance for industry that  
21 provides our current thinking on botanical drug  
22 development, the focus on the botanical quality

1 controls and the raw material growing conditions, but  
2 after the 2018 Farm Bill, many folks started reaching  
3 out to us for resources and so July 21st of 2020, FDA  
4 published the Draft Cannabis and Cannabis Drug  
5 Compounds Quality Considerations for Clinical Research  
6 and that document is a collaboration amongst CDER and  
7 we have put together the information that will help  
8 sponsors and investigators to conduct these types of  
9 trials.

10           Now when it comes to therapy research areas,  
11 over the last 15 years CDER has received over 800 INDs  
12 that have been submitted. In the first 40 years FDA  
13 received over 400 submissions for cannabis and  
14 cannabis-derived products.

15           However, in the last 10 years we have  
16 received nearly the same amount, 400 submissions. So  
17 that's a dramatic increase in submissions and we have  
18 nearly a 150 active findings right now.

19           So the example of research areas where these  
20 INDs are at is addiction and pain medicine, neurology,  
21 immunology and inflammation, as well as psychiatry.

22           CDER has a well-defined role to play in the

1 regulation and development of new drug products  
2 containing cannabis and cannabis-derived compounds and  
3 will continue to protect and promote and public health  
4 with respect to these products. CDER continues to  
5 focus on supporting scientific and rigorous testing and  
6 approval of human drugs derived from cannabis and  
7 supporting robust scientific research into  
8 understanding human and animal uses and safety of non-  
9 drug cannabis products.

10 FDA is committed to promote and protect the  
11 public health with respect to human drug products  
12 containing cannabis and cannabis-derived compounds,  
13 including enforcement action when needed.

14 Thank you very much and I'll hand it over to  
15 Dr. Noonan.

16 DR. NOONAN: Thanks, Dr. Taylor.

17 Good afternoon, everyone. My name's Greg  
18 Noonan. I am currently the Acting Deputy Director for  
19 the Office of Dietary Supplement Programs.

20 So as Dr. Taylor just gave us a great  
21 breakdown of the drug regulatory scheme, we're now  
22 going to move over into foods and I'm going to focus

1 specifically on dietary supplements and you'll hear  
2 from me today, and I'll remind you again and again  
3 because I think it's really important that dietary  
4 supplements are regulated as foods, not just important  
5 from a regulatory or a legal perspective, but it's also  
6 important from factor and sort of how the products are  
7 used and even the sort of intrinsic perceptions of  
8 safety that goes along with those.

9           Before I jump into the safety standards  
10 associated with dietary supplements, and really I use  
11 the plural there specifically because it is actually  
12 multiple standards, depending on the timing and the  
13 ingredient that we're talking about, here in this first  
14 slide I'm going to touch a little bit on the history,  
15 the market, and sort of the consumer uses because I'm  
16 hoping that that information will actually give the  
17 Science Board some context and perspective about  
18 answering the questions that Dr. Musser will discuss  
19 later on today.

20           So to show you the Dietary Supplement Health  
21 and Education Act was enacted in 1994, it defined the  
22 term "dietary supplement," and this is the first time

1 that that term was defined within the regulation. It  
2 also said that dietary supplement must contain a  
3 dietary ingredient. It must be for ingestion, and it  
4 also had added the exclusion clause, the idea of a new  
5 drug or a drug that's undergone substantial IND cannot  
6 be a dietary supplement.

7 Specialty dietary substance may not claim to  
8 diagnose, mitigate, treat, cure, prevent a disease.  
9 This is something that Dr. Cournoyer touched on in his  
10 table. We don't talk about the efficacy or the  
11 benefits when doing our safety assessments with dietary  
12 supplements.

13 It also established the requirements for the  
14 term "new dietary ingredients," and the new dietary  
15 ingredient is any ingredient that wasn't marketed in  
16 food prior to 1994.

17 I want to dig down into a little bit later.  
18 It's not that they actually represent a majority of the  
19 marketplace, but there at one point the FDA had the  
20 chance to review some safety and identity information.  
21 I think it's a good example that we can draw on and,  
22 finally, as I said, you're going to hear this a number

1 of times today, dietary supplements are regulated as a  
2 category of food.

3           If we go to the next slide, there were  
4 actually some findings in DSHEA that really give some  
5 idea of maybe what Congress was thinking about and at  
6 the time of DSHEA almost 50 percent of Americans were  
7 regularly consuming dietary supplements. These were  
8 generally vitamins, minerals, herbs, some amino acids,  
9 with vitamins and minerals being sort of the majority  
10 of that market.

11           The products were used to supplement the diet  
12 or supplement nutrition, to maintain health, maintain a  
13 healthy lifestyle, to reduce chronic disease.

14           I think one of the other interesting  
15 findings, I don't have it listed here, is the idea that  
16 people who took dietary supplements actually took on  
17 other aspects of healthy lifestyles, such as exercise.  
18 So it was a very holistic approach.

19           The market was actually relatively small. It  
20 was estimated about 600 supplement manufacturers and  
21 about 4,000 products and just maybe for some context,  
22 the market size is about \$4 billion, I believe it was

1 estimated in '94, which is roughly the size of just the  
2 CBD market.

3           So in the next slide, if we take a look at  
4 what's happened in the nearly 30 years since DSHEA was  
5 passed. There's been a change both in consumer usage  
6 and in the marketplace. So currently estimates about  
7 75 to 80 percent of Americans consume some dietary  
8 supplement with a majority of children, just over 50  
9 percent of children being a part of that.

10           Vitamins and minerals are still the most  
11 common supplement that's used, but there has been this  
12 increase in sort of the targeted intended use and what  
13 I mean by that, things such as improved sleep and  
14 increased energy, so weight loss and reduced stress.

15           Now this trend has occurred over this nearly  
16 30 years, but the last two years of the pandemic,  
17 there's been a dramatic or substantial increase in this  
18 intended use with things, such as reducing stress,  
19 taking on a larger portion of the market.

20           Speaking of the market, current estimates  
21 have it between 50 to 80,000 different products, so  
22 roughly 10 times the size, a little bit more, than it



1 was in 1994.

2 Not only is it bigger but there's a greater  
3 diversity not just in the products but also in the  
4 supply chain diversity that occurs, and again going  
5 back to this intended use, there has been a change in  
6 the standardized and specialty formulas, purified  
7 components, with more specific uses are something that  
8 has occurred.

9 As we move to the next slide, we've seen the  
10 sort of change in the market and this reflects somewhat  
11 the FDA's role in regulating supplements and how that  
12 may change, depending on the ingredient we're talking  
13 about.

14 So again dietary supplements are regulated as  
15 food and FDA does not approve any dietary supplement  
16 product. In fact, for ingredients marketed prior to  
17 1994, I'll refer to them as pre-DSHEA ingredients,  
18 there is no premarket review required. So the FDA did  
19 not get safety or identity information about those  
20 products.

21 Focusing on the new dietary ingredients,  
22 again these were ones that were not on the market prior

1 to 1994. There's actually sort of two categories here.  
2 I'd like to split them out into (1) this idea of a new  
3 dietary ingredient that is already in the food supply.  
4 In that case, there is no premarket review. So they  
5 are very similar in the pre-DSHEA ingredients.

6           So the only chance that FDA has an  
7 opportunity to review ingredients that are going into  
8 supplements is the premarket review for NDIs that are  
9 not currently present in the food supply and I don't  
10 want to get into too much detail. Hopefully this  
11 works.

12           The interesting thing about that premarket  
13 review, so if a notifier comes forward and submits a  
14 notification for ingredient X, that does not cover  
15 every ingredient X product that is out there. It  
16 covers their product that contains ingredient X.

17           However, at that point the burden falls on  
18 the FDA to show that all those other products are  
19 actually not the same as the product that we have in  
20 review. So that burden falls to us and can be  
21 difficult without the initial data.

22           So if we go to the next slide, we can take a

1 look at how these different ingredients sort of fall  
2 into the different safety standards that we have. So  
3 for pre-DSHEA ingredient, our safety standards, our  
4 approach is all post-market. These are things that are  
5 all on the market and the burden's on the FDA to show  
6 that that ingredient, that product would cause a  
7 significant or unreasonable risk of illness or injury  
8 under recommended or ordinary conditions of use, a  
9 fairly high bar to reach. We need the data in order to  
10 demonstrate that.

11 For NDIs that have not been on the market, we  
12 have a premarket review and in that case, the  
13 reasonable expectation of safety under recommended  
14 conditions of use should be assessed and shown by the  
15 notifier.

16 In both of these, I want to sort of point to  
17 this conditions of use. We follow the labeled  
18 conditions of use. So whether it's intermittent or  
19 chronic, whether there are any warnings or a set of  
20 population, it's what's labeled or intended there, and  
21 the expectation is that the consumer follows those  
22 label indications.

1           The final one gets a little confusing. It's  
2 sort of a double negative here. I get caught up on  
3 this occasionally, the post-market NDI. So this idea  
4 that we have an ingredient that should be an NDI that  
5 is already on the market.

6           The burden is on the FDA to show that we have  
7 inadequate information to provide reasonable assurance  
8 it does not present a significant or unreasonable risk  
9 of illness or injury.

10           So if we have no information about it, that's  
11 something that we can sort of enforce on that safety  
12 standard. This ingredient X example I used, again the  
13 burden is on us to show that ingredient X from one  
14 source or location or manufacturer is different from  
15 the other.

16           So I want to dig down again into this  
17 premarket NDI. We move to the next slide. I really  
18 want to emphasize here again this is not the majority  
19 of the market, but it is the one chance that FDA has to  
20 review identity and safety information on products that  
21 are going to market.

22           So DSHEA lays out that manufacturers and

1 distributors must submit a notification to the FDA 75  
2 days prior to introducing a new dietary ingredient to  
3 market. This is a notification. So this is the  
4 notifier's information and the notifier's safety  
5 assessment and determination and it's on the FDA's  
6 review of that information.

7           The NDI notification, one requirement is that  
8 it must meet what's laid out in 21 CFR 19.6 to be  
9 considered complete. I'll go into that in just a  
10 moment. But I think it's really important, this final  
11 point, that this is not an approval by the FDA.

12           In fact, even if the FDA identifies identity  
13 or safety concerns in our review, the product can still  
14 go to market and then the FDA bears the burden to  
15 demonstrate its adulterated.

16           We move to the next slide to talk a little  
17 bit about the requirements and so while I've cut the  
18 text down from 190.6 to make it presentable on a slide,  
19 really the type of information that is required is all  
20 captured here on this one slide.

21           So we need to know about the name and address  
22 of the manufacturer, the name and the description of

1 the new dietary ingredient, the description of the  
2 product or the dietary supplement that that ingredient  
3 may be in, the level of the new dietary ingredient,  
4 again the conditions of use, and, finally, the history  
5 of use or other evidence of safety, and this is really  
6 an important point that I'm going to spend a few more  
7 slides on.

8           So go to the next slide and talk about this  
9 identity portion first. We always sort of capture  
10 these. We call them different buckets, but they're  
11 actually two buckets that are connected because you  
12 need to understand the identity of your ingredient  
13 before you can really help establish the safety.

14           So in the identity portions, we ask for the  
15 description of the NDI, the description of the evidence  
16 verifying that you actually have figured out what the  
17 NDI is, and then some information on the manufacturing,  
18 and these are just some examples, information about the  
19 raw material. Often we will ask questions or ask for  
20 information about farming techniques, if those  
21 techniques may lead to a different ingredient,  
22 formulation ingredients, the manufacturing process,

1 specifications and the methods of analysis that are  
2 used to look at those specifications.

3           It's really a breakdown of what's your  
4 ingredient and how do you know that's actually the  
5 product ingredient that you're producing each time you  
6 manufacture.

7           This is the identity portion which then leads  
8 into the safety and again I want to emphasize here that  
9 the safety standard is laid out in 190.6, that the  
10 notification must contain history of use or other  
11 evidence of safety establishing that the NDI when used  
12 under the conditions recommended or suggested in the  
13 labeling of dietary supplement will reasonably be  
14 expected to be safe.

15           So I want to dive down into the history of  
16 uses of a really important point, especially related to  
17 dietary supplements. So if we go to the next slide,  
18 this is really the, I think to me, one of the options  
19 of having dietary supplements regulated as food.

20           This idea that these ingredients have been in  
21 the food supply or at least historically used for some  
22 time by perhaps large portions of the population. So

1 when we get history of use safety assessments, we  
2 really need a description and a characterization and  
3 it's really important here that that comparison  
4 compares and contrasts how the historically-consumed  
5 material is the same or different than the NDI.

6           Very often historically-consumed material may  
7 be a leaf or a root that's chewed while the NDI might  
8 be a reflection, some purification or extract. So how  
9 are those two things compared?

10           The exposure estimates. How does that  
11 exposure estimate perhaps from the unconcentrated form  
12 related to the exposure estimate that comes from the  
13 use of the new dietary ingredient perhaps in a more  
14 concentrated or a different form? These are all  
15 important things in a history of use.

16           The size and characteristics of the consuming  
17 population. Does that data exclude children that you  
18 have on historical use or does it exclude pregnant or  
19 women who may become pregnant? Those are very  
20 important considerations in that safety assessment.

21           Finally, we do ask for adverse events  
22 associated with the historically-consumed material. I



1 wouldn't say that the lack of an adverse event proves  
2 safety, but it's important to have that sort of context  
3 of information.

4           So a sufficient history of use can actually  
5 lead to a reasonable expectation of safety being  
6 established and with the number of notifications we get  
7 in, I'd say between five and 10 percent are the safety  
8 assessment or the expectation of safety is based solely  
9 on the history of use.

10           But when I say sufficient, it can be case-by-  
11 case. It depends on the ingredient, depends on how the  
12 conditions of use, but for the most part we're not  
13 talking about months or even simply years of historical  
14 data. We're usually looking into the sort of decades  
15 time frame. Long-term history of use is what really  
16 supports this sort of safety assessment.

17           Now there is the other reasonable evidence of  
18 safety. I'm going to talk about some of it in my next  
19 couple of slides.

20           So, in general, there are a variety of  
21 different studies that can be done. I mentioned in  
22 vitro studies. Generally, these cannot in themselves

1 establish safety, but they do support other studies.  
2 They may support a study in animal, how to perform an  
3 animal study or what clinical studies should be done,  
4 give us information that sort of helps guide the rest  
5 of safety assessments.

6           Animal studies, the specific recommended  
7 study depends very much on the conditions of use and  
8 the product. I'll talk about that a little bit in just  
9 the next slide, but I want to touch here on clinical  
10 studies because I think when I hear clinical studies  
11 when I first started in this area, I think very much,  
12 my mind goes to the sort of drug realm.

13           The clinical studies here are different. We  
14 are establishing safety. We are not establishing  
15 efficacy. I think even more importantly, these  
16 generally should be performed on healthy populations. A  
17 dietary supplement is not used to treat, mitigate, or  
18 cure a disease. It's used in a more widely general  
19 population and that's where those clinical studies  
20 really we gain power and safety data from that.

21           In the next slide, we'll dig down a little  
22 bit more into these. So the design of these additional

1 studies are really based on the ingredient and the  
2 product use. I can spend probably or a toxicologist  
3 could probably spend hours and hours talking about  
4 this, but I just want to touch on it briefly.

5           So the conditions of use, things such as the  
6 serving size, the target population, really helps to  
7 inform which animal and which clinical studies should  
8 be done.

9           The identity, the source of that material  
10 helps inform some of the animal studies or perhaps  
11 those in vitro studies that should be done.

12           Specifically here, the type of extract will  
13 influence what co-extractives come across into that  
14 purification system and so if there are possibly toxic  
15 signals from some of those co-extractives, those are  
16 particular studies that probably should be followed on  
17 in order to do a thorough safety assessment.

18           Ideally, studies should be performed on the  
19 product of commerce. Often when they are not, a real  
20 in-depth discussion of how the NDI or how the product  
21 or the article used in the animal studies or the  
22 clinical studies, how does it differ or how is it the

1 same from the product of commerce? That's really  
2 important to see if those studies can actually be  
3 applied to the product.

4           Finally, the safety narrative, and this is  
5 really the core of summarizing the data that the  
6 notifier used to establish that their product would be  
7 reasonably expected to be safe.

8           I often when we talk to notifiers, I often  
9 say they need to tell us a story in that summary of  
10 data, sort of pull all the data you have together and  
11 lay out that story of how you came to the decision of  
12 the reasonable expectations of safety.

13           So in closing, my final slide, just if I've  
14 done anything, hopefully you'll have a couple take-  
15 aways. First and foremost, and I'm sorry you've heard  
16 this many times but I'm going to say it again, dietary  
17 supplements are regulated as food. That's again not  
18 just a legal or a regulatory perspective but has  
19 context in how consumers view these products and how  
20 they use these products.

21           There are no approvals for dietary  
22 supplements in order to enter the market and while we

1 do have premarket reviews, that is only on a limited  
2 number or a limited set of new dietary ingredients or  
3 products from new dietary ingredients.

4           And while I've laid out some general and we  
5 do have specific safety study recommendations, none of  
6 these are requirements. Again, this is a notification  
7 and it is really a review of the notifier's  
8 determinations and information.

9           And with that, I will turn it over to the  
10 next speaker. Thank you.

11           DR. COURNOYER: Okay. Thank you.

12           So with that, I will wear my other hat in my  
13 capacity as a regulatory scientist at the Office of  
14 Food Additive Safety and one of the roles of the Office  
15 of Food Additive Safety is to regulate and evaluate the  
16 safety of food ingredients and so I'll give you a broad  
17 overview of the considerations that go into that,  
18 starting with what we regulate in terms of definitions.

19           Food additives require approval by the Office  
20 of Food Additive Safety and so what is a food additive?  
21 It's defined really broadly and it's any substance  
22 intended to be used and which results in it becoming

1 part of a food or otherwise affecting the  
2 characteristics of any food.

3           So that's quite broad, but there are some  
4 important exceptions and one of those being substances  
5 of use is generally recognized as safe. So something  
6 that becomes a part of food is a food additive unless  
7 it is generally recognized among qualified experts to  
8 be safe under the conditions of its intended use.

9           And so this provision was put in there  
10 because the approval of a food additive can be a  
11 resource-intensive effort and there are a lot of things  
12 that are added to food, a lot of which one would  
13 acknowledge is safe by general consensus, and so to  
14 avoid the resource burden of having to approve a  
15 really, really large number of things that are added to  
16 food, this provision was added to allow safety to be  
17 established rather than by the FDA by general consensus  
18 among experts.

19           So as I mentioned, food additives require  
20 premarket FDA review and approval which is done by  
21 petitioning the agency and that results in a regulation  
22 that stipulates how that food additive can be used.

1           On the other hand, if something is generally  
2 recognized as safe, the FDA approval is not required.  
3 We have a program where we evaluate the information  
4 behind the GRAS and we recognize the safe conclusion.  
5 This program is voluntary, but I will note that the  
6 standards and the requirements that apply to those  
7 ingredients, including the safety requirements, those  
8 are mandatory.

9           So GRAS is in fact a high standard, generally  
10 recognized as safe or referred to as GRAS. So it has  
11 two big elements. One is the evidence of safety. For  
12 something to be GRAS, it must be safe, and in fact the  
13 safety standard for a food additive that's approved by  
14 the agency or GRAS which isn't is the same. It's the  
15 same as a safety standard, but it has this added  
16 element of the general recognition part of it.

17           In order for something to be GRAS, the safety  
18 evidence, the key safety evidence must be reflecting of  
19 scientific consensus of experts and that information  
20 must also be generally available. So if the data is  
21 secret, it wouldn't work for GRAS. It has to be  
22 published and accepted in things like journals,

1 textbooks, scientific reports, or by authoritative  
2 bodies or something like that. So it's really two key  
3 pieces.

4           An example of something that is a food  
5 additive, aspartame, this was approved by the agency a  
6 long time ago because at the time it was new. Things  
7 that are typical and generally recognized as safe might  
8 be -- things that are made up of substances that are  
9 common parts of the food supply. Let's say things like  
10 proteins, carbohydrates, and organs.

11           However, I do want to note that just because  
12 something is a defined chemical, like aspartame,  
13 doesn't mean it cannot be generally recognized as safe.  
14 It's just that the science has to be very settled and  
15 the information needs to be in the public domain and  
16 one can point to the fact that it reflects scientific  
17 consensus. So today aspartame perhaps could be GRAS.  
18 So it's time to end it.

19           So what is the safety standard that applied  
20 to both these cases? It is reasonable certainty in the  
21 minds of competent scientists that the substance is not  
22 harmful under the conditions of its intended use.



1           So this is a fairly high bar and it typically  
2 needs to account for expected use by the general  
3 population rather than picking and choosing which  
4 subparts of the population will be using it, including  
5 certain vulnerable groups, like the young, the elderly,  
6 and those who are pregnant. It typically needs to  
7 account for lifetime consumption and normally won't  
8 depend on special labels saying don't eat this if you,  
9 you know, have this condition or that.

10           Also, the safety standard, similar to dietary  
11 supplement ingredients, does not consider benefits and  
12 so if there is a risk, a potential benefit of something  
13 or perceived benefit of something can't offset it.

14           So the basic elements of a safety assessment  
15 for a food ingredient, one of the elements is what is  
16 it? As Dr. Noonan mentioned, an important initial  
17 element of a safety evaluation will be what is it in  
18 terms of its identity, its composition, how it's made,  
19 limits on certain impurities and contaminants, how is  
20 it going to be used.

21           Oftentimes with food ingredients, there's a  
22 technical effect or purpose for it to be added, like an

1 emulsifier or a preservative or a flavoring, where it's  
2 supposed to be used in terms of which types of food,  
3 how much in each category of food it will be used, and  
4 then an estimate needs to be done of how much are  
5 people expected to consume, and then, finally, is that  
6 amount of consumption going to be safe and data needs  
7 to support safety at the levels that people will be  
8 expected to consume.

9           So I'll get into those last two elements in  
10 the next slides.

11           So how much will people consume? This is  
12 something that's done as a matter of course in these  
13 types of safety assessments. So the first step is  
14 defining which type of food it's going to be used in,  
15 defining how much it's going to be used in each of  
16 those food types, and then estimates can be derived of  
17 the consumption of the foods that will contain the  
18 substance.

19           There are actually extensive databases that  
20 document how much of what people eat and these can be  
21 used to generate predictions of how much of a substance  
22 people will eat.

1           However, people all differ and some groups  
2 can consume more of certain foods than others and so  
3 there are ways of accounting for variation and for  
4 finding out what high-end consumers will use, right,  
5 because if it's safe for the average person but not  
6 for, let's say, the 90th percentile of user, then it's  
7 really not safe.

8           And then finally, there are ways of  
9 calculating how much of the substance people will be  
10 eating and it's typically expressed in a unit like  
11 milligrams per kilogram body weight per day. This not  
12 only needs to include the intended use of the substance  
13 but also background exposure from other sources.

14           So we get into a little more about how we  
15 determine whether exposure is safe. I first want to  
16 note that safety assessments really depend on the  
17 nature of the substance. So a thing that's a  
18 carbohydrate or a fat will have a different outcome  
19 than a small molecule in a food chemical.

20           So moving on, one of the key elements of a  
21 classical food chemical safety assessment is what's  
22 called a no observed adverse red flag or a NOEL and

1 this is the highest dose in an appropriately designed  
2 animal study that's been shown to cause no adverse  
3 effects.

4           But how the study is designed is extremely  
5 important. The study needs to assess the most  
6 sensitive toxicological endpoint for that substance and  
7 that means the organ system or the process that is most  
8 sensitive to that substance and the first thing that's  
9 likely to show harm.

10           Also, the study must use an appropriate model  
11 system, and I'll add that this approach tends to be  
12 useful for defined chemicals that are consumed in  
13 relatively small amounts. In this way, the test  
14 animals can be given exaggerated doses and that can be  
15 used to explore the toxicological profile. It's less  
16 applicable to macro ingredients, like fats, oils,  
17 carbohydrates, proteins, things like that.

18           Now moving on to one of the key factors of  
19 the food chemical safety assessment and how this is  
20 managed is the application of protective safety  
21 factors, and the way that this works is that the level  
22 that's been shown to not cause harm in test animals, we

1 want to make sure that actual exposure levels in humans  
2 are much lower and that gives a buffer and a margin for  
3 safety.

4           So typically this will be a hundredfold. So  
5 what we're showing to not cause harm in an animal we'll  
6 want a hundredfold less exposure in humans to ensure  
7 safety and the hundredfold is a commonly-applied safety  
8 factor which accounts for differences between the test  
9 animals and people and for differences between  
10 individuals.

11           If there are red flags in terms of safety or  
12 particularly problematic safety endpoints that showed  
13 up in the animal studies or if there are data gaps,  
14 additional safety factors can be applied to manage  
15 those risks and provide additional protection.

16           So finally, when a known level is divided by  
17 the protective safety factor, that produces an  
18 acceptable daily intake and the key is making sure that  
19 actual intake is below that and what that is is the  
20 amount of a substance that can be consumed daily over a  
21 lifetime with reasonable certainty and so again the  
22 proposed use of a substance can be considered safe if

1 the actual daily intake or estimated daily intake is  
2 less than the acceptable daily intake.

3 Both of these numbers, as I described how  
4 they're developed, they both entail some  
5 conservativeness to help ensure safety, right. So the  
6 estimated daily intake will be a highball estimate.  
7 The acceptable daily intake will be a low estimate, but  
8 the purpose of that is to ensure safety and meeting the  
9 safety strict standard.

10 I will also add you didn't hear me talk about  
11 human studies in this approach because they're  
12 typically not used in food chemical safety assessments  
13 and that's for several reasons.

14 One is that animal studies enable higher  
15 dosing and lifetime exposure and exposure during a  
16 reasonable time frame. So the higher dosing allows the  
17 discovery of potential endpoints or issues that you  
18 might not see in a human given a low amount.

19 Also, animals can be examined more  
20 thoroughly. They can be dissected. So this can reveal  
21 adverse effects that may not present in the human  
22 population. There are ethical concerns there, as well.

1           Human studies are typically only advised when  
2 there is a very specific question that can be addressed  
3 through a human study but it's not typical.

4           This whole approach is described in FDA's  
5 what's called the Red Book Guidance for Toxicity  
6 Studies for Food Ingredients.

7           So now shifting gears and related to that, we  
8 have evaluated three food ingredients for human food  
9 use through the GRAS Notice Program or Notification  
10 Program and these were for Hemp Seed, Hemp Seed Protein  
11 Powder, and Hemp Seed Oil, and as I referred to before,  
12 -- I'm sorry -- my audio is going in and out. I'm  
13 sorry about that. I think it has something to do with  
14 my bandwidth issues here. Have things gotten better?  
15 I don't want to proceed if no one can hear.

16           DR. KOWALCYK: We can hear you, Patrick.  
17 It's just going in and out a bit.

18           DR. COURNOYER: Oh, I see. All right. I'll  
19 keep my head still if that affects things. Sorry about  
20 that.

21           So as I mentioned, hemp seeds consist  
22 primarily of fat, protein, fiber, and carbohydrates,

1 and so that really makes them not too well suited to  
2 animal feeding studies and so the safety narrative  
3 provided by the notifier was discussing the safety of  
4 the fatty acid profile, the safety of the protein  
5 content, anti-nutrient levels in the seeds which are  
6 comparable to nuts and other seeds, information about  
7 the contamination levels of CBD and THC which are not  
8 present in the seed material itself but some can appear  
9 in the seeds due to cross-contamination.

10           It included some history of safe consumption  
11 for hemp seeds, but that's typically not a very big  
12 aspect of food ingredient safety assessment. Usually  
13 it takes a scientific approach.

14           We issued a constituent update describing our  
15 evaluation of these three GRAS notices, and, finally,  
16 we issued warning letters to companies selling foods  
17 with added CBD because we could not conclude that, as  
18 we stated in those warning letters, we could not  
19 conclude that CBD is generally recognized as safe among  
20 qualified experts for use in food and with that, we  
21 described some of the safety concerns that we have.

22           My colleague, Dr. Jeremy Gingrich, will



1 discuss those in more detail in the next presentation,  
2 and we stated that CBD is an unapproved food additive  
3 and therefore the food is adulterated.

4 We also issued warning letters to companies  
5 illegally selling Delta-8 THC added to food because it  
6 likewise in those products could not conclude that it  
7 was generally recognized as safe.

8 And finally, very recently, we warned  
9 consumers about accidental ingestion of food containing  
10 THC, particularly those products that resemble foods  
11 that don't contain THC and the risk of accidental  
12 consumption and there have been cases of this reported  
13 in the media and have shown up in adverse event reports  
14 and notably some of these have affected pediatric  
15 patients. So this is something that's very concerning  
16 and we wanted to make that clear to the public.

17 So thank you for your attention and with that  
18 we'll move on to the next speaker, Dr. Jeremy Gingrich.

19 DR. KOWALCYK: Excuse me. Before we go on to  
20 the next speaker, I think it might be advisable for us  
21 to take a 10-minute break. It's been an hour and a  
22 half since lunch. So I'm sure all of us could use a

1 break.

2           So we will come back at 2:10 and pick up with  
3 Jeremy's talk then. Thank you.

4           (Recess.)

5           DR. KOWALCYK: So we'd like to continue with  
6 the next speaker. Jeremy?

7           DR. GINGRICH: Hi, good afternoon, everyone.

8           My name is Jeremy Gingrich. I'm a  
9 toxicologist at FDA's Food Safety and Applied  
10 Nutrition, Office of Food Additive Safety, in the  
11 Division of Food Ingredients.

12           Today, I'm really excited to be giving you  
13 all a brief overview of the toxicological profile of  
14 CBD from the food safety perspective.

15           Next slide, please. During the talk I'll be  
16 discussing what's known about CBD's role in the endo-  
17 cannabinoid system, its receptor-binding profile,  
18 toxicokinetic studies that look at absorption,  
19 distribution, metabolism, and excretion or ADME for  
20 CBD, and known safety concerns from CBD consumption  
21 with supporting data.

22           I'm also be touching on some of CBD's

1 mechanisms of toxicity, conclusions that can be drawn  
2 from these data, as well as briefly mentioning how  
3 CBD's toxicological profile doesn't necessarily stop at  
4 CBD itself.

5           Next slide. So as you've already heard, CBD  
6 is one of two of the most abundant pharmacologically-  
7 active agents produced by the plant cannabis sativa,  
8 the other being Delta-9 tetrahydrocannabinol or simply  
9 THC.

10           You can see that from both CBD and THC  
11 they're structurally similar but unlike THX, CBD  
12 doesn't appear to have psycho-active potential.  
13 However, both do have roles in modulating the endo-  
14 cannabinoid system in humans and animals.

15           Next slide, please. So the endo-cannabinoid  
16 system is comprised of two receptors, CB1 and CB2,  
17 which are expressed throughout the body but tend to be  
18 concentrated in certain tissues. CB1 is predominantly  
19 in the brain, endocrine, and reproductive tissues,  
20 whereas CB2 is predominantly in the GI tract, kidney,  
21 and lymphoid tissues.

22           There are two endogenous ligands for these

1 receptors, anandamide or AEA, and 2-arachidonoylglycerol  
2 or 2-AG.

3           Next slide. And can you click three times  
4 here, please? So while AEA and 2-AG are capable of  
5 binding either receptor, under normal physiological  
6 conditions they tend to preferentially bind, AEA to CB1  
7 and the 2-AG to CB2.

8           So for AEA after receptor-binding, it's  
9 transported via the fatty acid binding protein or FABP  
10 to the enzyme fatty acid amide hydrolase or FAAH for  
11 degradation, and then 2-AG is very similar, just  
12 utilizing a different enzyme, monoacylglycerol or MAGL  
13 for degradation.

14           Click one time. And so while CBD doesn't  
15 bind directly to CB1 or CB2, it's able to prolong endo-  
16 cannabinoid signaling by inhibiting FAAH presentation  
17 and FAAH and MAGL activity.

18           You can go to the next slide, please. So as  
19 I just mentioned and from the previous figure, we can  
20 see that CBD doesn't classically bind with CB1 or CB2.  
21 It has quite a weak affinity for these receptors but  
22 it's been deemed negative allosteric modulator,

1 essentially being antagonistic to the CB1 or CB2  
2 receptors.

3           So despite this, CBD has been shown to have  
4 affinity for other receptors, like the amyloid Type 1  
5 receptor or TripE1 and like CB1 and CB2, it also has  
6 similar antagonistic properties for the D1-like  
7 dopamine receptor and two of the opioid receptors.

8           There's also an abundant amount of receptors  
9 that CBD has been shown in vitro to act upon or have a  
10 binding affinity for. All in all, this is quite a  
11 complex receptor interaction profile, suggesting that  
12 the toxicological outcomes that I'll be discussing a  
13 little bit later are also complicated and likely multi-  
14 factorial.

15           Next slide. You can click to the next one  
16 then, please. So from our human clinical trials, we  
17 have a good sense of the toxicokinetic profile of CBD.  
18 It has a fairly low boro-vio-availability of six  
19 percent which increases just about threefold when  
20 consumed concomitantly with a high fat diet and that  
21 preferentially distributes to adipose tissue which  
22 isn't really surprising because of its lipophilic

1 nature.

2 CBD has a relatively short half-life of one  
3 to two hours following a single oral administration or  
4 two to five days under a more chronic exposure  
5 scenario.

6 CBD is primarily excreted in the feces with a  
7 small percentage in the urine.

8 Next slide. CBD undergoes Phase 1 metabolism  
9 primarily by its cytochrome P450, 2C19, and 3A4,  
10 although others have been implicated, and Phase 2  
11 metabolism primarily by UGT1A7, 1A9, and 2B7.

12 The 7-carboxy CBD is the predominant  
13 metabolite that's been detected in humans and ADME  
14 studies in other animals, namely rodents and dog, have  
15 demonstrated a similar toxicokinetic profile in terms  
16 of absorption, distribution, and elimination, but they  
17 have varying metabolite profiles where the 7-hydroxy  
18 CBD is the predominant metabolite.

19 So it's interesting to note that that 7-  
20 hydroxy metabolite has been demonstrated to be  
21 biologically active and we don't know whether this is  
22 the case or not for the 7-carboxy metabolite in humans.

1           Next slide. Now to the meat of the talk  
2 being the safety concerns that are raised from  
3 toxicology studies on CBD. So I ordered these from  
4 really least concerning to most striking in immune-  
5 toxicity.

6           Next slide. So the data on the immuno-  
7 toxicity of CBD is fairly scant and only has been  
8 observed in vitro, whereas CBD exposure causes cultured  
9 mouse T and D lymphocytes to decrease in their function  
10 and apoptose.

11           This was concluded to occur through oxidative  
12 stress secondary to a reduction in intracellular gluco-  
13 thione. We see similar effects in both  
14 physiologically-normal and cancerous cells.

15           Next slide. So the second concern is of  
16 hepatotoxicity which is phrased in the safety data for  
17 pharmaceutical grade CBD marketed under the trade name  
18 Epidiolex.

19           Here, up to 20 percent of individuals with  
20 epilepsy that were enrolled in the trial had abnormally  
21 elevated liver enzymes and we see from recent data that  
22 this is also the case for healthy individuals which

1 removes the anti-epileptic drug use as a potential  
2 confounding factor in this outcome.

3           In animal models, we also see increased liver  
4 enzymes and hepato-cellular hypertrophy is a common  
5 histopathology finding.

6           The next slide, please. In a similar vein,  
7 CBD has been shown to inhibit multiple acetic P450  
8 enzymes in vitro which suggests that CBD can interfere  
9 with metabolism of drugs that utilize these pathways.  
10 Of particular interest and to keep in mind for the next  
11 couple of slides is one of these SEP2C11 which is male-  
12 specific and involved in testosterone metabolism.

13           CBD has also been demonstrated to inhibit the  
14 function of two important drug efflux transporters,  
15 being breast cancer resistance protein or BCRP and  
16 permeability glycol protein or PGP, which both normally  
17 function in a protective manner to remove  
18 pharmaceuticals and xenobiotics away from blood tissue  
19 carriers.

20           Next slide, please. So the final safety  
21 concern which is on developmental and reproductive  
22 toxicity outcomes has some of the most convincing data



1 on some of the most sensitive endpoints.

2 In adult rodents that were given -- this was  
3 CBD exposure to males only. We see a reduction in  
4 fertility and an increase in pre- and post-natal  
5 mortality in the offspring that were sired by these  
6 males. Along with this, we also see a decrease in  
7 circulating testosterone. That was a common finding in  
8 both rats and mice.

9 Next slide, please. For gestational exposure  
10 in rodents, meaning that both the males and the females  
11 would have been exposed to CBD prior to mating and then  
12 the females continued their exposure throughout  
13 gestation and lactation.

14 So here we see that fewer live pups were  
15 born. The mothers had a shorter gestational length  
16 that resulted in smaller offspring. We also see that  
17 these male offspring have reduced testicular size and  
18 weight. This is even accounted for in their smaller  
19 size.

20 The abnormalities in testes were also  
21 accompanied by a decrease in viable sperm and reduced  
22 pregnancy success once those offspring reached sexual

1 maturity which is also developmentally delayed.

2           One study looked at neurobehavioral  
3 development and showed that female offspring that were  
4 exposed to CBD gestationally were more likely to show  
5 anxiety-like behaviors than their male counterparts  
6 later in life and then one study done in rabbits also  
7 reported perturbations and skeletal development.

8           Next slide, please. So of greater relevance  
9 to humans is a longer-term repeated dose toxicology  
10 study that was performed in Rhesus monkeys where adults  
11 of both sexes were given CBD daily for 90 days. All  
12 doses that were tested resulted in up to a 75 percent  
13 reduction in testes and ovary weights.

14           So this study included a wash-up period where  
15 after that 90 days of exposure, CBD use was  
16 discontinued for 30 days prior to tissue collection and  
17 in that case the testes weights remained depressed  
18 under those conditions and there was a significant  
19 decrease in spermatogenesis at all doses tested  
20 accompanying some morphological changes in the testes  
21 that occurred at higher doses.

22           Next slide, please. So importantly these

1 developments of reproductive toxicity outcomes  
2 following CBD exposure are observed not only in mammals  
3 but across evolutionary distinct organisms which  
4 suggests that it's likely to occur in humans, as well.

5           In chickens, we know CBD is embryo-toxic if  
6 exposure occurs in ovum. CBD has been shown to  
7 decrease the reproductive success of sea urchin by  
8 preventing chromosomal reaction that's necessary for  
9 egg fertilization, and in zebra fish, which are  
10 routinely used for high throughput screening of  
11 developmental toxicants, it presents a myriad of  
12 developmental abnormalities when exposed  
13 environmentally to CBD.

14           Next slide. So together these data point to  
15 six potential mechanisms of toxicity for CBD, including  
16 prolonged or erroneous endo-cannabinoid signaling,  
17 complex receptor-binding and activity profile. We have  
18 disturbances in testosterone homeostasis or  
19 steroidogenesis, disruption in normal liver enzyme  
20 expression R function, inhibition of normal drug  
21 transporter function, and oxidative stress.

22           Next slide, please. So we can conclude from

1 the studies that were discussed today that CBD has the  
2 potential to cause immune liver and/or developmental  
3 and reproductive toxicity in animals. I want to stress  
4 that with any of these outcomes the effects may not be  
5 immediately evident by the user.

6 For example, acute liver toxicity is often  
7 asymptomatic. So this effect could go unrecognized for  
8 a prolonged period of time in individuals who don't  
9 routinely have blood work done, and in the case of  
10 potential effects on the testes and spermatogenesis,  
11 this may only present as a sub-fertility in individuals  
12 trying to conceive a child and there would likely be a  
13 complete absence of any outwardly visible damage.

14 So these examples show how complicated post-  
15 marketing of CBD could be in the general  
16 population.

17 So because of these concerns, among others,  
18 FDA has issued warning letters to certain companies  
19 selling food products containing CBD stating that CBD  
20 is not generally recognized as safe or GRAS for either  
21 human or animal food use. I've included the links down  
22 here to the press announcement if you'd like to read

1 more.

2           So I've titled this last slide here Beyond  
3 CBD because I think it seems that the toxicological  
4 profile of CBD extends beyond CBD itself. Through a  
5 fairly simple chemical reaction, CBD can be converted  
6 into a slew of synthetic cannabinoids, as was mentioned  
7 a little earlier during the Public Comments, one of  
8 which being Delta-8 THC or just Delta-8, and Delta-8  
9 has been shown to have a very similar, not identical,  
10 toxicological profile to THC or Delta-9 THC, especially  
11 in regard to its psycho-active potential.

12           We have begun seeing some of these synthetic  
13 cannabinoids pop up in commerce, some even in the food  
14 space. So I've also include a link here to an article  
15 that was published by FDA on the things you should know  
16 in regard to Delta-8 if you're interested in learning  
17 more.

18           Next slide. And I just wanted to acknowledge  
19 some others in my division, office, and center who  
20 helped organize some of these data for the  
21 presentation, and then a list of references which  
22 certainly isn't exhaustive but some of whose data I've

1 spoken on in this presentation.

2           With that, I'd like to thank you all for your  
3 time and pass the presentation on to Dr. Musser. Thank  
4 you.

5           DR. MUSSER: Okay. Thank you, everyone, and  
6 we are almost done. Just would like to conclude the  
7 conversation today with the questions, the specific  
8 questions we have for the Science Board.

9           I'd especially like to thank my FDA  
10 colleagues for the background they've given regarding  
11 our regulatory processes and the science used to  
12 evaluate the safety of these various substances and how  
13 they fit into their various regulatory schemes, whether  
14 it be food or drugs, and we'll progress now with I am  
15 also the Deputy Center Director for Scientific  
16 Operations at the Center for Food Safety and Applied  
17 Nutrition.

18           So the concluding remarks, I'd just like to  
19 start with a little bit of the background and frame  
20 things a little bit for the Science Board, having had a  
21 long day and I'm sure you're tired. I promise I will  
22 be quick here.

1           Just to reiterate, we'd like to have you  
2 consider substances that are consumed with the intent  
3 of experiencing a pharmacological often psycho-active  
4 effect and that there's really no other function of the  
5 product. In other words, consumers are seeking these  
6 products out not as a flavor or a nutrient or  
7 preservative but they're seeking them out for this  
8 specific component, and also the consumers might  
9 consume the amounts needed to cause the desired effect  
10 regardless of the serving or dosage recommended.

11           Second, I should note in regards to one,  
12 we're not talking about -- when we talk about  
13 pharmacological effects, we're not talking about the  
14 sort of common things like quinine and tonic water  
15 that's a flavor but also has some drug-like  
16 pharmacologic activity or cinnamon which contains  
17 coumarin. We're talking about a completely different  
18 pharmacologic effect, one where people are taking the  
19 product for that pharmacologic effect.

20           The substance is made relevant in the history  
21 of safe use and so just for context, people may have  
22 inhaled the product historically and now it's provided

1 in a myriad of products from cosmetic creams to sprays  
2 to inhalation to food to drinks and people would be  
3 confronted with a multitude of doses and approaches to  
4 consuming these products.

5           The third point I'd like you to consider is  
6 that society may prefer access over prohibition. In  
7 other words, they would like to have these products and  
8 they would not like to be prohibited from having them,  
9 although they do want a degree of oversight and  
10 safeguards.

11           So they would like someone overseeing the  
12 quality, safety, and purity of the standards and the  
13 approaches and the products that are marketed.

14           And then the fourth approach is, you know,  
15 the expected route for access to this outside of the  
16 drug pathway would be as a food or a dietary supplement  
17 and we'd like you to consider whether other pathways  
18 might exist similar to what would exist for tobacco or  
19 alcohol that we don't need to just have only one  
20 consideration of drug or dietary supplement food.

21           Next, please. So the first question we would  
22 like to have you consider relates to the scientific



1 safety assessment of these products. How might a  
2 public health agency assess the unique toxicologic  
3 safety questions raised by a substance or substances in  
4 this case likely used for pharmacological, in this case  
5 meaning psycho-active effects, outside the context of  
6 an approved drug, given where it would sit within the  
7 agency and what you've already heard about the way we  
8 would do safety evaluations in those other areas,  
9 especially in this case, if there is a lack of  
10 substantial history of safe use of consumers in the  
11 context of use.

12           So as I mentioned previously, if it was an  
13 inhaled product before and now it's available as a  
14 drink or, you know, a tablet or capsule, what does that  
15 mean in terms of a safety perspective?

16           Also, the ability for consumers to self-  
17 administer without practical limitations to dosage. So  
18 we have talked about the way we, as the agency,  
19 consider chronic as opposed to acute. So someone could  
20 take it for a month or two, not have any concerns from  
21 the acute approach, but if we consider in our safety  
22 evaluations that people take it for a lifetime and at a

1 high dose, our safety evaluation will indicate that a  
2 very, very low dose would be recommended, for example,  
3 and yet what consumers prepare is much, much higher  
4 than that.

5           So regardless of what's on the label, would  
6 they take higher and higher amounts, thereby limiting  
7 the practical approach of dosage labeling of the  
8 product?

9           The next slide, please. Okay. And so this  
10 is our second question and last question. The same  
11 scenarios, but in this case we're thinking about  
12 broadly how a public health authority might serve  
13 society and talk about the risk management in general.  
14 How would we manage exactly the approach we would take  
15 for risk? Is it the harm scenario? Is it a risk  
16 scenario? Is it some other management scenario if we  
17 had to manage these in a different way outside of the  
18 approaches we currently have or the tools we're  
19 currently using along with all the things that you've  
20 heard today?

21           And so with that, I hope that we have been  
22 clear, that the questions have been clear. I would

1 like to go to the final slide now.

2           So I'll turn it over to the Chair in just a  
3 moment, but first I'd like to thank the members of the  
4 Science Board for considering these questions.  
5 Although they're short, they're meaty and are going to  
6 require some significant thought and we really  
7 appreciate the time that you're going to spend looking  
8 into this for us and we look forward to the advice that  
9 you provide.

10           I'd also like to thank the public for their  
11 comments in advance of today's meeting and during the  
12 meeting. We very much appreciate the input that we've  
13 received so far.

14           So with that, I will turn it back over to Dr.  
15 Kowalcyk, the Chair.,

16           DR. KOWALCYK: Great. Thank you very much.

17           Patrick, you have your hand raised.

18           DR. COURNOYER: Yes, thank you. I just  
19 wanted to add a couple clarifying points.

20           You'll see in the questions here, and I'll  
21 keep these up on the slide, that we do mention the  
22 words "psycho-active," and by that we don't necessarily

1 mean to get high or cause a euphorogenic effect. We're  
2 referring to psycho-active more broadly than that.

3           So as Dr. Woodcock pointed out earlier, some  
4 of the reasons people say they're using CBD relate to  
5 effects on the nervous system, and another point I  
6 wanted to re-emphasize, as well, is that we're not  
7 asking necessarily about specific regulatory pathways  
8 that exist. We've laid out the ones that we have.

9           As these questions are worded, they're worded  
10 very broadly to just speak about generally outside of  
11 the drug context. How do we tackle the Question 1,  
12 some of these safety assessment challenges, and then  
13 Question 2, just broadly, some off the risk management  
14 challenges?

15           Thank you.

16           DR. KOWALCYK: Okay. Thank you for that.

17           So now that we've heard the background and  
18 we've gotten literature and remarks from the public,  
19 I'd like to open this up to a discussion among the  
20 Science Board members and with the goal of trying to  
21 answer the questions posed before us.

22           So are there any comments or questions for

1 our presenters from FDA today? Please raise your hand  
2 and then I can recognize you. Dr. Tosi?

3 DR. TOSI: I want to thank the presenters.  
4 This has been spectacular.

5 Just to set the stage, by definition, I'm a  
6 pediatric orthopedic surgeon. I take care of folks  
7 with rare diseases. You know, my youngest kid's 60 in  
8 my clinic and I am very concerned about the use of  
9 cannabis and I urge you very much as you're thinking  
10 about all of your questions to go to the heart of the  
11 question or the issue for my patients which came up  
12 very early this morning, pain, chronic pain, and that  
13 we can discuss the toxicology till we're blue in the  
14 face.

15 If you're not tying in the pain and response  
16 to pain issue, anything you come up with is going to be  
17 ignored and that's just realistic.

18 A totally different issue, I was concerned  
19 that most of the data presented did not speak to the  
20 pediatric brain and on a personal level, as these  
21 questions were delineated, I think that's going to be  
22 very important from a regulatory or long-term legal

1 standpoint.

2 Thank you.

3 DR. KOWALCYK: Thank you.

4 Are there any further questions or comments  
5 on that, in response to that? Dr. Woodcock.

6 DR. WOODCOCK: Yes, we do have the ability to  
7 do neurocognitive toxicologic assessments, you know,  
8 gestational developmental neurocognitive assessments at  
9 our National Center for Neurotoxicologic Research.  
10 We're currently involved right now, I think they're  
11 doing some studies or going to start them to see which  
12 animals actually have similar metabolites to humans  
13 because we can do a lot of studies in animals that have  
14 different metabolites and if we don't understand the  
15 relative contribution of the different metabolites,  
16 those studies could be leading us astray, but we do  
17 have the capability to look at that and we did that,  
18 for example, when we were evaluating anesthesia in  
19 newborns and early development. It was very helpful.

20 DR. TOSI: Thank you. That work, I assume  
21 you know, really influenced surgeons like myself  
22 significantly in terms of really trying to limit the

1 anesthetics that we do.

2 DR. WOODCOCK: Yes, and I thank you. You  
3 know, when the people in Neuro Division came to me in  
4 1999 and said we can't endorse a pediatric study with  
5 ketamine because of the oneo lesions in the brain, I  
6 said, well, we have to study this because it's being  
7 used all the time and similar agents. So thank you,  
8 yeah.

9 DR. TOSI: We're very grateful.

10 DR. KOWALCYK: Thank you.

11 Dr. Rye.

12 DR. RYU: Hi, this is so new, and my question  
13 is regarding the mechanism of toxicity, if I may.

14 At one point during the day, randomized to  
15 make sure the different metabolism or response to the  
16 CBD or cannabinoids, but according to the last  
17 presentation, it went toward the reproductive toxicity  
18 and mice showed similar responses.

19 So just wondering how such differences or  
20 similarities were driven and among six potential  
21 mechanisms, you know, proposed or speculated, how about  
22 oxidative stress in terms of interaction with xeno

1 antibiotics and, you know, drugs, if there has been,  
2 you know, addressed in a way that it could be, you  
3 know, going back to the toxicological mechanism of  
4 toxicity.

5 DR. GINGRICH: Do you want me to touch on  
6 that?

7 DR. KOWALCYK: Yes.

8 DR. GINGRICH: I guess I'll get at the first  
9 part of your question is how are the differences driven  
10 in metabolism.

11 My kneejerk reaction to that is that we're  
12 really unsure how they're driven. We know that the  
13 differences in metabolism could be what's responsible  
14 for some of the differences that we see, but part of it  
15 is we have the missing piece of the puzzle on the 7-  
16 carboxy CBD. We're not sure if that's active or not.  
17 So that's a black box and could account for -- you  
18 know, we already know that 7-hydroxy CBD is  
19 biologically active.

20 If we assume the same for 7-carboxy, then all  
21 of the results that are similar between human and  
22 animal studies become a little bit more relevant in



1 that light.

2           And then as far as oxidative stress and  
3 looking at how that might impact CBD's effect on  
4 producing oxidative stress in, I guess, the context of  
5 co-exposure with other xenobiotics, that's a great  
6 question.

7           I don't think -- to my knowledge, that's not  
8 been looked at, but yet that would be certainly  
9 interesting and something that can -- I can double-  
10 check on that for you, as well.

11           DR. RYU: Okay. Thank you very much.

12           DR. WOODCOCK: Can I ask another question?

13           DR. KOWALCYK: Yes, Dr. Woodcock.

14           DR. WOODCOCK: So I wanted to know, do you  
15 think the plan then to try to determine if we can find  
16 an animal species that has a similar metabolism to  
17 humans is a rational one, given this discussion you  
18 just had?

19           DR. GINGRICH: Well, I do. I think that  
20 there's multiple pathways that you can answer this same  
21 question for.

22           So whether we figure out if there's an animal

1 model that has better metabolism or we can determine  
2 that that carboxy metabolite is active or not, those  
3 would answer similar questions in my opinion.

4 DR. KOWALCYK: Okay. Dr. Afshari? Dr.  
5 Afshari, we cannot hear you.

6 DR. AFSHARI: Sorry about that. Can you hear  
7 me now?

8 DR. KOWALCYK: Yes, we can. Thanks.

9 DR. AFSHARI: Perfect. I just wanted to  
10 clarify. Are we supposed to be -- can we start to  
11 opine on these questions here or is this just to ask  
12 clarifying questions of the speakers we just heard in  
13 the last section?

14 DR. KOWALCYK: We can start to opine on the  
15 questions.

16 DR. AFSHARI: Okay.

17 DR. KOWALCYK: I did want to offer the  
18 opportunity. There were a lot of presentations there  
19 and everyone was a bit quiet. So you also have the  
20 opportunity to ask questions of the speakers if you'd  
21 like.

22 DR. AFSHARI: Thank you.

1           There was a lot there and I think a lot of  
2 really helpful information but also a lot of really  
3 good thinking and so I thought what I'll do is just  
4 kick off some ideas in terms of, you know, aspects of  
5 the framework that I think were also encompassed in  
6 many of the presentations, pulling it together and  
7 reflecting on it's probably helpful, and so I think, as  
8 I think about how we would approach this putting the  
9 hat on of a pharmacologist/toxicologist, you know,  
10 where I would start and we've heard today from a number  
11 of speakers is (1) determining the components that we  
12 have to measure.

13           I think for each of the pieces I'm going to  
14 bring up what's helpful and the opportunity for FDA is  
15 to provide a source of knowledge and a compendium  
16 available for, you know, whether it's researchers or  
17 it's regulators to start to bring the standards.

18           So there's obviously the analytical methods  
19 in determining the components in the various products  
20 and, you know, once you have those, then you could  
21 start to say we can determine the activities associated  
22 with those and, you know, we've heard today numerous

1 panels -- you know, I asked the question earlier around  
2 the CB1 receptor, but there's various binding and  
3 functional assays that can be done in the context of  
4 human receptors or other targets to broadly understand,  
5 you know, what are the targets of engagement, if you  
6 will, for these components.

7           I think the other aspects of the biology that  
8 should be considered then is once you know where these  
9 components may be interacting is understanding where  
10 those targets may be expressed and so expression  
11 doesn't mean you get toxicity. It doesn't mean you get  
12 activity, but it means it's possible if you're able to  
13 put that component, biochemical component with that  
14 target that you could get biology.

15           This is where I think again the unique aspect  
16 to pull across a lot of databases not only where do we  
17 think that target's expressed in, quote unquote, normal  
18 tissue but also in various disease states or age states  
19 and that data does continue to mature in the public  
20 domain.

21           I think once you have that picture then and  
22 again I'll get to --

1 DR. KOWALCYK: Dr. Afshari, we're losing you.

2 DR. AFSHARI: Oh, is it okay? Maybe I'll  
3 turn off my video. Maybe that'll help with the  
4 bandwidth.

5 I think the --

6 DR. KOWALCYK: Can others hear her?

7 DR. COURNOYER: I actually can hear her.

8 DR. AFSHARI: You can? You can? Okay.  
9 Maybe my headset is stopping. It's okay? Okay. All  
10 right.

11 DR. REISS: Yeah. We can hear her.

12 DR. AFSHARI: Okay. So in terms of in  
13 addition to the distribution and the expression of the  
14 target, you can start to glean a lot from various  
15 pharmacology compendia, genetic databases, and others  
16 what you might predict as activity if you would  
17 activate or inhibit the activity of those receptors,  
18 and all of these methods and approaches are something  
19 that we commonly use today when we look at various drug  
20 targets or various targets of toxicologic concern in  
21 various pieces. That's all relatively -- I'll say it's  
22 relatively simple, but it doesn't require animal

1 studies. It's all biochemical, molecular, and data-  
2 mining approaches.

3           You will have to spend some time, though, on  
4 this last topic we talked about which is understanding,  
5 you know, not only metabolism but distribution and  
6 elimination and I think I saw in some of the references  
7 you provided an aspect that's going to be of particular  
8 concern is if these compounds accumulate with frequent  
9 dose.

10           So when I look at the last question here, you  
11 know, without practical limitations to dosage, you  
12 know, if these compounds are distributing, you know,  
13 and accumulating, you know, that's going to be a  
14 particular -- you know, a different biology than what  
15 you're going to see in a short-term maybe in vitro  
16 assay or short-term in vivo study.

17           So I think there's a lot of framework that we  
18 can pull on from, you know, what the field of  
19 toxicology's done with mixtures, how we're thinking  
20 about novel targets, but it's going to really require  
21 pulling all of that in and then saying, okay, how do we  
22 address some of these questions but, in particular, the

1 psycho-active piece we all know is going to be  
2 challenging. The translation of those endpoints from  
3 in vitro or in vivo preclinical models to humans are  
4 not trivial and that's one that certainly I would say  
5 is a Science Board in particular around these products  
6 we would need to make sure we engage with experts in  
7 that area of research.

8 Thank you.

9 DR. KOWALCYK: My apologies. Tony, you had  
10 your hand up.

11 DR. BAHINSKI: Yes. Just following on with  
12 Cindy's comments, this is a question to Jeremy.

13 You know, reviewing the data, it didn't look  
14 like there's any, especially from the drug development  
15 studies, there wasn't any animal or human no adverse  
16 effect levels identified in any of the studies,  
17 especially for the liver, potential liver toxicity, is  
18 that correct? First of all, is that correct?

19 And then, second, if you wanted to look at --  
20 and again those are probably much higher doses than  
21 you're going to see in food. Is there a way to utilize  
22 either in vitro methods or novel alternative methods

1 plus, you know, PB/PK modeling or some kind of in  
2 vitro/in vivo extrapolation to try and identify, you  
3 know, where you may see, you know, a lack of effect  
4 that correlates with, you know, human clinical plasma  
5 levels, other than potentially, you know, doing a study  
6 in the healthy volunteers at lower doses as a clinical  
7 study?

8 DR. GINGRICH: So for the no-L at least,  
9 there has not been one identified. We do have some  
10 data on the low-L. The European Food Safety  
11 Association also kind of -- they have stated an upper  
12 pragmatic limit that is also based off of a low-L.

13 So we can use some of that to, you know,  
14 determine a benchmark dose or even use the low-L and  
15 apply some additional safety factors to determine a  
16 dose that might be within some safe level or that may  
17 be considered to be no adverse effect, but it would be  
18 quite low based off of the current data that we have,  
19 and I also -- excuse me.

20 As hard as the tools that you described, I  
21 think those would be potentially useful in, you know,  
22 getting at -- they might be useful in the future for



1 kind of getting at some of these -- answering some of  
2 these questions, but standing alone, they might not be  
3 enough for us just having, you know, a series of new  
4 approach methodologies to get past some of the negative  
5 data that we already have. So that will be a hurdle.

6 DR. BAHINSKI: Yeah. And to Cindy's point,  
7 you know, again that would be more acute effects. You  
8 know, the chronic effects could be very different,  
9 especially with any accumulation or -- and there's wide  
10 variability, as you noted, with meals, fatty meals, you  
11 get much higher exposures than you would expect, in  
12 addition to potential drug-drug interactions.

13 DR. KOWALCYK: Thank you.

14 Dr. Sarwal?

15 DR. SARWAL: Yes, thank you.

16 Very interesting. I'm not an expert in any  
17 of this stuff, but I've been looking at it. Of course,  
18 I have children and I also manage pediatric patients  
19 and so I think this is an extremely important topic for  
20 all of us to get into further and just looking at it  
21 from really a bird's eye view, I can look at three  
22 large kind of use case scenarios and in those three

1 large use case scenarios, potentially we can try and  
2 develop some kind of a stratification method on how to  
3 understand the use of these agents in each of those  
4 scenarios with regards to safety and efficacy.

5           The way I look at it, there's three kind of  
6 use case scenarios, and Number 1 is the recreational  
7 use where I think our primary aspect there is safety  
8 with regards to again cumulative repeat dosing  
9 accommodation and maybe the issue of how do you  
10 actually get safety with regards to somebody driving  
11 under the influence or not and how do you actually  
12 measure that. I know that's a tall order, but I think  
13 if I were to look at it that's from a recreational  
14 point of view, you just want to make sure that there  
15 are safety aspects in place with use, with repeat use,  
16 but also with under the influence use when you're  
17 actually driving a vehicle and to me actually that part  
18 is not clear at all.

19           And there, one would assume, apart from the  
20 very frequent use or most of that use would be  
21 sporadic, and then there is the medicinal use, which to  
22 me is different from an outpatient point of view as

1 well as potentially getting to some of the more potent  
2 agents to go into an inpatient kind of use and maybe  
3 that would be increasingly used over time.

4 I think that the latter is going to be quite  
5 rare, but I think if we were to use it in that setting,  
6 we have a unique opportunity to learn with regards to  
7 drug-to-drug interaction with much closer monitoring,  
8 looking at more indices of multi-system toxicity,  
9 etcetera.

10 We could also look at the medicinal use in  
11 the middle zone which is at the outpatient level. Now  
12 these patients are outside the hospital, not as sick,  
13 but I think there that's probably our largest bulk of  
14 the population that we need to understand and so how do  
15 we look at things like the clinical confounders, such  
16 as body mass, ethnic variations, as well as, I think  
17 you already talked about this, interaction with foods,  
18 etcetera, which I expect is going to be more minor, but  
19 really evaluating are there wide swings in PK/PD  
20 variations that we should be putting a lot of effort  
21 into control or are these into very narrow wobble areas  
22 and so therefore putting an enormous amount of effort

1 into uncovering those and designing trials to uncover  
2 those may be counterproductive because that would just  
3 come out in the wash.

4           But I think again the big issue there is  
5 going to be again the effect of repeat use, higher  
6 dosing. Does accommodation occur so that higher and  
7 higher doses have to be used with repeated use, and  
8 then, of course, the big issue again is going to be  
9 drug interactions because a lot of these people may be  
10 on other psycho-actives or other agents?

11           So I know I'm just summarizing what's been  
12 beautifully said by many, but I was hoping that if we  
13 look at these three big buckets we can put guardrails  
14 around what are the things that we absolutely need to  
15 get data on and then start thinking about what's the  
16 best way to get the data. Is some of this already  
17 available through maybe some trials that are ongoing?  
18 How many healthy volunteer trials do we need to do and  
19 what kind of dose escalation or repeated dosing needs  
20 to be tracked?

21           So I think just summarizing again, it needs  
22 to be like what do we want to get out of this and which

1 are our critical patient populations and how do we  
2 triage what we want to address first, second, third  
3 because there are so many questions?

4 DR. KOWALCYK: Thank you.

5 Before I call Dr. Reiss, I just wanted to  
6 follow up and this was a question that I had while  
7 listening to the presentations, and I'm not an expert,  
8 I'm not a toxicologist here, but often when you cook  
9 food, it changes chemically. So you need to be -- I am  
10 concerned not just about the drug-drug interactions but  
11 also the interactions that may occur, the changes that  
12 may occur, I should say, as food is processed and/or  
13 cooked in some way.

14 So that's one of my concerns and, of course,  
15 if we look at this, particularly Bullet 3, variability  
16 in product quality and consumption and the  
17 concentration of active constituents, in food often  
18 things are not well mixed, right. So you can have a  
19 heterogeneous distribution of products throughout the  
20 food and so that, of course, is something that I'm  
21 concerned about, as well, but I did not hear anything  
22 in the presentations about that.

1           I apologize. If you can't tell already, I  
2 have a horrible cold today and my ears are quite full.  
3 So if I don't catch something, it's because of that.

4           But in any case, those were a couple of my  
5 thoughts that I thought I'd interject here before I  
6 called on Dr. Reiss.

7           DR. REISS: Good. Yes, so I'll maybe put a  
8 couple things on the table.

9           I understand, I think I understand the pickle  
10 that you find yourself in here, and the presentations  
11 were wonderful and really quite, quite very clear and  
12 very, very helpful.

13           This is being, you know, considered as a  
14 food, but yet it really has the characteristics -- I  
15 don't want to say it has characteristics. To me that's  
16 the wrong terminology.

17           But it seems to be closer to the drug side of  
18 things or the pharmacologic side, you know, if we  
19 consider that a whole spectrum and that things are  
20 chopped up for regulatory purposes and across that  
21 spectrum, and, you know, in evaluating the, you know,  
22 tolerability, the toxicity, you know, it's sounding

1 like it doesn't come close to your definition of safe,  
2 you know, as you've sort of outlined it in the  
3 presentations today. It's not harmful because I found,  
4 you know, sort of the slide listing that toxicity  
5 obviously is quite concerning.

6           The critical issue there that Dr. Woodcock  
7 brought up also and had a conversation about it is to  
8 the animal models predict human toxicity because of the  
9 differences in the metabolites and I'm assuming that  
10 the animal models don't have that carboxylic acid  
11 metabolite there.

12           So it's hard to know, but if there is and you  
13 have no effect level, you know, this would sort of be a  
14 compound and we're not thinking now about sort of the  
15 whole problem and issues of the quality of the product  
16 and the constituents of the product which lends another  
17 level of problem.

18           But if you have a no effect level, that's  
19 really sort of true and if this were a drug, we  
20 probably would stop development on this and move on to  
21 something else.

22           So to, you know, put that within the context

1 of a food, I think is going to be a little challenging  
2 and I think that's where your issues or concerns are  
3 about and so it does revolve around sort of  
4 understanding the animal toxicology models and the  
5 metabolism and so on and so forth, and if you've hit a  
6 wall that probably is important for the public to know.

7 DR. WOODCOCK: Could I?

8 DR. KOWALCYK: Yes, Dr. Woodcock.

9 DR. WOODCOCK: Thank you.

10 I'm sorry. I can't get to my hand button on  
11 this presentation for some reason.

12 So, you know, I think one of the issues is  
13 the usage data that I presented. It's out there and  
14 all these people are using it and, you know, we need to  
15 probably get as much information out as quickly as  
16 possible, leaving aside the regulatory issue, about  
17 what is this stuff doing to people.

18 Of course, we don't completely know yet, as  
19 we presented, but I think that's sort of the other  
20 issue in front of us, you know. You're looking at the  
21 fit to the regulatory regimes that we have, but, on the  
22 other hand, it's out there. People are using it and



1 our experience is, for example, in the nicotine world,  
2 if we put a regulatory regime on something, then this  
3 has all these molecules that are very similar, right,  
4 and like when we did that to tobacco products, to  
5 vaping, then the industry countered with synthetic  
6 nicotine which wasn't regulated until Congress  
7 intervened.

8           So here there's like this tremendous  
9 opportunity for all these different compounds and so I  
10 think we really appreciate all the advice on how we can  
11 get as much information as possible out there or  
12 generate as much scientific information as possible on  
13 the consequences of ingesting these things because  
14 people are doing all these things, including kids are  
15 getting into these CBD products because they're so  
16 ubiquitous.

17           DR. KOWALCYK: Yes. So, Dr. Noonan. I know,  
18 Dr. Nolan, I know you have your hand raised, but I saw  
19 Dr. Noonan. I didn't know if you were responding to  
20 Dr. Reiss' or you had a different comment.

21           DR. NOONAN: I was actually responding to  
22 your question about foods and accessibility. I don't

1 have any data for you right today or tomorrow, but it's  
2 actually along with the long-term, the short-term study  
3 data and the long-term study data, we do see great  
4 variability in what is in these products. We don't  
5 know if that's a problem with the starting material or  
6 something to do with stability.

7           So we are looking at the stability of a  
8 variety of cannabinoids in food. So that data will be  
9 forthcoming. Unfortunately, I can't provide it today  
10 or tomorrow, but I just wanted to say it's sort of on  
11 our list of things to continue to look at.

12           DR. KOWALCYK: Okay. Thank you.

13           Dr. Nolan.

14           DR. NOLAN: Thank you.

15           Once again, I'm struck by what an  
16 overwhelming task the FDA has. I mean, my gosh, what a  
17 huge topic this alone is, and, you know, the  
18 variability in what's available and the product quality  
19 composition, all the other aspects that have been  
20 mentioned by my colleagues here. It's just I keep  
21 coming back. How do you regulate it or is it something  
22 you can make the industry do and very narrowly draw a

1 path through labeling? Can you put the onus on the  
2 industry rather than on the agency?

3 DR. WOODCOCK: To regulate it, we have to  
4 determine it is a product subject to FDA regulation.  
5 We've already said something about putting it in foods,  
6 okay, and however, you know, we have to decide if it's  
7 subject to FDA regulation, through one of the pathways,  
8 we can't -- I mean, we have a couple of other pathways,  
9 like nicotine-containing products. That one is  
10 probably a better word and it's probably, you know, not  
11 a medical device.

12 So, you know, we have to decide if one of the  
13 pathways fit in order for us to take that kind of  
14 action that would be not, you know, to say, well, it's  
15 -- you can't have this product or whatever.

16 That's our problem is one of the presenters  
17 said there's a considerable desire, including, you  
18 know, through the Farm Bill to make these sorts of  
19 products available to people, but we saw the  
20 toxicologic profile and so you're right, it can be very  
21 bad, for example, whenever public presenters talk about  
22 compounds that were completely mislabeled and had

1 gigantic amounts of, you know, psycho-active product in  
2 them.

3 She was talking about cannabis primarily but  
4 the same thing could happen here, I would think.

5 DR. KOWALCYK: Thank you.

6 Dr. Boor?

7 DR. BOOR: Thank you.

8 And so sort of splitting the difference  
9 between what I had heard from Dr. Califf and what I'm  
10 hearing from Dr. Woodcock, Dr. Califf said this  
11 morning, he said there is no safe level for tobacco. I  
12 mean, he said that very clearly, and the data right now  
13 suggest that there is no safe level, at least as  
14 defined by regulation, as defined by science at this  
15 point.

16 So I am fully onboard with the fact that  
17 understanding mechanisms and understanding breakdown  
18 products and the food products and so forth is  
19 important and needs to happen, but in the short run, is  
20 it possible to require a label that says based on the  
21 science currently available, there is no safe level of  
22 consumption for products containing these compounds?

1           I mean, that way at least people have some  
2 information upon which to make a decision, and I don't  
3 have any idea about the legal status of something like  
4 that, and I can see Dr. Woodcock is responding. So  
5 I'll be quiet and see what she has to say.

6           DR. WOODCOCK: Well, tobacco has a regulatory  
7 regime and that regulatory regime is actually harm  
8 reduction. So society has decided it's okay for people  
9 to make the choice to expose themselves to nicotine  
10 products, but what we will try to do as a public health  
11 agency will try to mitigate the harm by making less,  
12 still toxic, but less toxic products available and  
13 hoping the market will go toward those products and  
14 diminish the amount of harm.

15           What we're saying here is we don't have a  
16 regulatory scheme like that for this type of product.  
17 We have the foods schemes that we're explaining in  
18 great detail or the drugs scheme and so that makes it,  
19 you know, we can't just sort of issue labels out of  
20 thin air. We have to have some kind of embodiment of a  
21 framework to it.

22           DR. KOWALCYK: Steve, did you have any

1 thoughts about that? You're muted.

2 DR. MUSSER: I was trying to describe this to  
3 one of my friends on vacation last week and I said it's  
4 like the round peg in the square hole or square peg in  
5 the round hole thing, except we have two holes. We  
6 have a square hole and a round hole, one for drugs, one  
7 for foods, and a hexagonal peg and it doesn't fit, and  
8 so, you know, we're left in kind of no man's land here  
9 with what the public wants, manufacturers want to  
10 produce, and what our regulatory authorities allow us  
11 to do.

12 DR. WOODCOCK: As I said, we're not asking  
13 you all to figure out a regulatory path for us. We're  
14 asking you to figure out or give us advice on what  
15 additional scientific steps we should do to figure out  
16 the toxicities of this product and related products.  
17 Thanks.

18 DR. KOWALCYK: That's a good reminder.

19 Dr. Rye.

20 DR. RYU: Thank you.

21 I would like how Dr. Musser put it this way.

22 I mean, this would be more toward the tobacco or the

1 alcohol categories, but right now if you're going to  
2 ask whether we could put it in the food or dietary  
3 supplement category instead of drug, but I guess, you  
4 know, there's going to be an argument whether it could  
5 either be food ingredient versus dietary supplement,  
6 pros and cons, plus and minuses, but at the least I  
7 think we would go with this quality control or the  
8 composition or the variability of the concentration  
9 issues.

10           That could have been dealt at the beginning  
11 and no matter what routes that we go with, that is the  
12 first concern that I might think of, including all  
13 other contaminants or other, you know, co-active  
14 compounds that may occur or contain in the products.

15           I think that would be the primary concern,  
16 the interactions with other xenobiotics or other even  
17 food components, and that this can be addressed at the  
18 beginning, and if you go for the food ingredients, one  
19 aspect is, you know, possible interaction or the  
20 reaction with other food components or in chemical  
21 reactions. There's the thermal reaction. That is  
22 largely unknown territory.

1           So going into the food ingredient that might  
2 open the floodgate of investigating, you know, reaction  
3 product during the processing. So that consideration  
4 has to be made before we go to consider going into the  
5 food ingredients rather than dietary supplement in that  
6 case.

7           DR. KOWALCYK: Okay. Thank you.

8           Dr. Weaver?

9           DR. WEAVER: So I agree with the last speaker  
10 about the priority being safety of the source, the  
11 manufacturing process contaminant, but then do you need  
12 to consider different routes versus non-food having not  
13 just one?

14           DR. WOODCOCK: Well, the regulatory tools we  
15 have available to us in the food area only involve  
16 ingestion of a route. The drug area obviously is wide  
17 open but then you have to go through a very rigorous  
18 process to get in the drug area.

19           So basically many of these other routes  
20 really, you know, --

21           DR. WEAVER: Maybe I meant product instead of  
22 route.



1 DR. MUSSER: Certainly that would be, you  
2 know, in the case for cosmetics where it would be  
3 creams as opposed to food.

4 DR. KOWALCYK: Any other comments or  
5 questions from other Science Board members?

6 I think it's important for us to go ahead and  
7 look at these two questions. For example, this  
8 question is what approaches might a public agency use  
9 to manage, mitigate, or communicate potential harm? I  
10 think we've already given some scenarios there or some  
11 feedback there that (1) it's important that, you know,  
12 communicating with the public and that's really hard to  
13 do and we've seen risk communication is an area where  
14 we need a lot of development in terms of there is no  
15 AEL established yet and that we need to recognize that  
16 right now, to our knowledge, no level is safe.

17 And that we should probably be focusing on  
18 the -- this is what I'm hearing. I'm just reiterating  
19 -- safety of the source and, of course, one comment  
20 that struck me in I think one of the presentations,  
21 either during the Open Public Hearing, is the  
22 production and distribution of this certainly it looks

1 like a food supply chain.

2           One of the questions I had in my mind is, of  
3 course, microbial safety of these products and also  
4 there are, as Steve pointed out, Dr. Musser pointed  
5 out, there are significant differences between the way  
6 food and drugs are regulated and recognizing that  
7 producers if this were to be put into food would likely  
8 be inspected on a not a yearly basis.

9           DR. MUSSER: That is correct.

10           DR. KOWALCYK: And so I think we're averaging  
11 once every five or seven years now and when you have a  
12 product with several unknowns, in my personal opinion,  
13 that doesn't seem to be a prudent path and, of course,  
14 then how do you communicate this potential harm to the  
15 public?

16           I'm sure you're aware, I think one of the  
17 speakers during the Public Hearing, Open Public Hearing  
18 section showed some pictures of things that look very  
19 much like common sets that children consume and we had  
20 an incident here in Ohio where children ended up  
21 consuming a parent's -- one of their CBD or THC, I  
22 can't remember which one it was, I was trying to Google

1 it and my bandwidth is slow today, and ended up sick  
2 and hospitalized.

3           And so, you know, the idea of this getting  
4 into the pediatric population, there at least needs to  
5 be some sort of guidance around how these are marketed.

6           I mean, having a bag that looks almost  
7 identical to sour patch kids, you know, is asking for  
8 trouble, especially with pediatric populations that  
9 can't read. So those are just some things.

10           In terms of this one about what approaches  
11 might a public health agency use to manage, mitigate,  
12 or communicate potential harm, maybe we can have  
13 further discussion.

14           Dr. Reiss?

15           DR. REISS: Yes, I was just going to go down  
16 that path here just for a second.

17           So if I understand the presentation and my  
18 reading correctly, there's been a change sort of over  
19 time. Historically, you know, food supplements or  
20 nutritional supplements or food additives have been,  
21 you know, like for color and so on and so forth or  
22 vitamins, you know, if there was a deficiency, these

1 sorts of things.

2 Now things are moving towards this, well,  
3 there's sort of a reason. This is great to take for  
4 anxiety and so on and so forth. So we're now crossing  
5 the line of making a claim about efficacy, okay, as we  
6 talked about.

7 So part of the communication process can be  
8 not only saying things but maybe preventing things, I  
9 guess, too. So would it be possible, you know, from a  
10 statute perspective to sort of prevent, you know, if  
11 you can't sort of prevent these things from moving  
12 forward, can you prevent what they say about them? So  
13 just an open question if anybody wants to.

14 DR. WOODCOCK: Well, if you look at the  
15 shelves on the direct store, you can see that a large  
16 space is taken up by dietary supplements and their  
17 claims are not disease claims but they're more like  
18 support prostate health or support health of the GI  
19 system or what have you and over time it's grown  
20 tremendously.

21 Steve, isn't this like a \$45 billion  
22 industry?

1 DR. MUSSER: Yeah. It's gone up every year.  
2 Now it's 45. It's a huge industry right now. I would  
3 mention that the CBD segment alone is four billion a  
4 year.

5 DR. WOODCOCK: Yeah. So they aren't allowed  
6 to make overt drug claims but dietary supplements, we  
7 don't regulate their claims, except saying they can't  
8 be drug claims and so they can support whatever support  
9 happens, something like that.

10 DR. REISS: Yeah.

11 DR. MUSSER: So from the statute perspective,  
12 that's not an option for the FDA. It would be hard for  
13 us to require that. There's a lot of First Amendment  
14 rules that would have to be dealt with there that would  
15 be extremely difficult.

16 DR. KOWALCYK: But if you went kind of the  
17 tobacco and alcohol route where those products do have  
18 limitations, correct, on how they can be marketed,  
19 particularly to children.

20 DR. WOODCOCK: That's correct.

21 DR. KOWALCYK: Any other comments or  
22 questions from the Science Board on this particular

1 question?

2 I'd like to go back to the previous question  
3 and just see because that question, how might a public  
4 health agency assess the unique toxicological safety  
5 questions raised by a substance outside the context of  
6 an approved drug, and I don't know as if we adequately  
7 answered that question for you and actually I want to  
8 acknowledge this is such a broad topic that there's no  
9 way to adequately answer any of these questions in a  
10 single afternoon, okay, but at least giving you some  
11 initial feedback.

12 I don't know if any of the Science Board  
13 members have other feedback that they'd like to provide  
14 on this. Personally, you know, I come at it from a  
15 statistician's point of view and I think that the  
16 important distinction between the food side of FDA and  
17 kind of the drug side of FDA is the null hypothesis is  
18 very different and that then makes it very difficult in  
19 terms of the evidence that you have.

20 So the null hypothesis in terms of drugs is  
21 that the drug is not effective until you prove that  
22 it's effective. The null hypothesis is that the drug

1 does not work and in the food side of things, we assume  
2 that food is safe until proven unsafe, right, and we  
3 never prove the null hypothesis and this has  
4 significant impacts on the interpretation of any data  
5 analytics that you have because the Type 1 and Type 2  
6 errors have to be interpreted differently and so my  
7 advice to you is obviously think very long and hard  
8 about how these null hypotheses are set up.

9           It's actually easier to prove that the  
10 alternative that something is safe than it is to prove  
11 the alternative that it is not safe. You would need a  
12 huge number of samples to prove that something is not  
13 safe and one of my concerns in reading the background  
14 literature was that the sample sizes were quite small  
15 and you don't tend to see adverse events in that small  
16 of a population size. You need a much larger  
17 population size over a longer period of time and, of  
18 course, we've seen this even with many drugs that have  
19 gone through very thorough evaluations that years later  
20 we find that there is an adverse event that was not  
21 identified until after it started to be used by the  
22 general population.

1 Dr. Afshari.

2 DR. AFSHARI: Yes, thank you.

3 I agree this isn't an easy one and also I  
4 know, you know, I heard Dr. Woodcock, we're not going  
5 to speak about regulatory paths, but as I think about  
6 this aspect of it, you know, I think about weight of  
7 evidence, which again is something that we all think  
8 about and apply and then just the power of the  
9 information in the public domain and so I think as, you  
10 know, FDA was to come together alone or with  
11 collaborators as talked about earlier and start to do  
12 really systematic analyses, high-quality work around  
13 the analysis, you know, biochemical profiling, you  
14 know, and leveraging what's known from a systems  
15 biology perspective and starting to be able to put that  
16 in the public domain, you know, it's one way to start  
17 to put information out there and get some dialogues,  
18 but I think thinking about weight of evidence and  
19 knowing that potentially all the target organs or  
20 systems that could be at risk here, it's going to take  
21 a long time to solve that, but there's going to be some  
22 that are -- you're going to be able to bring some solid



1 data forward sooner than later.

2           And so I think back to the charge, you know,  
3 as you were talking there, you know, around food, just  
4 thinking again about the weight of evidence and how  
5 things go in the chemical industry and EPA and  
6 everything that NPT's done which FDA's been a partner  
7 there, it's that weight of evidence kind of falls on  
8 the side of the government to say that there's a  
9 problem here and so, you know, we know it's not an  
10 easier fast path, but I think that there are some  
11 really high-quality tools that the agency has at their  
12 disposal that could start to chip away at least at  
13 putting that high-quality kind of mechanistic  
14 information out there that could then be picked up by  
15 others who may not be able to do that work but then  
16 have additional insights and ultimately it's going to  
17 be how's that going to link to the epidemiology, you  
18 know, and that's not an easy task but those two kind of  
19 arenas are going to have to come together here, I  
20 think.

21           DR. MUSSER: Yeah. So I've raised my hand  
22 but I'd just like to comment briefly on that.

1           You're absolutely correct. I think we have  
2 in many ways opened Pandora's box here with the number  
3 of questions that we could ask for and it would go on  
4 for years and I don't think anyone really wants that.  
5 We wouldn't run out of questions and experiments for  
6 people to do.

7           At the same time, there's a significant  
8 number of products on the market and the agency is left  
9 with, you know, how do we communicate potential.  
10 What's the best way for us to, you know, use risk  
11 assessment or harm mitigation strategies or any other  
12 strategy to communicate our concerns to the public, to  
13 consumers, and to industry about these products, and  
14 how do we weigh in, what do we say, what's the best  
15 approach while we're at the same time trying to gather  
16 all of this data that everyone agrees we need?

17           DR. KOWALCYK: Thank you.

18           So I have a follow-up question. Are you  
19 working with CDC on looking at kind of the epidemiology  
20 of the use of this? I haven't seen much and I'm sure  
21 that's been Part 1 because this is not my focus area,  
22 but (2) because it's been illegal in many states and

1 areas. So it makes that kind of research challenging.

2 Dr. Woodcock and Dr. Musser, is there much  
3 data available on the epidemiology of chronic use of  
4 these products and are you working with CDC on that?

5 DR. MUSSER: So I know we do collaborate with  
6 CDC, but we can't really speak for them here. We can  
7 get you connected with them if you'd like to talk to  
8 them. There is a group, although I don't think they're  
9 doing the kind of widespread epidemiology that you  
10 would be looking for at this point.

11 DR. KOWALCYK: Okay. Is there anyone that's  
12 doing that?

13 DR. MUSSER: Patrick, do you have a name? I  
14 think you're probably more connected there.

15 DR. KOWALCYK: No. I mean in general.

16 DR. MUSSER: Oh.

17 DR. KOWALCYK: Is there anyone that's really  
18 looking into that kind of research?

19 DR. COURNOYER: Yeah. I can jump in here,  
20 Dr. Musser. There are like, for instance, in the data  
21 acceleration pilot initiatives that are described ways  
22 of obtaining just that type of information and there's

1 different efforts in different parts of the agency that  
2 are collaborating with external partners, as well, of  
3 various types in order to obtain data to help get a  
4 picture of that, but, you know, there are many  
5 challenges with an epidemiology approach and in  
6 particular the market is so fragmented with different  
7 types of products and different users that there's  
8 always going to be challenges, but we are working on  
9 getting epidemiological and all sorts of information  
10 about users in the real world.

11 DR. KOWALCYK: Okay. Thank you.

12 Dr. Bahinski?

13 DR. BAHINSKI: Yeah. Just a kind of follow-  
14 on to that question.

15 You know, in the drug industry there's  
16 marketing surveillance and pharmacovigilance and, you  
17 know, there are regulations around reporting adverse  
18 events when they are communicated to the sponsors.

19 This is my ignorance. You know, in the food  
20 industry, are there similar or, you know, with these  
21 additives guidances or regulations regarding, you know,  
22 if they receive certain adverse events notifications,

1 you know, communicating that it's back to the FDA, and  
2 are there ways to monitor, you know? They're not the  
3 greatest source of data, but, you know, social media  
4 sites where people may be reporting adverse reactions  
5 to some of these compounds.

6 DR. MUSSER: Greg, do you want to do that but  
7 largely it's voluntary. I'll let Greg explain more  
8 about -- it's not mandatory like it is with drugs but  
9 Greg can explain it more.

10 DR. NOONAN: Yeah. So for dietary  
11 supplements specifically, there are some mandatory from  
12 the manufacturers if they have a serious adverse event,  
13 they need to report that in. Most of the other adverse  
14 events we get are voluntary through what's called  
15 CARES. It captures both dietary supplements and other  
16 food-related but they are voluntary.

17 DR. KOWALCYK: But to put that into  
18 perspective, how many reports do you get annually  
19 approximately through that program?

20 DR. NOONAN: I am going to have to get back  
21 to you on those numbers. It's actually run through our  
22 Office of Analytics and Outreach which is a different

1 section. We work with them closely, but we can get you  
2 those numbers back, both general responses and we can  
3 probably even pull down some things related to  
4 cannabinoids, if needed.

5 DR. KOWALCYK: I understand it's  
6 substantially less than other types of systems.

7 DR. NOONAN: Yeah.

8 DR. MUSSER: It's also driven by -- you have  
9 to be careful with the numbers because it's driven by  
10 what's in the news at the time. So right now there's a  
11 lot of infant formula adverse events there, a huge  
12 spike, so, and if there's some other product that  
13 happens to be in the news, we'll see a spike in  
14 reports, but you have to look at the data carefully  
15 there and we can help strip that out for you.

16 DR. KOWALCYK: Well, I think my point is, is  
17 that (1) many people don't know about that system and  
18 how to report and (2) there's a lot of self-reporting  
19 bias in the system. So I just wanted to make that  
20 representation.

21 DR. WOODCOCK: In addition, you know, we have  
22 the over-the-counter which this is self-administration

1 and some people may not even necessarily connect. It's  
2 not like they've had a physician prescribe something  
3 for them. They may not connect their ingestion to  
4 whatever problem they're experiencing and then they  
5 have to go and either be seen by health care or they  
6 have to recognize.

7           So as I said, for some of these more dramatic  
8 events, we're seeing them from Poison Control, we're  
9 seeing them from emergency department surveillance, but  
10 we're also very worried about long-term chronic  
11 exposure which we'd be very unlikely to pick up through  
12 reporting mechanisms.

13           DR. COURNOYER: And I just wanted to add  
14 there, too, that self-reporting, we think it's less  
15 likely with products that are obtained, let's say, on  
16 the gray market, more marginal products. People are  
17 typically less willing to move forward with that.

18           DR. KOWALCYK: Okay. Any other questions or  
19 comments from the Science Board members?

20           DR. WOODCOCK: We will come back when we've  
21 made more progress on this. We really appreciate your  
22 input.

1 DR. KOWALCYK: Yes. Well, thank you, and I  
2 think it's really obvious that this topic will  
3 necessitate an in-depth engagement beyond what we can  
4 do via Zoom in one afternoon. So we are happy to form  
5 a subcommittee to study this issue further and I'd like  
6 to thank our FDA presenters and members of the public  
7 who've taken time to speak to us today.

8 I'd also like to again acknowledge all those  
9 who submitted written comments to the Board. We  
10 appreciate your engagement on this.

11 Rakesh, is there anything else that we need  
12 to do or discuss before we close? I know there's still  
13 time.

14 MR. RAGHUWANSHI: Just give me one moment to  
15 check in with my colleagues. Stand by, please.  
16 Thanks.

17 Barbara, we're good to go.

18 DR. KOWALCYK: Okay. Any final comments or  
19 thoughts from the rest of the Science Board before we  
20 begin the closing?

21 DR. SARWAL: So, Barbara, about the  
22 subcommittee that we talked about, we just follow up by



1 e-mail after this?

2 DR. KOWALCYK: Yes. Rakesh can comment a bit  
3 about that, but it sounds like we'll be forming  
4 probably three subcommittees, based on the discussions  
5 that we had today, one around the new alternative  
6 methods, one potentially around data science, seemed  
7 like there was a lot of interest in that, and then one  
8 around this specific issue.

9 So, Rakesh, correct me if I'm wrong, if  
10 members are interested in a particular subcommittee,  
11 they should reach out to you and I, correct?

12 MR. RAGHUWANSHI: Yes, that's correct, Barb.  
13 Thank you. We'll send out an e-mail to the Science  
14 Board members after this meeting as we work to  
15 establish those subcommittees for further studying  
16 those issues. There's a process that needs to be  
17 followed which includes very strict conflict of  
18 interest screening, as everybody knows, and so we'll go  
19 through the process and get that going.

20 DR. KOWALCYK: Dr. Ryu?

21 DR. RYU: Thank you.

22 I just wanted to praise all the effort, the

1 important work FDA has been doing. The sheer number of  
2 applications for the new ingredients has tripled in  
3 comparison with the past four years versus past 10  
4 years. I bet you didn't get triple the number of staff  
5 support. So I deeply appreciate handling all those  
6 pressured requests and the workload and I will be happy  
7 to be a part to help in any way. So again, you know,  
8 thank you very much for all your work for the public.

9 DR. KOWALCYK: Thank you.

10 Any other comments, last comments before?

11 DR. MUSSER: Just my deep thanks for hanging  
12 in there all day. I know this was a good meeting. I  
13 really enjoyed the morning, as well, but I really  
14 appreciate your help here. This is really very  
15 valuable for us and can't thank you enough for the time  
16 spent here today.

17 Final Thoughts and Closing Comments

18 DR. KOWALCYK: Well, thank you.

19 So hearing no other or seeing no other hands  
20 raised, I think we can start to wrap up and just some  
21 final thoughts on my end.

22 I agree with Dr. Ryu. You know, the amount

1 of work that you have with the agency is quite  
2 impressive. I try to think about how you're going to  
3 manage dealing with these three issues on top of  
4 implementing the Food Safety Modernization Act and all  
5 the drug responsibilities that you have as well as just  
6 the ongoing issues around baby formulas, it's amazing,  
7 and I think, you know, I thank you for bringing these  
8 important topics to us. It's really nice, at least  
9 from my perspective as a scientist, to be able to  
10 provide input and this is really where the  
11 translational work is and it's a piece that I love is  
12 translating science into policy and practice.

13           So thank you very much for everyone's  
14 engagement today and attendance and, of course, we look  
15 forward to continuing to work with the agency to  
16 advance your public health mission and, of course,  
17 protect the health of all Americans.

18           So thank you very much and I think with that  
19 we can adjourn. Have a great day.

20           (Whereupon, at 4:30 p.m., the meeting was  
21 adjourned.)

22