

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Friday, October 28, 2022

10:00 a.m. to 2:35 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Philip Bautista, PharmD, MPH

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Christopher H. Lieu, MD

(Acting Chairperson)

Associate Professor of Medicine

Associate Director for Clinical Research

co-Director, Gastrointestinal Medical Oncology

University of Colorado Cancer Center

Aurora, Colorado

David E. Mitchell

(Consumer Representative)

Founder, Patients for Affordable Drugs

Bethesda, Maryland

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Jorge J. Nieva, MD

Associate Professor of Clinical Medicine
Section Head, Solid Tumors
University of Southern California (USC)
Norris Comprehensive Cancer Center
Keck School of Medicine of USC
Los Angeles, California

Neil Vasan, MD, PhD

Assistant Professor
Division of Hematology & Oncology
Department of Medicine
Herbert Irving Comprehensive Cancer Center
Columbia University Medical Center
New York, New York

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2 **(Non-Voting)**

3 **Jonathan D. Cheng, MD**

4 *(Industry Representative)*

5 Head of Oncology Development

6 Global Drug Development

7 Bristol-Myers Squibb

8 Lawrenceville, New Jersey

9

10 **TEMPORARY MEMBERS (Voting)**

11 **Rochelle Bagatell, MD**

12 Professor of Pediatrics

13 Division of Oncology, Department of Pediatrics

14 The Children's Hospital of Philadelphia

15 University of Pennsylvania

16 Philadelphia, Pennsylvania

17

18

19

20

21

22

1 **Natia Esiashvili, MD**

2 Professor

3 Department of Radiation Oncology

4 Winship Cancer Institute

5 Emory University

6 Atlanta, Georgia

7

8 **David Harrington, MA, PhD**

9 Professor of Biostatistics (Emeritus)

10 Dana-Farber Cancer Institute and Harvard T.H.

11 Chan School of Public Health

12 Boston, Massachusetts

13

14 **Michael Hudgens, PhD**

15 Professor and Associate Chair

16 Department of Biostatistics

17 Gillings School of Global Public Health

18 University of North Carolina at Chapel Hill

19 Chapel Hill, North Carolina

20

21

22

1 **Michele Jonsson Funk, PhD, FISPE**

2 Associate Professor of Epidemiology

3 Director, Center for Pharmacoepidemiology

4 Gillings School of Global Public Health

5 University of North Carolina at Chapel Hill

6 Chapel Hill, North Carolina

7

8 **E. Anders Kolb, MD**

9 Director, Nemours Center for Cancer and

10 Blood Disorders

11 Nemours Children's Health

12 Wilmington, Delaware

13 Professor, Department of Pediatrics

14 Sidney Kimmel Medical College at

15 Thomas Jefferson University

16 Philadelphia, Pennsylvania

17

18

19

20

21

22

1 **Tobey J. MacDonald, MD**

2 Aflac Endowed Chair for Pediatric Neuro-Oncology

3 Professor of Pediatrics

4 Director, Pediatric Neuro-Oncology Program

5 Aflac Cancer & Blood Disorders Center

6 Children's Healthcare of Atlanta

7 Emory University School of Medicine

8 Atlanta, Georgia

9

10 **Gianna (Gigi) McMillan, D.Bioethics**

11 *(Patient Representative)*

12 Professor of Research Ethics, Graduate Division

13 Associate Director, Bioethics Institute

14 Loyola Marymount University

15 Los Angeles, California

16

17 **Julie R. Park, MD**

18 Professor of Pediatrics

19 Division Pediatric Hematology Oncology

20 University of Washington School of Medicine

21 Seattle Children's Hospital

22 Seattle, Washington

1 **Donald (Will) Parsons, MD, PhD**

2 Sidney L. and Donald F. Faust Chair of Pediatric
3 Cancer Precision Medicine
4 Texas Children's Hospital
5 Deputy Director, Texas Children's Cancer and
6 Hematology Center
7 Associate Professor, Department of Pediatrics,
8 Section of Hematology-Oncology
9 Baylor College of Medicine
10 Houston, Texas

11

12 **Nita Seibel, MD**

13 Head, Pediatric Solid Tumor Therapeutics
14 Clinical Investigations Branch
15 Cancer Therapy Evaluation Program
16 Division of Cancer Treatment and Diagnosis
17 National Cancer Institute (NCI)
18 National Institutes of Health (NIH)
19 Bethesda, Maryland

20

21

22

1 **Brigette Widemann, MD**

2 Chief, Pediatric Oncology Branch

3 Center for Cancer Research

4 NCI, NIH

5 Bethesda, Maryland

6

7 **FDA PARTICIPANTS (Non-Voting)**

8 **Richard Pazdur, MD**

9 Director, Oncology Center of Excellence (OCE)

10 Director (Acting)

11 Office of Oncologic Diseases (OOD)

12 Office of New Drugs (OND), CDER, FDA

13

14 **Paul Kleutz, MD**

15 Deputy Director, OCE

16 OOD, OND, CDER, FDA

17

18 **Gregory Reaman, MD**

19 Associate Director for Pediatric Oncology, OCE

20 Associate Director for Pediatric Oncology

21 OOD, OND, CDER, FDA

22

1 **Harpreet Singh, MD**

2 Director

3 Division of Oncology 2 (DO2)

4 OOD, OND, CDER, FDA

5

6 **Martha Donoghue, MD**

7 Acting Associate Director for Pediatric and

8 Rare Cancer Drug Development, OCE

9 Deputy Director

10 DO2, OOD, OND, CDER, FDA

11

12 **Donna Rivera, PharmD, MSc**

13 Associate Director for Pharmacoepidemiology

14 OCE, FDA

15

16 **Amy Barone, MD**

17 Cross-Disciplinary Team Leader

18 DO2, OOD, OND, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Gautam Mehta, MD

Central Nervous System Cancers, Pediatric
Solid Tumors, Rare Cancers
DO2, OOD, OND, CDER, FDA

Somak Chatterjee

Visiting Associate
Division of Biometrics V
Office of Biostatistics
Office of Translational Sciences, CDER, FDA

| 1 | C O N T E N T S | |
|----|--|------|
| 2 | AGENDA ITEM | PAGE |
| 3 | Call to Order | |
| 4 | Christopher Lieu, MD | 14 |
| 5 | Introduction of Committee | |
| 6 | Philip Bautista, PharmD, MPH | 14 |
| 7 | Conflict of Interest Statement | |
| 8 | Philip Bautista, PharmD, MPH | 23 |
| 9 | FDA Introductory Comments | |
| 10 | Amy Barone, MD | 27 |
| 11 | Applicant Presentations - Y-mAbs Therapeutics | |
| 12 | Introduction | |
| 13 | Rikke Valentin Oxholm Lilleso | 47 |
| 14 | Thomas Gad | 48 |
| 15 | Rikke Valentin Oxholm Lilleso | 49 |
| 16 | Disease Background and Unmet Need | |
| 17 | Kim Kramer, MD | 53 |
| 18 | Efficacy | |
| 19 | Vignesh Rajah, MD | 59 |
| 20 | René dePont Christensen, MSc, PhD | 70 |
| 21 | | |
| 22 | | |

| 1 | C O N T E N T S (continued) | |
|----|--|------|
| 2 | AGENDA ITEM | PAGE |
| 3 | Safety | |
| 4 | Vignesh Rajah, MD | 83 |
| 5 | Clinical Perspective | |
| 6 | Daniel Morgenstern, MB, BChir, PhD | 87 |
| 7 | FDA Presentation | |
| 8 | I-Omburtamab for Neuroblastoma with | |
| 9 | Central Nervous System or | |
| 10 | Leptomeningeal Metastases | |
| 11 | Gautam Mehta, MD | 94 |
| 12 | Clarifying Questions to Presenters | 133 |
| 13 | Open Public Hearing | 155 |
| 14 | Clarifying Questions to Presenters (con't) | 172 |
| 15 | Questions to the Committee and Discussion | 207 |
| 16 | Adjournment | 232 |
| 17 | | |
| 18 | | |
| 19 | | |
| 20 | | |
| 21 | | |
| 22 | | |

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. LIEU: Good morning and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Dr. Christopher Lieu, and I'll be chairing this meeting. I will now call the October 28, 2022 Oncologic Drugs Advisory Committee meeting to order. Dr. Phil Bautista is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. BAUTISTA: Good morning, everybody. My name is Phil Bautista, and I'm the acting designated federal officer for this meeting. When I call your name, please introduce yourself by saying your name and affiliation.

Dr. Lieu?

1 DR. LIEU: Good morning everybody. My name
2 is Chris Lieu, and I'm a GI medical oncologist at
3 the University of Colorado Cancer Center.

4 DR. BAUTISTA: Mr. David Mitchell?

5 MR. MITCHELL: I'm David Mitchell. I'm the
6 consumer representative to the ODAC. I am a cancer
7 patient, and I am founder of Patients for
8 Affordable Drugs.

9 DR. BAUTISTA: Thank you.

10 Dr. Nieva?

11 DR. NIEVA: Hi. I'm George Nieva. I'm an
12 associate professor at the University of Southern
13 California, Norris Comprehensive Cancer Center, and
14 I'm a medical oncologist specializing in thoracic
15 oncology.

16 DR. BAUTISTA: Dr. Vasani?

17 (No response.)

18 DR. BAUTISTA: Hi, Dr. Vasani. Are you able
19 to unmute yourself and introduce yourself for the
20 record?

21 (No response.)

22 DR. BAUTISTA: I will come back to Dr. Vasani

1 once he returns.

2 Dr. Cheng?

3 DR. CHENG: Good morning. Jon Cheng. I am
4 the industry rep, and I am a medical oncologist by
5 background, and I work for Bristol-Myers Squibb.

6 DR. BAUTISTA: Thank you.

7 Dr. Bagatell?

8 DR. BAGATELL: Hi. My name is Ro Bagatell.
9 I'm a pediatric oncologist at the Children's
10 Hospital of Philadelphia.

11 DR. BAUTISTA: Dr. Esiashvili?

12 DR. ESIASHVILI: Hi. I'm Dr. Natia
13 Esiashvili. I'm from Emory University. I'm a
14 radiation oncologist specializing in pediatric
15 tumors.

16 DR. BAUTISTA: Dr. Harrington?

17 DR. HARRINGTON: Hi. This is Dave
18 Harrington, biostatistician, Dana-Farber Cancer
19 Institute and the Harvard School of Public Health.

20 DR. BAUTISTA: Dr. Hudgens?

21 DR. HUDGENS: Hi. This is Michael Hudgens,
22 professor of biostatistics, University of North

1 Carolina, Chapel Hill.

2 DR. BAUTISTA: Dr. Jonsson Funk?

3 DR. JONSSON FUNK: Hello. This is Michele
4 Jonsson Funk. I'm an associate professor of
5 epidemiology at the University of North Carolina,
6 and I direct the Center for Pharmacoepidemiology
7 here.

8 DR. BAUTISTA: Dr. Kolb?

9 (No response.)

10 DR. BAUTISTA: Hi, Dr. Kolb. Are you
11 available to unmute yourself and introduce yourself
12 for the record?

13 (No response.)

14 DR. BAUTISTA: Alright. We'll come back to
15 Dr. Kolb once he's reconnected.

16 Dr. MacDonald?

17 DR. MacDONALD: Hi. this is Toby MacDonald.
18 I'm professor of pediatrics at Emory University,
19 and I direct the pediatric neuro-oncology program
20 at Children's Healthcare of Atlanta.

21 DR. BAUTISTA: Dr. McMillan?

22 DR. McMILLAN: This is Gigi McMillan. I am

1 at the Bioethics Institute at Loyola Marymount
2 University in Los Angeles. I'm professor of
3 research ethics, and I'm the patient representative
4 for this meeting.

5 DR. BAUTISTA: Thank you.

6 Dr. Park?

7 DR. PARK: Good morning. I'm Julie Park.
8 I'm a professor in the Department of Pediatrics at
9 the University of Washington School of Medicine,
10 and I practice as a pediatric hematologist/
11 oncologist at Seattle Children's Hospital.

12 DR. BAUTISTA: Dr. Parsons?

13 DR. PARSONS: Hi. I'm Will Parsons. I'm a
14 pediatric oncologist at Texas Children's Hospital
15 and Baylor College of Medicine in Houston, Texas.

16 DR. BAUTISTA: Dr. Seibel?

17 (No response.)

18 DR. BAUTISTA: Hi, Dr. Nita Seibel. Are you
19 available to unmute yourself and introduce yourself
20 for the record?

21 (No response.)

22 DR. BAUTISTA: Dr. Seibel, I can't hear you.

1 You might be double-muted.

2 (No response.)

3 DR. BAUTISTA: Alright. We'll move on to
4 Dr. Widemann.

5 DR. WIDEMANN: Good morning. Brigette
6 Widemann. I'm the chief of NCI's pediatric
7 oncology branch, and I'm a pediatric oncologist.

8 DR. BAUTISTA: Alright. Dr. Vasan, are you
9 able to unmute yourself and introduce yourself for
10 the record?

11 DR. VASAN: Yes. Hi, everyone. Good
12 morning. I'm Neil Vasan. I'm a breast oncologist
13 and physician scientist at Columbia University,
14 Herbert Irving Comprehensive Cancer Center.

15 DR. BAUTISTA: And we'll try Dr. Seibel
16 again.

17 Dr. Seibel, are you available to introduce
18 yourself for the record? You're still muted.

19 (No response.)

20 DR. BAUTISTA: Once Dr. Seibel and Dr. Kolb
21 return, we'll ask them to introduce yourselves for
22 the record, but in the meantime, we'll go ahead and

1 move on.

2 With that, I'll turn it back to Dr. Lieu, if
3 you will.

4 DR. LIEU: Thank you.

5 For topics such as those being discussed at
6 this meeting, there are often a variety of
7 opinions, some of which are quite strongly held.
8 Our goal is that this meeting will be a fair and
9 open forum for discussion of these issues and that
10 individuals can express their views without
11 interruption. Thus, as a gentle reminder,
12 individuals will be allowed to speak into the
13 record only if recognized by the chairperson. We
14 look forward to a productive meeting.

15 In the spirit of the Federal Advisory
16 Committee Act and the Government in the Sunshine
17 Act, we ask that the advisory committee members
18 take care that their conversations about the topic
19 at hand take place in the open forum of the
20 meeting.

21 We are aware that members of the media are
22 anxious to speak with the FDA about these

1 proceedings, however, FDA will refrain from
2 discussing the details of this meeting with the
3 media until its conclusion. Also, the committee is
4 reminded to please refrain from discussing the
5 meeting topic during break or lunch. Thank you.

6 Dr. Phil Bautista, will now read the
7 Conflict of Interest Statement for this meeting.

8 DR. BAUTISTA: Hi, all. This is Phil
9 Bautista. I apologize. I forgot to introduce the
10 FDA participants, after which I will do the
11 Conflict of Interest Statement.

12 So why don't I start first with Dr. Pazdur.

13 Could you unmute yourself and introduce
14 yourself?

15 DR. PAZDUR: Hi. Richard Pazdur, director
16 of the Oncology Center of Excellence.

17 DR. BAUTISTA: Dr. Paul Kleutz?

18 DR. KLEUTZ: Hi. I'm Paul Kleutz, a medical
19 oncologist and deputy director of the Oncology
20 Center of Excellence at the FDA.

21 DR. BAUTISTA: Dr. Reaman?

22 DR. REAMAN: Good morning. I'm Gregory

1 Reaman, the associate director for Pediatric
2 Oncology at the Oncology Center of Excellence.

3 DR. BAUTISTA: Dr. Singh?

4 DR. SINGH: Harpreet Singh, medical
5 oncologist, director of the Division of Oncology 2.

6 DR. BAUTISTA: Dr. Donoghue?

7 DR. DONOGHUE: Martha Donoghue. I'm a
8 pediatric oncologist. I'm the deputy division
9 director of the Division of Oncology 2 and the
10 acting associate director for Pediatric and Rare
11 Cancer Drug Development in the Oncology Center for
12 Excellence.

13 DR. BAUTISTA: Dr. Rivera?

14 DR. RIVERA: Hi. Donna Rivera, associate
15 director for pharmacoepidemiology, Oncology Center
16 of Excellence.

17 DR. BAUTISTA: Dr. Barone?

18 DR. BARONE: Good morning. Amy Barone, the
19 pediatric oncologist and the clinical team leader
20 in the Division of Oncology 2.

21 DR. BAUTISTA: Dr. Mehta?

22 DR. MEHTA: Good morning. Dr. Mehta. I'm a

1 neurosurgeon and a clinical reviewer in the
2 Division of Oncology 2.

3 DR. BAUTISTA: Dr. Chatterjee?

4 DR. CHATTERJEE: Hi. Good morning. Somak
5 Chatterjee, statistical reviewer in the Division of
6 Biometrics V.

7 **Conflict of Interest Statement**

8 DR. BAUTISTA: Thank you so much.

9 Alright. I'll go ahead and read the
10 Conflict of Interest Statement.

11 The FDA is convening today's meeting of the
12 Oncologic Drugs Advisory Committee under the
13 authority of FACA of 1972. With the exception of
14 the industry representative, all members and
15 temporary voting members of this committee are
16 special government employees or regular federal
17 employees from other agencies and are subject to
18 federal conflict of interest laws and regulations.

19 The following information on the status of
20 this committee's compliance with federal ethics and
21 conflict of interest laws, covered by but not
22 limited to those found at 18 U.S.C. Section 208, is

1 being provided to participants in today's meeting
2 and to the public.

3 FDA has determined that members and
4 temporary voting members of this committee are in
5 compliance with federal ethics and conflict of
6 interest laws. Under 18 U.S.C. Section 208,
7 Congress has authorized FDA to grant waivers to
8 special government employees and regular federal
9 employees who have potential financial conflicts
10 when it is determined that the agency's need for a
11 special government employee's services outweighs
12 his or her potential financial conflict of interest
13 or when the interest of a regular federal employee
14 is not so substantial as to be deemed likely to
15 affect the integrity of the services which the
16 government may expect from the employee.

17 Related to the discussion of today's
18 meeting, members and temporary voting members of
19 this committee have been screened for potential
20 financial conflicts of interests of their own as
21 well as those imputed to them, including those of
22 their spouses or minor children and, for purposes

1 of 18 U.S.C. Section 208, their employers. These
2 interests may include investments; consulting;
3 expert witness testimony; contracts, grants,
4 CRADAs; teaching, speaking, writing; patents and
5 royalties; and primary employment.

6 Today's agenda involves the discussion of
7 biologics license application 761176, for
8 131 iodine-omburtamab solution for injection,
9 submitted by Y-mAbs Therapeutics, Incorporated.
10 The proposed indication, use, for this product is
11 for the treatment of central nervous
12 system/leptomeningeal metastases in pediatric
13 patients with neuroblastoma following standard
14 multimodality treatment for CNS disease.

15 This is a particular matters meeting during
16 which the specific matters related to Y-mAbs' BLA
17 will be discussed. Based on the agenda for today's
18 meeting and all financial interests reported by the
19 committee members and temporary voting members, no
20 conflict of interest waivers have been issued in
21 connection with the meeting. To ensure
22 transparency, we encourage all standing committee

1 members and temporary voting members to disclose
2 any public statements that they may have made
3 concerning the product at issue.

4 With respect to FDA's invited industry
5 representative, we would like to disclose that
6 Dr. Jonathan Cheng is participating in this meeting
7 as a non-voting industry representative acting on
8 behalf of regulated industry. Dr. Cheng's role at
9 this meeting is to represent industry in general
10 and not any particular company. Dr. Cheng is
11 employed by Bristol-Myers Squibb.

12 We would like to remind members and
13 temporary voting members that if the discussions
14 involve any other products or firms not already on
15 the agenda for which an FDA participant has a
16 personal or imputed financial interest, the
17 participants need to exclude themselves from such
18 involvement, and their exclusion will be noted for
19 the record. FDA encourages all other participants
20 to advise the committee of any other financial
21 interest or relationships they may have with the
22 firm at issue. Thank you.

1 Dr. Lieu?

2 DR. LIEU: Thank you, Dr. Bautista.

3 We will proceed with FDA introductory
4 remarks from Dr. Amy Barone.

5 **FDA Introductory Comments - Amy Barone**

6 DR. BARONE: Thank you, Dr. Lieu.

7 Good morning. My name is Amy Barone. I'm a
8 pediatric hematologist/oncologist in the Division
9 of Oncology 2, and I am the cross-disciplinary team
10 leader for the application for i-131 omburtamab, a
11 radiolabeled monoclonal antibody. I will refer to
12 Y-mAbs as the applicant and to i-131 omburtamab as
13 omburtamab for the remainder of the presentation.

14 The applicant is seeking traditional
15 approval for omburtamab for the treatment of
16 pediatric patients with neuroblastoma following
17 standard multimodality treatment for CNS disease.
18 Omburtamab is given as an intraventricular infusion
19 through an Ommaya reservoir or similar device, and
20 the proposed dosage ranges from 25 to
21 50 millicuries based on patient age. Because the
22 application relies on overall survival endpoint,

1 which is a direct measure of clinical benefit, the
2 appropriate approval pathway is traditional
3 approval.

4 FDA is bringing this application to the
5 Oncology Drug Advisory Committee to enable public
6 discussion, as we do not have confidence that a
7 treatment effect of omburtamab on overall survival
8 has been established. The evidence submitted by
9 the applicant to support the efficacy of omburtamab
10 relies primarily upon overall survival results from
11 Study 03-133, a single-center, single-arm trial.

12 Study 03-133 was conducted exclusively at
13 Memorial Sloan Kettering Cancer Center and was
14 initially designed as a dose-finding study not
15 intended to support a marketing application.
16 However, based on preliminary data, suggesting an
17 improvement in overall survival compared to a
18 historical control benchmark, the applicant
19 obtained the rights to commercial development and
20 proposed to use an external control population
21 derived from a neuroblastoma registry to
22 demonstrate that omburtamab improved survival in

1 patients with CNS relapse neuroblastoma.

2 Study 101 is a single-arm study initiated by
3 the applicant in order to obtain a multicenter
4 experience. Unlike Study 03-133, Study 101
5 systematically collected response data in order to
6 characterize the overall response rate of
7 omburtamab, and these data were reviewed as part of
8 this application.

9 To provide context for this rare disease, I
10 will first provide a brief background on
11 neuroblastoma and how omburtamab fits into the
12 treatment paradigm. I will then provide an
13 overview of the regulatory framework for approval
14 and the use of external controls, followed by an
15 overview of Study 03-133.

16 Next, I will present the high-level issues
17 related to establishing effectiveness. You will
18 see today what appears to be an improvement in
19 survival for patients treated with omburtamab
20 compared to an external control. However,
21 underlying differences between the control and
22 study populations call into question the

1 appropriateness of the control chosen as a
2 comparator and the ability to attribute any
3 difference in survival to omburtamab. I will then
4 review the discussion topic and voting question.

5 Neuroblastoma is the most common
6 extracranial solid tumor in childhood, so only
7 approximately 650 cases are diagnosed in the U.S.
8 per year. CNS involvement is exceedingly rare and
9 typically presents at the time of relapse in about
10 6 percent of patients. There are no FDA approved
11 or curative therapies, however, patients in the
12 U.S. who are well enough to be treated are often
13 treated with some combination of surgery,
14 radiation, and chemotherapy.

15 Omburtamab is a radiolabeled monoclonal
16 antibody that binds B7-H3, which is overexpressed
17 on neuroblastoma cells. Beta emission for
18 iodine-131 then induces cellular damage. It is a
19 local therapy delivered directly into the CSF space
20 using an Ommaya reservoir or shunt.

21 The applicant proposes it is intended to
22 treat the entire CFS compartment, including

1 micrometastatic disease. It is clear that
2 omburtamab delivers radiation to the CSF space
3 given this mode of delivery, however, the applicant
4 has not provided evidence to support that
5 omburtamab works through elimination of
6 micrometastatic disease in the CNS or provided
7 compelling evidence to support uptake of omburtamab
8 in CNS metastases to the brain parenchyma.

9 To receive FDA approval, a drug or biologic
10 product must demonstrate substantial evidence of
11 effectiveness through adequate and well-controlled
12 studies. This can be supported by either two
13 adequate and well-controlled trials or one adequate
14 and well-controlled trial with confirmatory
15 evidence of effectiveness. In this case, we will
16 be considering the latter. This application
17 attempts to demonstrate effectiveness based on
18 overall survival data from one single-arm trial,
19 Study 03-133, with supportive response rate data
20 from Study 101.

21 For oncology studies, survival is considered
22 the most reliable endpoint, as it is a direct

1 measure of clinical benefit, it's easy to measure,
2 and it reflects safety. However, for a marketing
3 application, overall survival is usually evaluated
4 in the context of a randomized-controlled trial
5 because it is important to distinguish the effect
6 of the drug from other factors intrinsic to the
7 patient and extrinsic factors such as approach to
8 supportive care.

9 Objective response rate is a unique endpoint
10 we have in oncology that can be assessed in a
11 single-arm study since the effect on that endpoint
12 is a direct measure of the intervention. Tumors do
13 not typically regress on their own, and this is
14 different from overall survival, which can be
15 influenced by many factors.

16 As discussed in FDA guidance, overall
17 survival should be evaluated in randomized studies,
18 as survival can be impacted by factors other than
19 drug treatment such as natural history disease or
20 patient selection. Overall survival results from
21 externally controlled trials can be
22 uninterpretable, as differences between the study

1 and control populations may impact survival and
2 designs for these trials can be very complex.
3 Randomized studies minimize the effect of these
4 known and unknown differences.

5 There are several characteristics that
6 strengthen the level of evidence that can be
7 provided by an external control to establish
8 effectiveness. These include a high unmet medical
9 need in a rare disease with well-defined natural
10 history, a high degree of similarity with regards
11 to baselines in these characteristics and
12 concomitant treatments, and evidence of change in
13 the established progression of disease such as
14 tumor shrinkage.

15 Patients with neuroblastoma have an
16 undeniable unmet medical need, however, the natural
17 history is not well characterized due to its
18 rarity, and we have analyses from published
19 literature and additional data from this
20 application suggesting that survival has improved
21 over time; the major review issues from this
22 application, stem from important fundamental

1 differences in the external control, particularly
2 with regards to concomitant treatment; as well as a
3 lack of robust evidence to demonstrate that
4 omburtamab shrinks CNS or leptomeningeal
5 metastases.

6 Moving on to regulatory history, the
7 applicant considered a randomized-controlled trial
8 infeasible based on a suggested overall survival
9 improvement in patients treated on Study 03-133
10 compared to a historical overall survival benchmark
11 rate reported in the literature.

12 Early on, we cautioned on the complexity of
13 the proposed external control design and
14 consistently highlighted that the ability to
15 interpret the data would largely depend on the
16 comparability of the populations and the ability to
17 isolate the treatment effect of omburtamab from
18 other therapies. Throughout the many meetings we
19 had with the applicant leading up to the
20 submission, we stated that response rate data,
21 including duration of response, would also be
22 needed to establish effectiveness.

1 Again, Study 03-133 was a single-arm,
2 single-center trial with a primary endpoint of
3 overall survival. Protocol recommended treatment
4 for CNS disease prior to receiving omburtamab
5 included surgery, chemotherapy, and radiation. As
6 we advised the applicant in prior meetings, the
7 receipt of so much intensive treatment prior to
8 administration of omburtamab would be an important
9 prognostic variable when matching to a control and
10 would likely make it difficult to determine if any
11 effects on survival are due to omburtamab and not
12 to those treatments.

13 The external control used for this study is
14 derived from the Central German Childhood Cancer
15 Registry, which includes almost all children
16 diagnosed with cancer in Germany. Patients were
17 selected who were thought to be most similar to
18 those included in Study 03-133, particularly with
19 regards to treatments received for their CNS
20 disease. Eighty-five were identified who received
21 treatment of any kind for their CNS relapse.

22 As you saw on the last slide, recommended

1 study treatment included three types of therapy.
2 Due to sample size constraints, the applicant
3 designed the control to include patients who
4 received at least two types of treatment rather
5 than three, one of which was radiation.

6 To further address the sample size issues of
7 the control and because we did not know if
8 treatment outcomes had improved over time, we
9 encouraged the applicant to include outcomes from
10 the control dating back to enrollment starting in
11 1990. This is in contrast to Study 03-133, which
12 did not open until 2004.

13 This slide presents a summary of the
14 applicant's primary analysis, which appears to show
15 a marked difference in survival for patients
16 treated in Study 03-133 in green compared to the
17 external control in gold. When interpreting
18 overall survival comparisons between the study and
19 the control, it is important to keep in mind the
20 extremely small sample size in the control
21 population, 29 patients, which raises uncertainty
22 regarding the apparent differences between arms.

1 You will note the confidence intervals for the
2 hazard ratio are wide with the upper bound
3 exceeding 1. If there is a survival difference, we
4 question if that difference is due to omburtamab.

5 Patients in Study 03-133 had to be healthy
6 enough to not only get to a tertiary center but to
7 withstand intensive treatment of surgery,
8 radiation, and chemotherapy, and to then have a
9 reservoir surgically placed for the treatment with
10 omburtamab. FDA's review of the data has also
11 identified several other key differences in the
12 population that would affect survival outcomes. It
13 is possible, or even probable, that the combination
14 of these factors is responsible for the difference
15 seen here. You will see in Dr. Mehta's talk later
16 that as we attempt to control for some of these
17 factors, the curves nearly overlap.

18 FDA has identified three key issues
19 regarding the level of evidence to demonstrate that
20 the difference in survival, if any, is due to
21 omburtamab. You will hear more about each of these
22 in the subsequent slides and in Dr. Mehta's talk.

1 Briefly, the first and most important issue is that
2 the external control is not a relevant comparator,
3 and because of this, comparisons of survival are
4 not reliable. We can also sometimes rely on
5 response rate to establish effectiveness, however,
6 this application does not provide reliable evidence
7 of CNS or leptomeningeal responses to omburtamab.

8 Several key differences between the
9 populations are highlighted here, and you will hear
10 more detail about each one in Dr. Mehta's talk.
11 Although the external control captures many key
12 pieces of information regarding treatment of
13 patients with neuroblastoma, we have the
14 opportunity to intensively interrogate the data
15 and, unfortunately, our review has identified
16 important differences, rendering the registry
17 population not fit for purpose as an external
18 control.

19 Patients in Study 03-133 received treatment
20 for their CNS disease that was generally more
21 intensive than the treatment documented in the
22 control. As mentioned earlier, the external

1 control data are not contemporaneous with the dates
2 of enrollment in Study 03-133. Based on the data
3 provided in this application, it does appear that
4 survival in patients with CNS neuroblastoma has
5 improved over time, but this is something we were
6 not sure of prior to the review of the data. There
7 are also unknown and unmeasured differences that
8 have the potential to impact survival such as
9 differences in the clinical care in the U.S. and in
10 Germany.

11 The second major review issue is a direct
12 result from the first review issue. In cases where
13 an external control population is not sufficiently
14 comparable to the study population to be considered
15 fit for purpose, we would typically not review the
16 data any further, as comparisons between dissimilar
17 populations would not be interpretable. However,
18 recognizing that regulatory flexibility is
19 appropriate given the high unmet medical need, we
20 decided to further analyze the data to see if this
21 application could be salvaged.

22 As Dr. Mehta will describe in more detail in

1 his talk, we conducted multiple sensitivity
2 analyses and saw that the differences in survival
3 between the study and control populations were
4 attenuated with hazard ratios approaching or
5 exceeding 1 in many cases. These results reinforce
6 that any apparent difference in survival cannot be
7 reliably attributed to omburtamab.

8 However, it is important to keep in mind
9 that we are in a very unusual situation where all
10 the analyses you will see presented today by both
11 the applicant and the FDA are post hoc descriptive
12 analyses. We each chose different analyses
13 populations and statistical methods, and you will
14 notice that the results of the various analyses and
15 the conclusions that can be drawn from them can be
16 very different depending on the approach taken.

17 These differences in results across analyses
18 highlight the high degree of uncertainty associated
19 with relying on this external control to establish
20 a causal role for omburtamab in any observed
21 differences in survival between the populations.

22 Finally, although the analyses presented by

1 FDA reflect an approach that we consider fair,
2 balanced, and scientifically rigorous, it is
3 important to remain cognizant of the limitations in
4 interpreting any analysis due to the known and
5 unknown differences between the population to
6 confounding, and to the small sample sizes of the
7 external control. We do not think that any
8 statistical method can successfully mitigate the
9 uncertainty created by these limitations to allow
10 comparisons between the population.

11 Finally, early on in development, we
12 expressed concern that the ability to interpret the
13 data would largely depend on the comparability of
14 the populations, and given that uncertainty, we
15 stated that robust response rate data would be
16 needed to provide evidence of efficacy of
17 omburtamab.

18 You will recall that the applicant provided
19 response rate data from Study 101. Of the seven
20 responses reported by the applicant, only four were
21 confirmed by a second scan; and upon closer
22 examination, we identified issues with the

1 characterization of each of these responses, making
2 it difficult to draw reliable conclusions about the
3 presence of a response in some cases, and in other
4 cases, that an observed response was due to
5 omburtamab given the close temporal relationships
6 between administration of omburtamab and other
7 CNS-directed treatments, particularly radiation.
8 You will hear more detail on this from Dr. Mehta.

9 When considering these three major review
10 issues, we have strong reservations regarding
11 whether the applicant has provided sufficient
12 evidence to demonstrate that a difference in
13 survival, if any, is due to omburtamab. There are
14 clinically important differences between
15 Study 03-133 and the external control population
16 derived from the German registry.

17 These differences are likely to have
18 impacted survival, and this casts doubt that the
19 external control is an appropriate comparator. If
20 we determine that it is not an appropriate
21 comparator, then this application would not contain
22 an adequate well-controlled trial, which is

1 regulatory requirement for approval.

2 The results of FDA sensitivity analyses
3 illustrate that as we attempt to adjust for these
4 differences, differences in survival attenuate.
5 Furthermore, the divergent results presented by the
6 applicant and FDA highlight that the results of
7 these post hoc survival analyses are dependent on
8 patient populations and statistical approaches
9 selected, and that there is an underlying high
10 degree of uncertainty associated with drawing
11 conclusions regarding the effectiveness of
12 omburtamab based on these comparisons.

13 Finally, in situations where an improvement
14 in a time-to-event endpoint such as overall
15 survival has not been demonstrated, we can
16 sometimes rely on a clinically meaningful and
17 durable effect on response rate to establish
18 effectiveness. However, this application does not
19 provide reliable evidence of CNS or leptomeningeal
20 responses to omburtamab.

21 Although we can be amenable to the use of a
22 robust external control to establish effectiveness

1 in certain circumstances if the external control is
2 an appropriate comparator, it is important that
3 children with CNS and leptomeningeal relapse
4 neuroblastoma, and their families, have confidence
5 that drugs approved for this disease are effective,
6 as well as safe.

7 We appreciate that you are here to provide
8 your perspective on this application today. FDA
9 requests discussion regarding the ability of the
10 data provided to isolate the treatment effect of
11 omburtamab from the effects of multimodality
12 therapy and to discuss if additional data are
13 needed to assess the treatment effect of
14 omburtamab.

15 We ask you to consider if the applicant has
16 provided sufficient evidence to conclude that
17 omburtamab improves overall survival. We are
18 acutely aware of the need for regulatory
19 flexibility and are willing to accept a reasonable
20 degree of uncertainty when assessing effectiveness,
21 given the high unmet medical need of pediatric
22 patients with neuroblastoma. At the same time, it

1 is important that we think about the degree of
2 uncertainty that is acceptable, particularly
3 because omburtamab has toxicities that are not
4 negligible.

5 It is our responsibility to ensure that
6 drugs we approve have a favorable benefit-risk
7 balance, but we cannot make that determination
8 without evidence to show effectiveness. Despite
9 our best efforts to leverage the existing
10 information, at this point in time we think that
11 additional data are needed to establish the
12 effectiveness of omburtamab, and we are willing to
13 work with the applicant and the stakeholder
14 community to identify the best path forward to
15 generate this information for patients and their
16 families. Thank you.

17 DR. LIEU: Thank you, Dr. Barone.

18 Before we move on to the applicant
19 presentations, I do want to give Dr. Seibel and
20 Dr. Kolb an opportunity to introduce themselves for
21 the record.

22 Dr. Seibel?

1 DR. SEIBEL: Hi. This is Nita Seibel. I'm
2 a pediatric oncologist in the clinical
3 investigations branch at CTEP at the NCI.

4 DR. LIEU: Thank you, Dr. Seibel.

5 And Dr. Kolb?

6 (No response.)

7 DR. LIEU: Dr. Kolb, are you able to
8 introduce yourself for the record?

9 (No response.)

10 DR. LIEU: Okay. We will try and come back
11 to Dr. Kolb after the presentations.

12 DR. BAUTISTA: I apologize, Dr. Lieu.

13 Dr. Kolb, you'll need to connect yourself to
14 the audio, at your earliest convenience. We'll
15 come back to you.

16 Sorry, Dr. Lieu.

17 DR. LIEU: Alright. We'll continue to
18 proceed.

19 Both the FDA and the public believe in a
20 transparent process for information gathering and
21 decision making. To ensure such transparency at
22 the advisory committee meeting, FDA believes that

1 it is important to understand the context of an
2 individual's presentation.

3 For this reason, FDA encourages all
4 participants, including the applicant's
5 non-employee presenters, to advise the committee of
6 any financial relationships that they may have with
7 the sponsor, such as consulting fees, travel
8 expenses, honoraria, and interest in the sponsor,
9 including equity interests and those based upon the
10 outcome of the meeting.

11 Likewise, FDA encourages you at the
12 beginning of your presentation to advise the
13 committee if you do not have such financial
14 relationships. If you choose not to address this
15 issue of financial relationships at the beginning
16 of your presentation, it will not preclude you from
17 speaking.

18 We will now proceed with Y-mAbs
19 Therapeutics' presentation.

20 **Applicant Presentation - Rikke Lilleso**

21 MS. LILLESO: Good morning. My name is
22 Rikke Lilleso, and I'm the vice president of Global

1 Regulatory Affairs at Y-mAbs. Thank you for the
2 opportunity to present our data today. We will
3 start with a few words of introduction of our
4 company by Thomas Gad, the founder, CEO, and
5 president of Y-mAbs.

6 **Applicant Presentation - Thomas Gad**

7 MR. GAD: Thank you, Rikke.

8 My name is Thomas Gad, and it's a pleasure
9 being here today. Our daughter Daniella, picture
10 here, was diagnosed with systemic high-risk
11 neuroblastoma in 2006 just before she turned
12 2 years old.

13 After looking for treatments worldwide, we
14 finally found our way to Memorial Sloan Kettering,
15 where Daniella received a GD2 antibody for her
16 systemic disease and was declared in full remission
17 in 2007. Two years later, she was diagnosed with
18 an isolated CNS relapse of neuroblastoma and
19 entered into Trial 03-133, receiving omburtamab,
20 which led to more than 14 years of remission.

21 After I experienced what families go through
22 mentally and financially in order to get access to

1 these potentially life-saving drugs to save their
2 children, I founded Y-mAbs in 2015, a company
3 dedicated to conducting research in ultra-rare
4 pediatric diseases where no approved therapies are
5 available. Our main goal is to make treatments
6 available close to home and give all children
7 access to treatment. Thank you.

8 **Applicant Presentation - Rikke Lilleso**

9 MS. LILLESO: Thank you, Thomas.

10 Omburtamab has a long clinical development
11 history. In 2001, MSK cleared the IND, and
12 Trial 03-133 was initiated in 2004. Y-mAbs
13 obtained the rights to omburtamab in '15. The
14 product then received breakthrough therapy, orphan
15 drug, and rare pediatric disease designations. To
16 support regulatory approval, Y-mAbs initiated the
17 multicenter Trial 101, which is still ongoing. The
18 BLA was admitted in March of this year following
19 extensive feedback from the FDA.

20 Omburtamab is a monoclonal antibody that
21 binds to B7-H3, which is highly expressed on
22 neuroblastoma with minimal expression on normal

1 tissue. The radiolabeled antibody binds and
2 destroys tumor cells by beta emission, including
3 any measurable or micrometastatic CNS disease, and
4 that is important to note because following
5 post-relapse therapy, not all patients have
6 measurable disease, but they may still have
7 micrometastatic disease. The radiolabeled antibody
8 is delivered directly into the CSF by an Ommaya
9 catheter, which bypasses the blood-brain barrier.
10 This allows the antibody direct access to tumor
11 cells in the entire CNS and the leptomenigeal
12 surfaces.

13 The clinical trial supporting the BLA, 03-
14 133 and 101, are the only interventional trials
15 ever done in neuroblastoma patients with CNS or
16 leptomenigeal metastases. Because it is not
17 feasible to conduct a randomized trial in this rare
18 and life-threatening disease, these were single-arm
19 trials. Therefore, we compared the data from the
20 pivotal Trial 133 to an external control arm.

21 Based on these trials, our proposed
22 indication is treatment of central nervous system,

1 leptomenigeal metastases in pediatric patients
2 with neuroblastoma following standard multimodality
3 treatment for CNS disease. This population
4 reflects the patients included in the primary
5 analysis, the MG2 populations that you will hear
6 about later. The proposed dosing regimen is two
7 age-based doses administered 4 weeks apart.

8 Today we will share with you that despite
9 multimodal treatment of surgery, radiotherapy, and
10 chemotherapy, neuroblastoma with CNS or
11 leptomenigeal metastases is associated with a poor
12 prognosis, so there's a pressing need for new
13 treatment options. In consultation with the FDA,
14 we defined an external control arm for comparison
15 to Trial 133, and so we'll hear today why the
16 external control arm is fit for purpose.

17 Trial 133 showed a clinically meaningful
18 42 percent improvement in overall survival compared
19 to the external control arm, and the results of
20 Trial 101 are consistent and supported for overall
21 and progression-free survival. Furthermore, it
22 demonstrated single-agent activity.

1 The most common AEs were laboratory values
2 related to myelosuppression, and these were
3 manageable. In the context of this life-
4 threatening and very rare disease with no approved
5 therapies available, it's appropriate to exercise
6 regulatory flexibility. Based on the totality of
7 evidence from the two trials, we conclude that
8 there is substantial evidence of effectiveness and
9 omburtamab demonstrates a positive benefit-risk
10 profile.

11 Next, Dr. Kim Kramer, the primary
12 investigator in Trial 133, will describe the
13 disease background and unmet medical need. She has
14 studied omburtamab at Memorial Sloan Kettering for
15 more than 20 years. Then, Vignesh Rajah and René
16 Christensen from Y-mAbs will summarize the efficacy
17 and safety data from the two clinical trials and
18 discuss the comparison to the external control arm.
19 Finally, Dr. Daniel Morgenstern from the Hospital
20 for Sick Children will provide his clinical
21 perspective on the data.

22 Thank you for your attention. I will now

1 turn it over to Dr. Kramer.

2 **Applicant Presentation - Kim Kramer**

3 DR. KRAMER: Good morning. My name is Kim
4 Kramer, and I'm attending pediatric oncologist at
5 Memorial Sloan Kettering Cancer Center and
6 professor of pediatrics at Weill Cornell Medical
7 Center. I'll describe the disease background and
8 the unmet need in pediatric patients that have CNS
9 metastases from neuroblastoma. By way of
10 disclosure, I'm a paid consultant for and hold
11 options to purchase shares of Y-mAbs Therapeutics.

12 Neuroblastoma is a rare embryonal tumor that
13 represents 6 percent of childhood cancers. The
14 average age at diagnosis is 1 to 2 years, and only
15 a small percentage of these patients will develop
16 CNS or leptomeningeal metastases, typically at
17 relapse, not at initial disease presentation. As
18 you can see, these are typically very large ugly
19 tumors that cause life-threatening problems:
20 massive headaches, vomiting, seizures, pending
21 brain herniation, and death. These tumors are very
22 difficult to treat, and patients often progress

1 very rapidly.

2 So let me walk you through a patient
3 journey. Out of approximately 600 children
4 diagnosed with neuroblastoma annually in the U.S.,
5 about half have had stage 4, high-risk disease, and
6 of those, we can cure about 50 percent. But what
7 happens to the other 50 percent?

8 Many of these patients will have recurrent
9 or progression of the systemic disease, but a small
10 percentage, estimated to be 3 to 6 percent, will
11 relapse in the brain. That represents only 9 to 18
12 patients per year in the U.S., so it is quite rare.
13 And by and large, most of these children will have
14 isolated CNS disease with no evidence of disease
15 elsewhere, suggesting that the brain is indeed a
16 sanctuary site for neuroblastoma.

17 When a patient first presents with CNS
18 relapse, conventional therapy is offered. It often
19 includes surgery, chemotherapy, and radiation
20 therapy, but keep in mind that none of these
21 therapies are specifically approved for this
22 indication and, unfortunately, despite all of this

1 intensive therapy, these aggressive brain tumors
2 tend to progress, and many patients die within
3 months. That is why we developed
4 radioimmunotherapy with omburtamab.

5 To put this into perspective, I'd like to
6 show you the most recent data from the SIOP
7 database published by Berlanga in 2021. This is a
8 very important publication and provides a reliable
9 estimate of overall survival in this population,
10 based on patients treated between 2002 and 2015.

11 The overall survival in 53 patients with
12 first CNS recurrence was 4 months, and the 3-year
13 survival rate was only 8 percent. A small subset
14 of patients who were able to receive multimodal
15 therapy, depicted with the green arrow, fared
16 somewhat better with a median survival of
17 14.5 months and a 3-year overall survival of
18 21 percent. So yes, multimodality treatment delays
19 death, but the prognosis for cure is still poor.

20 This is where the addition of radiolabeled
21 therapy to standard multimodal therapy plays an
22 important role. First, we had a target. B7-H3

1 turned out to be a fantastic target because it is
2 expressed on the vast majority of neuroblastomas
3 and other pediatric embryonal tumors, and yet has
4 limited expression on normal tissues, so we have a
5 great target. We also have an antibody,
6 omburtamab, specific for this target. We have an
7 isotope, i-131. We have a method of delivering it
8 intrathecally to target and kill tumor cells in the
9 CNS. The ultimate goal is to eradicate residual
10 measurable or micrometastatic disease, and
11 hopefully increase the chance of cure.

12 So what have we learned about compartmental
13 radioimmune therapy over the past 25 years? Well,
14 we've learned that it works well across the
15 spectrum of CNS lesions to help eliminate residual
16 tumor after surgery and conventional radiation
17 therapy.

18 Here are two examples. On the left is a
19 bulky hemorrhagic metastases causing midline shift
20 with impending herniation, and on the right, a
21 patient with innumerable inoperable, supratentorial
22 and infratentorial parenchymal lesions. Both of

1 these patients were successfully treated with
2 multimodal therapy plus omburtamab and have
3 remained disease-free for more than a decade.

4 Importantly, by using targeted radioimmune
5 therapy, the conventional radiation dose has been
6 significantly lowered over the years and
7 significantly lower than that used for other
8 pediatric brain tumors, limiting the long-term
9 crippling side effects associated with conventional
10 external beam radiation.

11 Imaging studies show that following
12 intraventricular administration, the radiolabeled
13 antibody is distributed throughout the thecal
14 space, which maximizes the possibility of targeting
15 residual disease in the parenchymal leptomeninges
16 or the CSF space.

17 Here seen on PET images are 2, 24, and
18 48 hours post-injection, and importantly, on the
19 right, as you can see in these MR and PET images,
20 the antibody does effectively target parenchymal
21 lesions, highlighted here by the arrows. This is a
22 patient with a frontal parietal B7-H3 positive

1 tumor, showing clear uptake of the radiolabeled
2 antibody while sparing the rest of the brain,
3 something conventional radiation therapy is unable
4 to do.

5 Administration of omburtamab is also fairly
6 easy and convenient compared to the aggressive
7 conventional multimodal therapies that I've
8 described. It can be delivered by an Ommaya
9 catheter to children as young as 6 months by a
10 physician or nurse practitioner while the patient
11 is awake at the bedside, and patients often go home
12 later the same day. And let me just say, the
13 Ommaya catheter, invented in the 1960s, has been
14 used routinely for over 50 years to deliver
15 CNS-directed therapies, and it is very safe.

16 So in conclusion, CNS neuroblastoma is a
17 rare and devastating disease, and even with the
18 best available multimodality treatment, prognosis
19 for cure remains poor. As systemic therapies for
20 neuroblastoma improved, it highlighted that the CNS
21 is a sanctuary site that poses an impediment to
22 cure.

1 There is no targeted CNS-directed therapy
2 approved for these patients, therefore, there
3 remains a high unmet need for effective agents,
4 particularly ones that allow us to decrease the
5 intensity and the side effects of existing
6 treatment modalities. Administration of
7 radioimmune therapy into the CSF is feasible, and
8 the adverse event profile is completely predictable
9 and manageable. Most importantly, omburtamab is an
10 effective agent that improves overall survival and
11 increases the chance of cure.

12 So why are we here today? Children with CNS
13 neuroblastoma deserve a chance for cure and the
14 opportunity to live a normal life. These are some
15 of my young patients who have done very well and
16 are now adults. They've gone on to do incredible
17 things, such as going to college and getting
18 married. Thank you, and I will now turn it over to
19 Dr. Rajah.

20 **Applicant Presentation - Vignesh Rajah**

21 DR. RAJAH: Thank you, Dr. Kramer.

22 Good morning, everyone. My name is Vignesh

1 Rajah, chief medical officer at Y-mAbs
2 Therapeutics. I'll present the efficacy and safety
3 data from our two registration trials, and my
4 colleague, Dr. Christensen, will present the
5 comparison of our pivotal trial, 03-133, to the
6 external control arm.

7 As you heard previously, the clinical
8 development of omburtamab was based on two
9 single-arm trials. Trial 03-133, initiated by
10 MSKCC, is the largest single trial conducted in
11 this patient population. This study enrolled
12 109 neuroblastoma patients with CNS/leptomeningeal
13 metastases over a 14-year period. All of them are
14 included in the evaluation of safety, and the data
15 from 107 of these were used to support the efficacy
16 evaluation.

17 Trial 101 is an international, multicenter
18 trial that was designed by Y-mAbs with input and
19 feedback from FDA to demonstrate the
20 reproducibility of the data from 03-133. This
21 trial has enrolled 50 patients and is close to
22 accrual, and 32 patients included in the planning

1 from analysis of efficacy.

2 There were two parts to the Trial 03-133, a
3 dose escalation and a cohort expansion. Each part
4 evaluated omburtamab given in two cycles, initially
5 for the dosimetry dose of 2 millicuries, followed
6 by a treatment dose. Part 1 evaluated the
7 toxicities and maximum tolerated dose. Treatment
8 doses were escalated from 10 to 80 millicuries, and
9 patients received the same treatment dose in both
10 cycles.

11 In part 2, the expansion phase, all patients
12 were given the selected treatment dose of
13 50 millicurie to assess the efficacy and safety.
14 The treatment doses were reduced depending on age.
15 The primary endpoint was overall survival at
16 3 years and the second endpoint was CNS/LM
17 progression-free survival at 12 months. The CNS
18 progression-free survival was evaluated only
19 retrospectively by the investigator and was not
20 independently reviewed, so we have not included
21 this in the presentation.

22 Trial 101 was a single-arm, multicenter

1 trial. Patients followed a similar treatment
2 regimen as in 03-133. The primary endpoint was
3 CNS/LM progression-free survival at 6 months, and
4 secondary endpoints were overall survival at
5 12 months and objective response rate at 6 months.
6 Other endpoints included safety and PK.

7 The short-term follow-up was at 26 weeks
8 when assessments for CNS/LM, progression-free
9 survival, and objective response rate were made.
10 Long-term follow-up was done twice a year to assess
11 overall survival and safety. Data was collected
12 from five sites in the U.S., including major
13 centers such as MSK, LA Children's, Nationwide,
14 Riley, and MD Anderson. There were also two sites
15 in Europe and one in Japan.

16 An interim analysis included data from
17 32 patients who were enrolled until October 2020.
18 Additional patients have since been recruited, and
19 based on prior FDA input, we have included
20 assessment of the 50 patients enrolled. So all the
21 data I will share with you today is based on this
22 total study population.

1 The key inclusion and exclusion criteria in
2 Trials 03-133 and 101 were quite similar. Key
3 points to highlight is that in 03-133, in addition
4 to 109 neuroblastoma patients, the trial also
5 enrolled 68 patients with other tumor types and had
6 metastasized to the CNS. Also, eligible patients
7 may have had active malignancy outside of the CNS.
8 It's important to note that the majority of
9 patients, 73 percent, have isolated CNS relapse
10 only.

11 Trial 101 enrolled patients with high-risk
12 neuroblastoma and have relapsed with CNS and
13 leptomeningeal metastases. They may have also had
14 stable systemic disease not requiring chemo or
15 immunotherapy. Key exclusion criteria was similar
16 for both trials, as shown. No restriction or
17 number of prior recurrences were enforced in these
18 two protocols, as goal was to be as inclusive as
19 possible. Prior CNS-directed radiotherapy or
20 chemotherapy must have been completed at least
21 3 weeks before study entry.

22 Shown here are baseline characteristics of

1 107 neuroblastoma patients in Trial 03-133 who
2 received the treatment dose and 50 patients in 101.
3 The patients enrolled have similar baseline
4 characteristics. Median age was 4 to 5 years with
5 an upper range of 13 and 11, respectively, and a
6 mean body weight of approximately 17 kilograms.
7 The majority of these children were male and white.

8 Here are key disease characteristics in each
9 trial. With regard to the sites of CNS/LM
10 metastases, this was assessed in Trial 03-133 at
11 the time of CNS/LM relapse and was assessed in
12 Trial 101 at treatment baseline. About half the
13 patients in 03-133, 48 percent, had unifocal
14 parenchymal lesion; 15 percent had multifocal;
15 9 percent had leptomenigeal; and 8 percent had
16 both parenchymal and leptomenigeal lesions.

17 In Trial 101, among the 40 percent of
18 patients with evaluable disease at baseline, there
19 was a fairly even distribution between parenchymal,
20 leptomenigeal, and mixed lesions. The remaining
21 60 percent of patients in 101 did not have
22 evaluable disease at baseline, as assessed by

1 independent review of MRI scans. About half the
2 patients in both trials had MYCN amplification, and
3 most patients had received prior treatment with
4 surgery, radiotherapy, and chemotherapy for their
5 CSF recurrence.

6 Here are the results of the primary overall
7 survival analysis in our pivotal trial, 03-133.
8 The survival time was calculated from the date of
9 first diagnosis of CNS/LM metastases until death or
10 until the latest date confirmed alive. At median
11 follow-up of age of 2 months, the 3-year overall
12 survival rate was 57 percent. This is a primary
13 efficacy endpoint. Median overall survival was
14 51 months.

15 These results are extremely encouraging,
16 particularly given that very few deaths occurred
17 beyond 5 years, 41 percent of patients remained
18 alive beyond 8 years, and some have survived more
19 than 14 years; something that we would not expect
20 to see in this poor prognosis population. CNS/LM
21 progression-free survival was consistent with these
22 results with a 22-month median PFS.

1 Moving now to the efficacy results in the
2 multicenter Trial 101, the primary endpoint was
3 CNS/LM progression-free survival defined as time
4 from the first omburtamab treatment to CNS/LM
5 progression, or death from any cause. At median
6 follow-up of 23 months, the 6-month CNS/LM
7 progression-free survival rate was 75 percent. And
8 here are the overall survival results. The
9 12-month overall survival rate was 79 percent.
10 Similar to the previous study, we observed a
11 consistent pattern in the PFS and overall survival
12 curves.

13 We also sought to compare the overall
14 survival outcomes across both trials. To do so, we
15 had to use time from CNS relapse as the index date
16 in both studies. You can see that the results from
17 Trial 101 in a multicenter setting are consistent
18 with and supportive of the efficacy seen in 03-133,
19 with similar overall survival rates at 12 months,
20 91 percent and 92 percent, respectively.

21 We were also able to assess objective
22 response rate in Trial 101 using RANO brain mets

1 criteria for parenchymal lesions and EANO-ESMO
2 criteria for leptomeninges lesions. Among the
3 20 patients with evaluable disease, there was a
4 total of 7 patients that showed a response and
5 7 patients with stable disease. Median response
6 duration was 143 days for all responders, with
7 about 280 days -- about 9 months -- for the
8 complete responders. Five patients had progressive
9 disease.

10 These swim lanes show the clinical course
11 for those patients designated as responders or
12 complete responders based on the type of lesion.
13 The majority of these patients had an interval of
14 4 to 15 weeks between completion of that prior
15 radiation treatment, black triangle, and the
16 baseline scan before omburtamab treatment. This
17 interval is sufficient washout time to begin seeing
18 the effect of omburtamab.

19 In addition, the majority of these patients
20 had an interval of 3 to 8 weeks between completion
21 of prior chemotherapy regimen, orange triangle, and
22 the baseline scan. This is also adequate washout

1 time to begin seeing the effect of omburtamab. The
2 duration of response improved these patients, both
3 with isolated leptomenigeal disease, and was more
4 than 2 years. This is particularly noteworthy, as
5 patients with leptomenigeal disease are much more
6 difficult to treat and tend to have a poorer
7 prognosis in general.

8 I will now show a little bit more detail in
9 one of these patients just to demonstrate the
10 single-agent effect for omburtamab at an individual
11 patient level. This patient had evidence of
12 evaluable parenchymal and leptomenigeal lesions at
13 the time of baseline scan. Radiotherapy was given
14 15 weeks prior to this baseline scan and
15 chemotherapy was given 5 weeks before the baseline
16 scan. The patient did receive systemic anti-GD2
17 monoclonal antibody as consolidation treatment for
18 systemic disease 5 months after baseline. This
19 antibody treatment does not cross the blood-brain
20 barrier, and therefore does not impact the CNS
21 lesion.

22 As you can see from the graph on the right,

1 there was a reduction in size of the tumor from
2 about 30 millimeters in sum diameter at baseline to
3 undetectable, but complete response at 26 weeks.
4 The MRI images illustrated regression of the
5 parenchymal lesion, consistent with the evidence
6 presented earlier, showing clear penetration of the
7 parenchymal lesion. The evaluation for the
8 leptomeningeal lesion at week 26 was also assessed
9 as a response, as the EANO-ESMO criterion. These
10 results strongly indicate a single-agent effect of
11 omburtamab.

12 In summary, Trial 03-133 represents the
13 largest clinical trial in this population,
14 enrolling approximately one-third of all U.S.
15 patients with neuroblastoma and CNS or
16 leptomeningeal metastases during the trial period.
17 It demonstrated a 3-year overall survival rate of
18 57 percent and a median overall survival of
19 51 months. This is for all patients in first
20 recurrence, but also second and higher recurrence,
21 patients known to have poorer prognosis.

22 Trial 101 demonstrated similar results in a

1 multicenter setting, with a one-year overall
2 survival rate of 79 percent from start of
3 omburtamab treatment. It also demonstrated
4 evidence of single-agent activity in both
5 parenchymal and leptomeningeal lesions. Some of
6 these were also long-term survivors.

7 So how do we put this data into context and
8 evaluate the benefit of omburtamab when added to
9 standard treatments? Given the ultra-rare mix of
10 the disease, using an external control arm to
11 establish the relative effectiveness is considered
12 appropriate. Therefore, to support the efficacy
13 assessment, we compared the survival data from the
14 03-133 with that of an external control arm, and my
15 colleague Dr. Christensen will now take you to that
16 analysis.

17 **Applicant Presentation - René Christensen**

18 DR. CHRISTENSEN: Thank you, Dr. Rajah.

19 I'm René dePont Christensen, head of
20 biometrics at Y-mAbs. I will show you why we at
21 Y-mAbs believe that a control group that is fit for
22 purpose has been identified. From an extensive

1 search, including discussions with Children's
2 Oncology Group, COG, we found only two comprehensive
3 repositories for patient-level data from
4 neuroblastoma patients with CNS/LM metastases in
5 existence, the German registry data from Cologne
6 and the SIOOPEN data.

7 The data from the Study Center for
8 Neuroblastoma in Cologne, Germany was determined to
9 be the most suitable to allow comparisons with
10 03-133. The German patients came from three
11 nationwide single trials in stage 4, high-risk
12 neuroblastoma studied in 1990, 1997, and the last
13 one, including patients from 2004 to 2015. These
14 trials had a coverage of 99 percent of the relevant
15 patient population.

16 A total of 1,338 patients with stage 4
17 neuroblastoma were registered in three German
18 trials. We applied the key eligibility criteria
19 from Trial 03-133 and narrowed this down to just
20 120 patients who have CNS or leptomeningeal
21 disease. The search criteria primarily selected
22 patients in first recurrence after primary systemic

1 neuroblastoma, and 93 percent of patients fulfilled
2 this criterion. To avoid the inclusion of the
3 frailest patients, we limited the external control
4 arm population to 85 patients who were treatable
5 for the CNS/LM metastases.

6 The German patients not able to receive
7 treatment demonstrably had an extremely poor
8 prognosis with a median OS of approximately
9 1 month. A subgroup of 35 patients received a
10 reasonable level of multimodal treatment defined as
11 two or more in the Berlanga paper and in
12 concordance with most patients in Trial 03-133.
13 The primary analysis was restricted to this
14 subgroup with recurrent modality group 2, or MG2,
15 including patients able to receive radiotherapy and
16 at least one other treatment modality, either
17 surgery or chemotherapy.

18 Ultimately, we directly compared the overall
19 survival of 89 patients in 03-133 with 34 patients
20 in the external control arm for whom we had
21 complete data. The analysis plan for the
22 comparison was developed through several

1 interactions with the FDA. As requested by the
2 FDA, we used propensity score methods, and we were
3 able to balance all prognostic factors available in
4 various populations.

5 What you see here are the weighted values
6 for each population. We were able to include a
7 number of important prognostic factors in the
8 model: age at neuroblastoma diagnosis and MYCN
9 amplification; time from neuroblastoma diagnosis to
10 relapse; and time from relapse to start of
11 treatment.

12 We also adjusted for differences in
13 treatment intensity the number of post-relapse
14 treatment modalities administered, as well as the
15 exposure to surgery were included. Radiotherapy is
16 given to all modalities and include patients by
17 definition, and so was chemotherapy in the weighted
18 comparison. We were not able to include all
19 relevant prognostic factors directly in the model,
20 however, we were able to assess the possible impact
21 of these.

22 Firstly, the level of complete surgical

1 resection was comparable across groups. We were
2 not able to include surgical radicality in the
3 model because we only have the direct information
4 in the German data. However, in 03-133 modality
5 group 2, 51 percent of patients had a uniform focal
6 parenchymal lesion, and these types of lesions are
7 most likely to be completely resected.

8 In the external control arm modality
9 group 2, 52 percent of patients achieved at least
10 macroscopic complete resection and 29 percent of
11 surgeries were also microscopically complete.
12 Secondly, the distribution of systemic disease was
13 similar across the three groups. Presence of
14 systemic disease or pattern of relapse was measured
15 at the time of CNS relapse in the external control
16 arm and at time of first omburtamab infusion in
17 Trial 03-133.

18 Due to differences in timing, it was not
19 technically possible to incorporate the variable in
20 the model, however, we may reasonably assume that
21 patients in 03-133 with systemic disease at time of
22 infusion likely also had systemic disease at time

1 of relapse. Given this assumption, as we can see
2 in the table, 25 percent of patients in 03-133 had
3 both CNS and systemic disease compared to
4 20 percent in the external control arm. Given this
5 similarity, we do not expect this variable to
6 dramatically change the outcome of the analysis,
7 and that has been confirmed in the sensitivity
8 analysis.

9 Thirdly, the FDA has encouraged Y-mAbs to
10 supply additional data as a consequence to look
11 into a number of recurrences. This subgroup was
12 already defined in the 03-133 protocol and reported
13 in the trial report. Ninety-one percent of the
14 external control arm patients were in first
15 recurrence, whereas only 58 percent of patients in
16 03-133 were treated at first recurrence.

17 Due to the skewness, the variable cannot be
18 incorporated in the statistical model, but we
19 looked at the subgroup of patients within MG2
20 treated at first recurrence for both Trial 03-133
21 and the external control arm, and I will show these
22 results later.

1 Finally, this table shows the treatment
2 intensity is comparable between groups within
3 modality group 2. The most marked difference is
4 the use of craniospinal irradiation, which is not
5 used in pediatric patients in Germany; but all
6 external control arm patients received CNS-directed
7 focal or whole brain radiotherapy.

8 Moreover, there's no evidence that
9 craniospinal irradiation is associated with any
10 better outcomes compared with other radiotherapy
11 modalities in the treatment of CNS/LM metastases
12 from neuroblastoma. In support of this statement,
13 no survival differences were observed in
14 Studies 03-133 and 101, favoring craniospinal
15 irradiation over other irradiation methods.

16 Based on these data, we conclude that
17 modality group 2 in both 03-133 and the external
18 control arm populations are comparable to an extent
19 that supports a comparison of overall survival. We
20 have evaluated all available sources for external
21 patient level data, and through alignment of
22 eligibility criteria and balancing the propensity

1 score methods, we have established a comparable
2 external control arm.

3 Important prognostic factors not included in
4 the propensity score model can reasonably be
5 considered to be similar between groups. Treatment
6 intensity was subject to regional differences but
7 still comparable. Regarding the number of prior
8 recurrences, presence of systemic disease as well
9 as the surgical radicality data suggests that these
10 external control arm patients are similar or may
11 even have a more favorable prognosis than the
12 03-133 population.

13 Now, I will take you through the results.
14 This is the primary results from our propensity
15 score weight comparison of the 03-133 population,
16 the green curve, and the external control arm, the
17 orange curve. This is restricted to modality
18 group 2 with aligned index dates for survival.
19 Importantly, we see a hazard ratio of 0.58 and a
20 3-year overall survival rate of 54 percent versus
21 31 percent, in favor of omburtamab.

22 The hazard ratio is highly clinically

1 meaningful, especially in this very severe disease
2 with no targeted treatment options. In the context
3 of a rare disease, the draft FDA guidance,
4 demonstrating substantial evidence of
5 effectiveness, encourages flexibility in
6 determining substantial evidence when the sample
7 size is limited. It states that a p-value higher
8 than the conventional 0.05 might be acceptable in
9 some cases, and a level of 10 percent has been used
10 in other rare indications.

11 We submitted the data to various
12 prespecified sensitivity analyses, which all showed
13 results consistent with the primary analysis. The
14 first sensitivity analysis applied imputation. We
15 also accounted for immortal time bias by pushing
16 the index date for 03-133 subjects to the date of
17 first omburtamab infusion, index date D, and the
18 magnitude of the treatment effect is maintained.
19 We also varied the population by looking at the
20 much smaller subgroup of patients who received all
21 three modalities of treatment. Of course this has
22 low statistical power, but the magnitude of the

1 effect is, again, maintained.

2 As an exploratory analysis on the
3 assumptions, we included presence of systemic
4 disease as a covariate in the model, and the result
5 remained consistent with the primary analysis; and
6 finally, we restricted the population to those only
7 treated as first recurrence in 03-133. It is
8 evident that even when subjected to a strain of
9 sensitivity analyses, the treatment effect
10 consistently points in the same direction, favoring
11 omburtamab.

12 And here, as promised, are the Kaplan-Meier
13 curves for the patients treated at first
14 recurrence. This analysis includes 50 patients
15 from 03-133 and 29 patients from the external
16 control arm, modality group 2, after propensity
17 score weighting. In addition, it compares
18 index date A in the external control arm to index
19 date D in Trial 03-133. This accounts for immortal
20 time bias.

21 The hazard ratio is 0.42 with a nominal
22 p-value of 0.007. So when limiting our study

1 population to patients in first recurrence,
2 corresponding to the selection criteria for the
3 external control arm, as well as for the SIOOPEN
4 data, the effect in favor of omburtamab is even
5 more convincing.

6 Finally, to address concerns related to era
7 of therapy, we excluded patients from German study
8 NB90, starting in 1990, enrolling patients for 1997
9 from the external control arm. Please recall that
10 the coverage of the German trials was 99 percent,
11 so these curves show the actual development in the
12 natural history of the disease in Germany.

13 We observed a substantial change in
14 treatment and maintenance of primary neuroblastoma
15 in the NB90 to the NB97 protocol, whereas the
16 changes from NB97 to NB2004 were limited. This may
17 indicate a change in care of neuroblastoma patients
18 in general in the period between the two early
19 trials. Eligibility criteria were similar between
20 NB97 and the NB2004 protocols, and there's no
21 indication of change in treatment paradigm, leading
22 to improved survival for patients in the latter

1 protocol. So there's no objective reason to
2 exclude patients from the NB97 protocol, thereby
3 jeopardizing the sample size even further.

4 As you can see, the treatment effect remains
5 significant with a hazard ratios 0.48. We conclude
6 that for patients in first occurrence, the analysis
7 is robust with respect to immortal time bias, as
8 well as a reasonable definition of era of therapy.

9 The FDA briefing document raises three major
10 concerns regarding the fit-for-purpose assessment.
11 We find these to be relevant and we can address
12 them. Treatment intensity was comparable. The
13 most marked difference was the use of craniospinal
14 irradiation in 03-133 versus focal/whole brain
15 irradiation in the external control arm. However,
16 there is no evidence to support any differences in
17 efficacy between these types of radiotherapy in
18 neuroblastoma. Also, there is every reason to
19 believe that in Germany, the single largest economy
20 in Europe, generally, CNS/LM metastases would be
21 treated until there's absolutely no options left.

22 Immortal time bias was handled proactively

1 by introducing the next index date A plus D in the
2 sensitivity analysis. You were presented with an
3 overall picture of analysis maintaining a
4 clinically highly relevant magnitude of effect also
5 when taking immortal time bias into account.

6 With regard to era of therapy, we have seen
7 that there's an indication of a shift in management
8 of patients between 1990 and 1997, but no evidence
9 to single out the start of 03-133 in 2005 as being
10 especially relevant. As shown, despite reduced
11 sample size, the analysis within the subgroup of
12 patients in first recurrence strongly favors
13 omburtamab. Importantly, this analysis is robust
14 with respect to immortal time bias, as well as era
15 of therapy.

16 In conclusion, we evaluated all available
17 sources for external patient-level data, and we
18 were able to identify a high-quality external
19 control arm that we believe is fit for purpose.
20 Prognostic factors included in the model were
21 sufficiently balanced, and those not included are
22 unlikely to materially change the outcome of the

1 analysis in a negative direction.

2 We demonstrated a highly clinically
3 meaningful improvement in overall survival with a
4 hazard ratio of 0.58. The comparison also showed
5 meaningful improvements in median overall survival
6 and a 3-year overall survival rate. All
7 sensitivity analyses showed a consistent magnitude
8 of the treatment effect favoring omburtamab.

9 For patients treated at first recurrence, we
10 demonstrated that there was a large, significant
11 robust effect of omburtamab when added to
12 conventional treatment. Even when taking immortal
13 time bias and era of therapy into account, we see a
14 hazard ratio of 0.48, which given the unmet need
15 should be considered very substantial.

16 Thank you. I will now hand it back to
17 Dr. Rajah.

18 **Applicant Presentation - Vignesh Rajah**

19 DR. RAJAH: Thank you, Dr. Christensen.

20 Now I will present a short summary of the
21 safety data for omburtamab from our two studies.
22 There were a total of 109 neuroblastoma patients

1 from Trial 03-133 and 50 patients from Trial 101
2 that were included in the safety evaluation. In
3 terms of treatment exposure, in Trial 03-133, the
4 majority of patients received 50 millicurie as a
5 therapeutic dose or the recommended dose depending
6 on age. Fifty percent of the patients received
7 2 doses and slightly less received one dose. In
8 the 101 trial, a total of 30 patients received two
9 treatment doses and 20 had one treatment dose.

10 In both studies, the most commonly reported
11 reason for patients receiving only one dose was
12 grade 3 or 4 lab abnormalities from
13 myelosuppression, and there were protocol-defined
14 criteria for a second dose. The protocols did not
15 allow for a second dose if they had persistent
16 grade 4 myelosuppression. If it was grade 3, it
17 was at the investigator's discretion.

18 Furthermore, in Trial 101, the protocol
19 allowed for a delay in the second dose for up to
20 8 weeks at the discretion of the treating
21 physician, so a higher percentage received 2 doses
22 in this trial. This mirrors more closely with what

1 could be expected in clinical practice.

2 When we look at the overview of the safety
3 profile, almost all patients had at least one
4 treatment-emergent adverse event, with most events
5 being non-serious, and the majority of clinical AEs
6 were of grade 1 or 2. The majority had grade 3 or
7 more lab reported AEs, about half the patients had
8 serious adverse events, and 10 to 14 percent of
9 patients had adverse events that led to drug
10 discontinuation as per protocol. The majority of
11 this was related to lab abnormalities for
12 myelosuppression, but the clinical impact of these
13 SAEs was minimal. In Trial 101, one patient with
14 CNS disease progression died from an intracranial
15 hemorrhage.

16 This slide shows all the grade 3 or more
17 adverse events. The commonest AEs were lab
18 abnormalities from myelosuppression, which were all
19 predictable and well-managed. It's standard
20 supported measures such as transfusion of blood
21 products. Among the clinical AEs, the notable
22 reports were those of secondary malignancies, acute

1 myeloid leukemia and myelodysplastic syndrome in
2 03-133 and intracranial hemorrhage in Trial 101.
3 When we look at the serious adverse events, the
4 most common was thrombocytopenia and neutropenia.
5 Despite this in both trials, there were only two
6 reported case of febrile neutropenia and one
7 reported case of sepsis out of a total of
8 159 patients.

9 As noted previously, there were 3 patients
10 with myelodysplastic syndrome and two with AML.
11 There was also one recent case of papillary thyroid
12 cancer in the 101 study. It was not possible to
13 make a direct causal link to omburtamab because
14 these hematological malignancies are known risks in
15 patients who have been heavily pretreated with
16 prior radiotherapy or chemotherapy. Even if
17 identified as a potential risk, it is generally
18 accepted that the risk of secondary malignancy is
19 far lower than the risk of CNS disease progression.

20 In the 101 trial, there were 4 patients with
21 intracranial hemorrhage. In all four cases, CNS
22 disease progression was observed. One case

1 resulted in death. It is well documented that
2 intracranial hemorrhage is a recognized
3 manifestation of CNS disease progression in
4 neuroblastoma, which can be fast growing and
5 hemorrhagic, even with normal platelets.

6 So in summary, the most common AEs were
7 related to lab value defined myelosuppression.
8 Grade 3 and 4 AEs were very manageable with
9 standard supportive measures. And in conclusion,
10 in the context of this serious disease, the safety
11 profile of omburtamab is considered acceptable, and
12 the overall data supports a favorable benefit-risk
13 balance.

14 Thank you for attention, and I'll hand it
15 over to Dr. Morgenstern to provide his clinical
16 perspective.

17 **Applicant Presentation - Daniel Morgenstern**

18 DR. MORGENSTERN: Thank you, Dr. Rajah.

19 My name is Daniel Morgenstern. I'm a staff
20 pediatric oncologist and co-leader of the
21 neuroblastoma program at the Hospital for Sick
22 Children and an associate professor at the

1 University of Toronto. In terms of my disclosures,
2 I provide a consultancy to Y-mAbs Therapeutics, as
3 well as to EUSA Pharma; Clarity Pharmaceuticals;
4 AG Bayer [indiscernible], and Oncoheroes
5 Biosciences, and I want to provide some clinical
6 thoughts on the data you've seen today.

7 First, I think it's important to appreciate
8 that CNS neuroblastoma represents a very rare
9 disease, so obtaining data on these patients
10 presents many challenges. What's clear to me, as
11 you saw earlier based on the published data from
12 SIOPEN, is that patients with first CNS recurrence
13 of neuroblastoma typically have a very poor
14 prognosis, even with multimodality therapy. Those
15 with the best prognosis, who receive two or more
16 treatment modalities, had a 3-year survival rate of
17 only 21 percent. And if we compare that to the
18 German registry data restricted to modality group 2
19 at first recurrence, with a similar intensity of
20 treatment, the outcomes are broadly comparable,
21 with a 3-year overall survival rate of 27 percent.

22 Clearly, these are still inadequate outcomes

1 despite surgery, chemotherapy, and radiotherapy,
2 and it's important to also remember that patients
3 with CNS neuroblastoma are typically excluded from
4 studies of novel agents. Therefore, there is a
5 clear need for a targeted, CNS-directed therapy.

6 When we compare these historical results to
7 that which was observed in Trial 03-133 in a
8 similar cohort of patients of first recurrence, the
9 data suggested the addition of targeted
10 radioimmunotherapy with omburtamab provides
11 meaningful clinical benefit.

12 One could ask if the data from 03-133, which
13 was a single-institution study conducted at MSK,
14 are generalizable or if there might be some
15 potential selection bias in the patient population
16 that was enrolled. And here I think the data from
17 Trial 101, a multicenter study conducted at five
18 U.S. sites, including MSK, as well as three sites
19 outside the U.S., are helpful. Of the 50 patients
20 treated on Trial 101, 26 were enrolled at sites
21 other than MSK, and as you already saw in the
22 presentation, the overall survival of patients

1 enrolled on 03-133, on the left, is very comparable
2 to that observed in Trial 101, on the right.

3 When we look at the comparison of 03-133 to
4 the external control arm, you can see an
5 improvement in overall survival with a hazard ratio
6 of 0.58 and a p-value of 0.0544. I think the
7 median survival of 4 years is also quite striking.
8 It's notable that the overall survival hazard ratio
9 was more dramatic when the comparative analysis was
10 restricted to patients treated at first recurrence.

11 With regard to Trial 101, it further
12 supports the findings from 03-133 and demonstrated
13 quite consistent overall survival in a multicenter
14 setting. Trial 101 also provides some objective
15 response data. Now, these data can be somewhat
16 challenging to interpret because of the small
17 patient numbers and because patients had received
18 surgery, radiotherapy, and other modalities prior
19 to omburtamab, but the gap between radiotherapy and
20 omburtamab administration was often several months,
21 including for patients achieving an objective
22 response. So I think it's unlikely the delayed

1 effect of external beam radiotherapy would have
2 contributed to the observed responses.

3 Finally, with regards to safety, the adverse
4 events, which are mainly myelosuppression, are
5 predictable and manageable, so in my mind, the
6 overall evidence does support a positive
7 benefit-risk.

8 I think it's also reasonable to ask
9 questions about whether it might be possible to
10 obtain additional data, and obviously it would be
11 lovely to imagine that we could undertake a
12 randomized-controlled trial to definitively confirm
13 the benefit of omburtamab when added to other
14 therapies; but as we've heard, I think that this is
15 clearly infeasible given the rarity of this disease
16 and the length of time required to accrue enough
17 patients. In addition, it will be practically
18 challenging at this point to randomize patients to
19 an arm that did not contain omburtamab.

20 We could also ask if there's a better
21 comparison data set available, and here probably
22 the biggest challenge is identifying patients with

1 true CNS relapse. Most existing trial databases
2 for outcome studies, including those conducted by
3 the Children's Oncology Group, COG, don't collect
4 details on sites of relapse. In addition,
5 interrogation of the SIOOPEN database identified
6 only 53 patients with confirmed CNS relapse from
7 over 1100 patients with recurrent disease, a
8 smaller cohort than that which was available from
9 the German registry.

10 So in summary, there is no CNS-directed
11 therapy approved for CNS neuroblastoma. I think
12 the totality of evidence from 03-133, the
13 comparison with the external control arm, and the
14 supportive data from the multicenter trial support
15 the efficacy of omburtamab for CNS neuroblastoma in
16 the context of multimodal therapy. It's not
17 feasible to conduct a randomized trial, and there
18 are no suitable additional external data sources.
19 So ultimately, we have to make a judgment based on
20 the best available data rather than some
21 theoretical ideal.

22 Importantly, the toxicity is manageable, and

1 omburtamab can be safely administered. And
2 therefore, on balance, I believe the benefit of
3 omburtamab in patients with CNS neuroblastoma
4 outweighs the risk, and omburtamab should be made
5 available as an additional treatment option for
6 clinicians to use treating their patients with CNS
7 neuroblastoma.

8 Thank you for your attention, and I'll now
9 hand it back to Dr. Rajah.

10 DR. RAJAH: Thank you, Dr. Morgenstern.

11 In conclusion, the studies we have presented
12 today for omburtamab considered the only
13 prospective interventional data with CNS/LM disease
14 from neuroblastoma and with more than 14 years of
15 follow-up in the 03-133.

16 Omburtamab has shown a compelling and a
17 clinically meaningful efficacy with an acceptable
18 and very manageable safety profile. In the context
19 of this very rare and life-threatening disease, we
20 believe it is entirely appropriate, as per the
21 FDA's own guidance, to apply a degree of
22 flexibility in the evidence being evaluated and in

1 determining efficacy, based on the overall weight
2 of evidence presented. Thank you for your
3 attention.

4 DR. LIEU: Thank you very much.

5 We will proceed with the FDA presentation,
6 but before we do that, I just wanted to give
7 Dr. Kolb another opportunity to introduce himself
8 and say his name into the record.

9 DR. KOLB: Yes. Hi. This is Andy Kolb.
10 I'm a pediatric oncologist at Nemours Children's
11 Health, and I apologize for the technical
12 difficulties early on.

13 DR. LIEU: No worries at all. Thank you so
14 much, Dr. Kolb.

15 We will now proceed with the FDA
16 presentation.

17 **FDA Presentation - Gautam Mehta**

18 DR. MEHTA: Thank you, Dr. Lieu.

19 Good morning. I'm Gautam Mehta, a
20 neurosurgeon at the FDA. The application for
21 iodine-131 omburtamab in patients with
22 neuroblastoma and CNS or leptomeningeal metastases

1 was submitted by Y-mAbs Therapeutics, which I will
2 hereby refer to as the applicant. This slide lists
3 the members of the FDA multidisciplinary review
4 team, and my presentation reflects our collective
5 input.

6 The applicant's proposed indication is for
7 the treatment of central nervous system or
8 leptomeningeal metastases in pediatric patients
9 with neuroblastoma following standard multimodality
10 treatment for CNS disease. The product is given
11 through intraventricular infusions spaced 4 weeks
12 apart. The proposed approval pathway is through
13 traditional approval based on a primary endpoint of
14 overall survival.

15 In my presentation, I will first present a
16 summary of the design of Study 03-133 and the use
17 of an external control as a comparator. We will
18 discuss FDA's major efficacy issues, which include
19 critical differences in the trial and external
20 control populations; issues with the reliability of
21 comparisons of survival; and the lack of supportive
22 response rate data from Study 101. We will also

1 briefly discuss key safety considerations for the
2 use of omburtamab in patients with neuroblastoma
3 and CNS or leptomeningeal metastases.

4 Before we begin, we would like to reiterate
5 a point brought up in Dr. Barone's presentation.
6 Omburtamab is delivered by an Ommaya reservoir, or
7 shunt, to reach the intraventricular and CSF space
8 within the brain. Despite its intended use, there
9 is limited mechanistic possibility with
10 intraventricular therapy using omburtamab to treat
11 CNS parenchymal metastases.

12 The applicant's briefing document describes
13 that omburtamab will reach and target B7-H3
14 expressing tumors in the entire CSF compartment.
15 However, more than 70 percent of patients in each
16 trial with known tumor locations experience relapse
17 that included CNS parenchymal metastases. These
18 are not part of the CSF compartment.

19 It has been well established through several
20 decades of preclinical and drug delivery research
21 that intraventricular or intrathecal administration
22 of drugs into the CSF results in only limited brain

1 penetration. To date, the applicant has yet to
2 provide robust, nonclinical, or PET evidence to
3 support that this therapy indeed reaches its
4 intended target within the CNS parenchyma. For
5 example, in regard to the single PET image we just
6 saw in their presentation, the applicant has not
7 provided FDA with contrast enhanced imaging to
8 determine whether the uptake we see in the PET
9 image corresponds with a tumor in the parenchyma
10 rather than a tumor in the ventricle or the CSF
11 space.

12 With that context, and as we begin to
13 discuss the data submitted to the current
14 application, I want to provide a bit of background
15 on the regulatory requirements for approval. To
16 qualify for traditional approval, evidence of
17 effectiveness for an application can either be
18 supported by two adequate and well-controlled
19 trials or one adequate and well-controlled clinical
20 trial with confirmatory evidence. This application
21 aims to fulfill the latter requirement, with one
22 trial supported by confirmatory evidence.

1 As we heard from the applicant, the primary
2 evidence of effectiveness for omburtamab was
3 derived from a single clinical trial, Study 03-133,
4 a single arm, single-center trial with a primary
5 endpoint of overall survival. This was initially
6 an investigator sponsored trial without
7 registrational intent. Of note, tumor responses
8 were not systematically addressed in this trial.

9 The initial results of this trial were
10 submitted to FDA in 2017 in support of a
11 breakthrough therapy designation request, based on
12 a comparison with an analysis of the literature
13 review. This preliminary comparison suggested a
14 large treatment effect for omburtamab in this
15 population, and the available literature at the
16 time suggested that treatment outcomes had not
17 improved over several decades.

18 Based on this information, we decided to
19 grant breakthrough therapy designation, however,
20 the threshold for granting a breakthrough therapy
21 designation is very different from the regulatory
22 requirement for approval of the drug. Breakthrough

1 therapy only requires preliminary clinical evidence
2 that a drug may provide a substantial improvement
3 over available therapy. To support an approval, we
4 require substantial evidence from an adequate and
5 well-controlled trial, which I will discuss later
6 today; and this is important because as we heard
7 from Dr. Barone, time-to-event endpoints are
8 generally not interpretable in the context of the
9 single-arm trial.

10 To address this and provide context for
11 interpretation of overall survival data from this
12 single-arm study, the applicant proposed use of an
13 externally controlled trial. Factors that
14 supported the use of an externally controlled trial
15 design included the lack of a clear available
16 therapy as a control and the high unmet medical
17 need in this population.

18 The applicant identified the Central German
19 Childhood Cancer Registry as a large potentially
20 suitable known data source with patient-level data
21 documenting the outcomes of children with
22 neuroblastoma and CNS relapse. This included over

1 95 percent of children diagnosed with cancer in
2 Germany between the years 1990 and 2015. Patients
3 were followed until they were 18 years old, and
4 this data set included 800 patients with stage 4
5 neuroblastoma. Among this cohort, 120 patients
6 experienced a CNS relapse and were included as the
7 source population for the external control.

8 To assess the marketing application for a
9 drug product, including one based on an external
10 control, FDA requires several conditions to be met.
11 As we discussed earlier, the application must
12 include the results of one or more adequate and
13 well-controlled trials. The results of such
14 adequate and well-controlled trials, or
15 comparisons, must then demonstrate substantial
16 evidence of effectiveness. Once effectiveness has
17 been established, applicants have to show that a
18 drug product is safe, and we use this information
19 to perform a comprehensive benefit-risk assessment.

20 For this application, we will show that
21 there are multiple layers of uncertainty that raise
22 significant doubt regarding whether treatment with

1 omburtamab improves survival in patients with
2 neuroblastoma and CNS or leptomeningeal metastases,
3 and whether the available response rate data are
4 reliable to support claims of effectiveness.

5 So far, you've heard the applicant's
6 approach to addressing our initial concerns,
7 however, FDA has the following ongoing major
8 efficacy review issues. First, because of
9 clinically important differences in the trial and
10 external control populations, we are limited in our
11 ability to interpret their comparison.

12 Second, we will show through multiple
13 sensitivity analyses that the comparisons of
14 survival in this case are not reliable due to
15 substantial bias and small sample size. Finally,
16 we've identified serious issues regarding the
17 response rate data that limit their ability to
18 verify anti-tumor activity.

19 The combination of these issues suggests
20 that differences in survival observed between the
21 two populations may be due to significant
22 differences between these populations themselves

1 and may not be attributable to omburtamab. We'll
2 focus on this first point for now, on the
3 comparability of the trial and external control
4 populations.

5 Looking at the regulations, a trial using an
6 external control can be considered adequate and
7 well controlled under certain circumstances.
8 However, a fundamental requirement is that the
9 control group be designed appropriately to
10 represent a comparable set of patients or
11 populations.

12 Before we discuss the data and its
13 comparability, you'll notice that the numbers in
14 our efficacy analyses differ from those presented
15 by the applicant. The comparative analyses
16 presented by both sides today are post hoc,
17 retrospective analyses, and there can be several
18 ways to approach this comparison. Regardless, it's
19 essential that we focus the efficacy analyses to
20 specifically include patients treated at the
21 proposed recommended dose.

22 Prior to the submission of the BLA, we had

1 advised the applicant that our evaluation of
2 efficacy would be limited to these patients.
3 Additionally, we've included patients without
4 missing data or specifically complete cases because
5 these are the patients that the comparative
6 analyses are based on.

7 Finally, given the many uncertainties
8 introduced by use of an external control in the
9 non-randomized nature of this comparison,
10 throughout the course of our interactions with the
11 applicant, we advised that multiple sensitivity
12 analyses would need to be conducted as part of our
13 global assessment of this application. In other
14 words, we would not rely on any single analysis,
15 and the results of analyses attempting to adjust
16 for identified sources of bias would need to
17 consistently support a causal role for omburtamab
18 on any improvement in survival in order to support
19 an approval. Using these multiple analyses, we've
20 taken a step-wise, scientifically based approach to
21 understanding this comparison that I will present
22 to you today.

1 Now looking at the data, when we compare
2 patients in the current trial who received the
3 proposed recommended dose of omburtamab and
4 patients in the registry, it appears that key
5 baseline covariates of age, MYCN amplification, and
6 the time to CNS relapse are very similar, however,
7 there was a large imbalance in the number of
8 patients who received post-CNS relapse therapy
9 other than omburtamab. All trial patients received
10 at least one modality of therapy compared to only
11 two-thirds of patients in the registry, meaning
12 that in the registry, over a third of patients did
13 not receive any conventional therapy for the CNS
14 relapse at all.

15 As we heard from the applicant, to improve
16 the comparability of the analysis, the trial and
17 external control populations were further limited
18 to patients who received radiation therapy, as well
19 as at least one other modality of therapy. This
20 described the majority of patients in the trial
21 with no missing data, the 77 patients, and only
22 34 patients in the external control. These

1 populations of 77 and 34 patients represent the
2 primary analysis populations for this application.

3 To better understand differences and prior
4 treatments in these two populations, we can look at
5 the treatment protocol that was generally applied
6 to the patients in Study 03-133 after diagnosis of
7 CNS relapse but before receiving omburtamab.

8 Patients would first undergo maximal resection if
9 possible, followed by irinotecan, then craniospinal
10 irradiation, and finally chemotherapy consisting of
11 irinotecan and temozolomide. After multimodality
12 treatment was completed, patients would have an
13 Ommaya reservoir placed and only then receive
14 omburtamab.

15 If we focus in on radiation therapy, there's
16 a clear imbalance in the timing and type of
17 post-CNS relapse treatments received. We already
18 saw that nearly all patients in the trial received
19 CNS-directed radiation therapy compared to just a
20 fraction of the external control. But even when we
21 limit our analyses to patients who did receive
22 radiation therapy in the external control, there

1 are still differences.

2 For example, the median time from relapse to
3 first radiation therapy was over 3 times longer in
4 the external control population. Perhaps most
5 importantly, almost all patients in the trial
6 population received craniospinal irradiation. We
7 do not have details on the type and dose of
8 radiation therapy received in the external control,
9 but we do know that no patient in the CGCCR
10 registry received craniospinal irradiation.

11 No randomized studies to date have
12 demonstrated the utility of craniospinal
13 irradiation in this population, however, a handful
14 of studies, including one from the primary study
15 site, Memorial Sloan Kettering, have suggested that
16 this type of radiation may increase the chances of
17 long-term survival. Based on these studies, there
18 remains a concern that the type of radiation
19 therapy may have affected survival outcomes in
20 Study 03-133 and the external control.

21 We also observed an imbalance in the
22 frequency and type of post-CNS relapse

1 chemotherapy received. Again, almost all trial
2 participants received chemotherapy compared to
3 88 percent in the highly selected primary analysis
4 subgroup of the external control. In the trial,
5 most patients received a regimen including
6 temozolomide and irinotecan. In the external
7 control, most patients received topotecan and
8 etoposide-containing regimens, and no patients in
9 the primary analysis received either temozolomide
10 or irinotecan.

11 Again, no data exists formally comparing
12 these chemotherapy regimens in patients with CNS or
13 leptomeningeal neuroblastoma. We do know, however,
14 that both temozolomide and irinotecan are active in
15 CNS tumors and have frequently been used as
16 chemotherapy backbones for experimental trials in
17 relapse neuroblastoma.

18 Finally, we do not even know the full extent
19 of treatment intensity received for CNS relapse by
20 patients in Study 03-133. For example,
21 post-omburtamab therapies were not systematically
22 recorded in this trial. In fact, in the more

1 recent Study 101, which did systematically capture
2 such therapies, 68 percent of patients received
3 some post-omburtamab therapy for neuroblastoma.
4 Altogether, there's likely a large unmeasured
5 imbalance in overall treatment intensity in the
6 trial and external control populations.

7 FDA has major concerns that the proposed
8 external control population is not fit for the
9 purpose of comparison to Study 03-133. There are
10 fundamental known differences between these
11 populations, such as the type of radiation therapy
12 or chemotherapy received, and although we do not
13 have robust data to understand how these different
14 non-omburtamab therapies affect outcomes, these
15 differences alone could be responsible for any
16 difference in survival reported by the applicant.

17 Due to the non-randomized nature of
18 Study 03-133, there may also be unknown differences
19 between populations. For example, we do not know
20 if there are any factors particular to the single
21 center or the fitness of patients to travel to
22 Memorial Sloan Kettering that affected how patients

1 were selected for this trial. Additionally, since
2 patients had to undergo pretreatment and Ommaya
3 reservoir placement, patients for the
4 single-center, single-arm trial were likely to be
5 healthier than the general patient population with
6 CNS relapse for which this drug is intended.

7 There are other possible differences that we
8 cannot fully characterize such as overall treatment
9 intensity for CNS relapse, differences in
10 anti-cancer and supportive care in the U.S.
11 compared to Germany, and the type of radiation
12 therapy received in the external control. Overall,
13 these issues firmly undermine our ability to
14 attribute any comparative treatment effect to
15 omburtamab.

16 So we've just outlined some important
17 differences which call into question whether the
18 external control data are fit for the purpose of
19 comparison to Study 03-133. However, given the
20 unmet need in this rare disease space, where
21 regulatory flexibility is appropriate, we attempted
22 to see how the known biases in this trial might be

1 addressed by sensitivity analyses.

2 Our approach started with identifying major
3 sources of bias and later controlling for these
4 factors. The source of the bias we will discuss
5 today include population selection, differences in
6 the study time periods between the two arms, and
7 index date selection. Again, as I've previously
8 described, these are only some aspects of bias
9 encountered in this comparison.

10 Population selection was an important factor
11 in the applicant's analysis and can have an effect
12 on overall survival. As described earlier, when we
13 looked at the overall source populations, it was a
14 clear imbalance in the therapies received in the
15 trial and external control populations.

16 The applicant constructed modality groupings
17 to attempt to control for these differences.
18 Again, group 1 is patients who received at least
19 one post-relapse therapy, including surgery,
20 chemotherapy, or radiation therapy. Group 2 is
21 that primary analysis population with patients who
22 received post-relapse radiation therapy and at

1 least one other modality of therapy. And finally,
2 group 3 is patients who received radiation therapy,
3 surgery, and chemotherapy. This last population,
4 group 3, is likely the most similar to Study 03-133
5 because the majority of patients in the trial
6 received all three modalities of treatment.

7 When we looked at survival in the external
8 control across these subgroups, not surprisingly
9 patients who received more modalities of treatment
10 survived longer, with a median OS of over 16 months
11 for group 2 and a median OS of nearly 30 months for
12 group 3. With these additional treatments, it
13 becomes more challenging to attribute survival to
14 omburtamab, and it also means that as the control
15 population became more like the trial population,
16 with more therapies received, patients in the
17 control survived longer.

18 Overall, the choice of modality group 2 for
19 the primary comparison was driven by the practical
20 considerations of balancing the similarity of
21 treatments received and sample size concerns that
22 would arise if instead we chose the more similar

1 group 3.

2 Another potential source of bias that we
3 focused on was the effect of treatment era on
4 survival. The trial and external control
5 populations were not contemporaneous. The first
6 diagnosis of CNS relapse in Study 03-133 was in
7 September of 2005. To address sample size issues,
8 and because we did not know if treatment outcomes
9 had improved over time, we encouraged the applicant
10 to include outcomes from CGCCR patients dating back
11 to the start of the registry in 1990.

12 As you can see, half the patients in the
13 primary analysis population of the external control
14 were diagnosed with CNS relapse before Study 03-133
15 even started. Again, we looked at survival in the
16 external control arm based on these subgroups, and
17 we found that survival varied greatly depending on
18 the treatment era, with control patients in the era
19 of contemporaneous of the trial that September 2005
20 and onwards, surviving a median of over 31 months
21 from diagnosis, over 20 months longer than patients
22 diagnosed in a previous era before the trial. This

1 suggests that patients who are diagnosed in a
2 contemporaneous era may be better matched to the
3 patients in Study 03-133.

4 Another source of bias is the choice of
5 index date or where to anchor the start of survival
6 analyses. In an externally controlled trial, this
7 can be complex and can affect how we interpret
8 survival. In a randomized trial, we generally
9 measure survival starting from the date of
10 randomization. Survival is then measured until the
11 patient dies or the time they were last known to be
12 alive.

13 In an externally controlled trial, this is
14 more complicated because no data for randomization
15 exists. An equivalent trial start date may not
16 exist in each arm. In the experimental arm, in
17 this bottom figure, we're interested in measuring
18 the solid blue area, the survival time from the
19 receipt of experimental therapy to the time of
20 death, or the time the patient is last known to be
21 alive. In the external control, there may not be
22 an equivalent start date of experimental therapy

1 available, so another index date must be chosen as
2 a start for survival analyses, which could either
3 be the date of diagnosis or the date of last
4 therapy.

5 In the current study, the applicant has
6 proposed the date of last type of therapy received
7 as the index date in both groups, and this is a
8 variation on the red arrows on the bottom figure.
9 Importantly, in the trial arm, this comes before
10 receipt of omburtamab, and this has important
11 implications for how survival is measured, which
12 favors survival in patients from Study 03-133 over
13 the external control.

14 This choice of index date, the date of last
15 type of treatment received, creates bias because
16 patients in the trial must have survived from this
17 index date to the start of omburtamab treatment,
18 this blue striped area, to receive the study drug.
19 Essentially, on the study, a death cannot have
20 occurred during the striped period, which is a
21 median of 3.1 months on the trial.

22 Looking at the external control, 18 percent

1 of patients died within the stripe time, or
2 3.1 months after the applicant's proposed index
3 date, meaning even if they were eligible to receive
4 omburtamab on the trial, almost a fifth of patients
5 in the external control may not have even survived
6 to the start of omburtamab treatment. This creates
7 an unfair comparison that is biased towards longer
8 survival in the treatment group for patients who
9 received omburtamab.

10 In summary, we found that each of these
11 factors could strongly affect interpretation of
12 survival. For population selection, external
13 control patients who received more treatments were
14 more similar to those in Study 03-133 and also live
15 longer. Additionally, external control patients
16 diagnosed in the era contemporaneous with
17 Study 03-133 live longer than patients diagnosed
18 before Study 03-133 began.

19 Finally, use of the applicant's proposed
20 index date for the survival analyses, the time of
21 last type of post-CNS relapse treatment received,
22 favored survival in Study 03-133 due to the choice

1 of index date. These issues are highly relevant to
2 the analysis of external control data as major
3 sources of bias. However, there may be additional
4 biases and potential confounding that may be
5 present in the study, leading to further inability
6 to clearly observe the effect of omburtamab.

7 Having identified these major sources of
8 bias, we used several approaches to mitigating
9 differences in the populations, including some that
10 the applicant presented earlier, to gain better
11 clarity. The applicant's primary analysis adjusts
12 for only some concern that was associated with
13 selection bias using two methods: the restriction
14 of the analysis population to modality group 2 or
15 patients who received radiation therapy and one
16 other modality of therapy and propensity score
17 based weighting.

18 This approach improves the comparability of
19 the analyses populations with respect to receipt of
20 prior therapies and by balancing the observed
21 distributions of measured patient characteristics
22 across groups, respectively. However, as noted in

1 the previous slides, FDA's analysis of Study 03-133
2 and external control populations indicated that
3 there were several other sources of bias.
4 Therefore, FDA's approach to the statistical
5 analyses included additional techniques to adjust
6 for these observed differences across populations.

7 To address bias introduced by differences in
8 study time periods, we limited the comparison to
9 contemporaneous patients. This additional
10 sensitivity analysis was not performed by the
11 applicant. Additionally, for the survival
12 analyses, we address the impact of index date
13 selection by using the proposed index date for the
14 control. Again, that's the date of last post-CNS
15 relapse treatment modality received and the start
16 of omburtamab treatment in the trial population.
17 This index date approach was included among
18 sensitivity analyses performed by the applicant but
19 was not conducted in a contemporaneous subgroup.

20 But this slide presents the applicant's
21 primary analysis but limited to patients treated at
22 the proposed recommended dose. This primary

1 analysis includes only patients in modality
2 group 2. Limiting to those patients with radiation
3 therapy plus at least one other modality of therapy
4 makes the populations more comparable, however,
5 it's important to recognize that this adjusts for
6 only some aspects of selection bias, and we know
7 that there are other major prognostic differences
8 across populations, including treatment era.

9 We can increase the similarity of the two
10 populations by controlling for treatment era when
11 considering patients with CNS relapse in the same
12 era as those in Study 03-133. Thus, 2005 to the
13 present, the Kaplan-Meier curves cross and the
14 hazard ratio is now 0.9 with a wide confidence
15 interval extending over 2. Here, the observed
16 difference in survival is reduced, however, the
17 sample size is now extremely small, with only
18 17 patients in the control arm.

19 In an additional sensitivity analysis,
20 starting with this more comparable contemporaneous
21 population, we can limit the impact of the choice
22 of index date by calculating survival time from the

1 initiation of omburtamab treatment in that trial
2 population. Again, this analysis uses modality
3 group 2, a contemporaneous subgroup, and the start
4 of omburtamab treatment for the start of survival
5 analyses in the trial arm. Here, the Kaplan-Meier
6 curves continue to come closer together with the
7 hazard ratio now above 1 and a similarly wide
8 confidence interval. In this case, we cannot
9 actually rule out that omburtamab has no effect on
10 survival. Again, the sample size here remains very
11 small because the external control source
12 population was also quite small.

13 Finally, it is important to recognize that
14 the results presented in these last three slides
15 may still be subject to additional bias and
16 confounding, resulting in imbalance comparisons to
17 survival since we know that patients in
18 Study 03-133 received more intensive,
19 non-omburtamab treatments for CNS relapse than
20 patients in the external control.

21 In summary, there are several factors that
22 lead us to conclude that survival analyses from

1 this externally controlled trial are unable to
2 establish a treatment effect for omburtamab. The
3 survival analyses were limited by major biases
4 closely related to that fact that the original
5 source data were not fit for purpose. And when we
6 adjust the analyses to create more similar
7 populations, this results in very small sample size
8 and greater uncertainty regarding estimation of
9 treatment effect, although it is still clear that
10 there are diminishing differences in overall
11 survival as the treatment and control populations
12 become more similar.

13 We performed several other analyses not
14 presented today which also supported that the
15 observed survival difference was not robust when
16 adjusting for bias or model assumptions. Perhaps
17 most importantly, we still cannot control for
18 important baseline prognostic factors such as less
19 intensive radiation therapy received and overall
20 less intensive CNS relapse treatment in the
21 control, which fundamentally undermines any
22 scientific attempts at comparison.

1 You may remember the applicant presented
2 forest plots showing multiple individual
3 sensitivity analyses to address specific concerns
4 of bias, one by one. However, these biases occur
5 simultaneously and are not isolated concerns in
6 data from real-world patients. This is why we
7 believe the most scientifically accurate approach
8 is a step-wise method that compounds statistical
9 approaches to more rigorously address multiple
10 sources of bias together and is reflected in the
11 comparisons that we have presented today.

12 Finally, as Dr. Barone discussed, we must
13 remember that these analyses, as well as the
14 applicant's, are post hoc. Depending on varying
15 assumptions and approaches, one can drive
16 strikingly different conclusions from the same data
17 when conducting retrospective analyses. Overall,
18 the results of these retrospective sensitivity
19 analyses highlight substantial uncertainties in
20 determining that any difference in survival between
21 the two populations is a causal effect of
22 omburtamab.

1 Our last major issue is the lack of
2 supportive response rate data from Study 101 to
3 verify anti-tumor activity. Because of the
4 limitation from the survival data from
5 Study 03-133, tumor response data from Study 101
6 were critical to support efficacy in the setting.
7 As Dr. Barone explained, overall response rate is a
8 unique endpoint in oncology that can be interpreted
9 in a single-arm study, as the natural history of
10 such tumors is not to regress on their own. Again,
11 this is very different from overall survival, which
12 we just saw can be influenced by many factors.

13 Unfortunately, as I will describe in detail,
14 fundamental issues in baseline and response
15 assessment limited our ability to confirm that
16 omburtamab has anti-tumor activity in neuroblastoma
17 with CNS or leptomeningeal relapse. Looking back
18 at our regulatory framework, a single adequate or
19 well-controlled trial needs to be supported by
20 confirmatory evidence; and as we heard from the
21 applicant, in this case we're relying on supportive
22 data from Study 101.

1 Study 101 was designed specifically to
2 provide such supportive data in the form of overall
3 response rate. Again, this was a single-arm trial,
4 however, unlike 03-133, it was multicenter. Tumor
5 responses were measured at 5, 10, and 26 weeks by
6 imaging and were assessed by blinded independent
7 central review. RANO brain metastases criteria
8 were used to assess parenchymal lesions, and EANO
9 and ESMO guidelines were used to assess
10 leptomeningeal disease.

11 To provide context, again, it's important to
12 recall that this therapy was studied in the setting
13 of a multimodality recommended regimen that
14 included surgery, chemotherapy, radiation therapy,
15 chemotherapy again, and then omburtamab. Unlike in
16 Study 03-133, in Study 101, this was protocol
17 specified.

18 Given this heavy pretreatment, it is not
19 surprising that the majority of patients had
20 minimal or no CNS leptomeningeal disease at
21 baseline. Ninety-eight percent of patients were
22 CSF cytology negative and 60 percent had no

1 evidence of disease per blinded independent central
2 review. This left just 20 patients with any CNS or
3 leptomeningeal disease on imaging at baseline.

4 Per blinded review, there were seven
5 responses in this group of which four were
6 confirmed, and I'll describe later why confirmation
7 of response is important. However, in reviewing
8 the clinical data and the imaging responses, there
9 are critical limitations with each one of these
10 responses.

11 First, there were fundamental issues in
12 baseline assessment. All reported responders with
13 leptomeningeal metastases had negative CSF cytology
14 at baseline. Additionally, clinical signs and
15 symptoms were not incorporated into the disease
16 assessment. This is important because per EANO and
17 ESMO guidelines, without positive cytology and
18 clinical data, none of these patients who qualify
19 as having confirmed or even probable leptomeningeal
20 disease at baseline. In fact, these patients can
21 only be classified as having possible diagnoses of
22 leptomeningeal disease at baseline.

1 Additionally, there are issues with washout
2 of prior therapies or inadequate time from prior
3 therapies to the baseline scan. Half of the
4 confirmed responders received radiation therapy or
5 chemotherapy within 30 days of their baseline scan.
6 This limited washout of prior therapies creates
7 uncertainty regarding attributing any contribution
8 of effect to omburtamab in these cases.

9 We saw a prime example of this issue of
10 contribution effect just now in the applicant's
11 presentation. In this single example they chose to
12 highlight, they showed us MRIs from the patient
13 with a complete response, and it occurred only
14 after the patient received systemic therapy that
15 was subsequent to the receipt of omburtamab. In
16 that case, given the timing of treatment, it's
17 impossible to cleanly attribute the response to
18 omburtamab.

19 Furthermore, there were issues in response
20 assessment as well. Only 4 patients had confirmed
21 responses. RANO brain metastases criteria, which
22 were reviewed by the applicant, require

1 confirmation of partial response and complete
2 response in non-randomized trials to ensure that
3 these responses are not due to measurement error,
4 and this is consistent with RECIST and other
5 well-accepted response criteria as well.

6 This was another issue with the case the
7 applicant presented in their slides, which had no
8 confirmation of response. In further limiting this
9 confirmation of response issue in the trial, most
10 of the reported confirmed responders received
11 systemic therapy between their initial response and
12 the scan demonstrating confirmation. As
13 clinicians, this is concerning because it limits
14 our ability to attribute confirmation response to
15 the effects of omburtamab with now additional
16 concerns for measurement error and the limitation,
17 that even if a response is real, it may not be
18 durable.

19 Finally, leptomeningeal disease and recently
20 treated CNS parenchymal disease can be challenging
21 to measure precisely. This was borne out in
22 Study 101, as there was disagreement between

1 primary reviewers in all seven reported responses,
2 requiring adjudication, and this next point is
3 particularly concerning. In most of these cases,
4 the second reviewer actually recorded no evidence
5 of disease at baseline. This lack of agreement
6 raises further concern for measurement error in
7 these cases.

8 To summarize, measurement of tumor responses
9 in this trial was challenged by issues in both
10 baseline and response assessment, providing no
11 reliable evidence to support anti-tumor activity in
12 this setting. There was inadequate diagnosis of
13 leptomeningeal disease. Concomitant therapies
14 created uncertainty in determining the contribution
15 of effect of omburtamab. There was a lack of true
16 confirmed responses, and there were serious
17 concerns for measurement errors.

18 This left no unequivocal tumor response in
19 Study 101. And even if one or two of these
20 responses were, in fact, real, this limited overall
21 response rate would be insufficient to support
22 efficacy in this setting. This is especially

1 concerning, given the applicant's stated mechanism
2 of action that omburtamab will reach and target
3 B7-H3 expressing tumor cells in the entire CSF
4 compartment, including micrometastatic CNS disease.

5 We discussed earlier that there's limited
6 biologic plausibility with intraventricular therapy
7 for CNS parenchymal metastases because these
8 metastases simply do not exist in the CSF
9 compartment. Of particular concern, 71 percent of
10 patients in Study 03-133 at CNS relapse, and
11 70 percent of patients in Study 101 who had any
12 recorded disease at baseline, harbored CNS
13 parenchymal metastases. And it's been well
14 established that CSF administration of drugs
15 results in limited brain penetration to reach such
16 parenchymal metastases, and the applicant has
17 provided no conclusive evidence to support
18 otherwise.

19 Furthermore, there's no clinical evidence to
20 support the treatment and targeting of
21 micrometastatic disease in these studies. Only one
22 patient had positive CSF cytology at baseline, and

1 this patient developed progressive disease.
2 Altogether, there appears to be no clear evidence
3 of anti-tumor activity following the applicant's
4 stated mechanism of action in these patients.

5 Finally, although we ask you to consider
6 efficacy in your discussion today, there are safety
7 risks with this product for the given indication.
8 Generally, these include risks from radiation
9 exposure and non-trivial risks associated with
10 placement and use of an Ommaya reservoir shunt.

11 Observed risks include those related to
12 myelosuppression; chemical meningitis;
13 infusion-related reactions; neurotoxicity; and late
14 effects from radiation exposure. More than
15 40 percent of patients in each trial experienced
16 serious adverse events. Finally, about one-fifth
17 of patients did not receive a second dose due to
18 adverse events.

19 As clinicians ourselves, we deeply
20 understand the critical need for better treatments
21 in this disease, however, in review of the
22 available data, we identified fundamental issues

1 that limit the ability to construct an adequate and
2 well-controlled trial that is capable of
3 demonstrating that the addition of omburtamab to
4 intensive multimodality treatment improves
5 survival.

6 The external control population does not
7 appear to be sufficiently comparable to the trial
8 population due to clinically important differences.
9 These differences fundamentally undermine any
10 attempts at comparison, and we can see evidence of
11 this when we attempted to identify and adjust for
12 some of these differences.

13 The analysis we presented illustrate the
14 comparisons of survival are not reliable due to
15 known substantial biases in the small sample size
16 of the external control. Adjusting for bias
17 resulted in survival curves that crossed with
18 hazard ratios approaching and exceeding 1.

19 Again, these are all retrospective analyses,
20 and as we saw from the applicant, depending on how
21 you do the analyses, you can arrive at different
22 conclusions. However, we strongly believe that the

1 additive approach we took to adjust for some of
2 these important known sources of bias is the most
3 scientifically appropriate approach and result in a
4 more accurate assessment of survival in the two
5 populations.

6 Finally, we do not appear to have the
7 necessary support of evidence in the form of
8 response rate data to demonstrate that there is
9 anti-tumor activity with omburtamab in this
10 population. What we do know is that there are
11 risks with this treatment, including surgery from
12 an Ommaya reservoir placement and the risk of
13 toxicities that may result in additional
14 hospitalizations or interventions.

15 The applicant's suggested that we need to
16 make a judgment on the best available data rather
17 than a theoretical ideal. We firmly agree that it
18 is important to make the most of the data that are
19 available, and that is why we decided to be
20 flexible in considering use of an external
21 comparator, and why we have worked so closely with
22 the applicant to see if it was possible to use the

1 external control data from the German registry to
2 evaluate whether omburtamab improves overall
3 survival.

4 Although this is not the conclusion we hoped
5 to reach when we started reviewing this
6 application, after very careful consideration of
7 these data, we do not think that they're sufficient
8 to establish effectiveness of omburtamab; and this
9 is important because children with this serious
10 cancer do not just need more treatments, they need
11 treatments that work.

12 Keeping in mind the complex issues you've
13 heard today, in your discussion, we ask that you
14 consider whether data provided by the applicant
15 isolate the treatment effect of omburtamab from the
16 effects of multimodality therapy for CNS or
17 leptomeningeal relapse, or if additional data are
18 needed.

19 We will also ask you to vote on the
20 following. Has the applicant provided sufficient
21 evidence to conclude that omburtamab improves
22 overall survival?

1 And now, I'll turn it over to the chair,
2 Dr. Lieu. Thank you.

3 **Clarifying Questions to Presenters**

4 DR. LIEU: Thank you for that presentation.

5 We will now take clarifying questions for
6 both Y-mAbs and the FDA. Please use the raise-hand
7 icon to indicate that you have a question, and
8 remember to lower your hand by clicking the
9 raise-hand icon again after you have asked your
10 question. When acknowledged, please remember to
11 state your name for the record before you speak and
12 direct your question to a specific presenter, if
13 you can. If you wish for a specific slide to be
14 displayed, please let us know the slide number, if
15 possible.

16 Finally, it would be helpful to acknowledge
17 the end of your question with a thank you and end
18 your follow-up question with, "That is all for my
19 questions," so we can move on to the next panel
20 member.

21 So we'll open up the floor now for
22 clarifying questions for the presenters.

1 Dr. Hudgens?

2 DR. HUDGENS: Hi. This is Michael Hudgens,
3 University of North Carolina.

4 I had a question for Dr. Mehta related to
5 slide 35, which looked at the impact of adjusting
6 or controlling for the era, the treatment era, and
7 based on that slide, it appears that makes a huge
8 difference in these analyses if we restrict just to
9 the contemporary era.

10 I would like to hear a comment on how this
11 analysis differs from the seemingly -- the
12 conclusion that one would draw from the applicant's
13 analysis that adjust for era, specifically on their
14 slide that's labeled CE-28, where they also seem to
15 adjust for calendar time and come to a very
16 different conclusion.

17 DR. DONOGHUE: Thank you, Dr. Hudgens.

18 I was wondering if we could bring the slide
19 up that Dr. Hudgens referred to, that shows
20 contemporaneous populations. I think it was -- was
21 it slide 16? Let me look and see which one it is.

22 Gautam, do you know?

1 DR. MEHTA: I believe slide 35, please.

2 DR. DONOGHUE: Thirty-five. Okay.

3 (Pause.)

4 DR. DONOGHUE: While we're bringing the
5 right slide up, Dr. Mehta, do you want to touch
6 upon this? And then we can also ask others in our
7 review team to address the differences.

8 I think the primary reason for differing
9 conclusions is we had different methods of
10 adjusting for the treatment era, which we can go
11 into a little bit more detail on.

12 DR. MEHTA: Yes. Thank you, Dr. Donoghue.

13 We took a different approach than the
14 applicant, as you pointed out, to adjusting for the
15 treatment era, and the way we approached this is we
16 wanted to follow a logical progression in terms of
17 matching these two cohorts to the time that they
18 were treated.

19 The applicant presented patients who were
20 selected based on Trial NB97 in 2004 to be compared
21 to the Study 03-133 population. So those were
22 patients who were diagnosed from 1997 and onwards.

1 We thought that it would be most appropriate to
2 select patients who were diagnosed from 2005 and
3 onwards because this was the same era as the
4 patients in the trial. And I think there are
5 several reasons that could account for the
6 differences in survival in these groups, and that
7 may not just be limited to treatment, but it may
8 also be limited to changes in diagnosis, screening,
9 and management that may have occurred during that
10 time.

11 I think I'll hand it over to
12 Dr. Mishra-Kalyani for the stat's perspective.

13 DR. MISHRA-KALYANI: Hello. This is Pallavi
14 Mishra-Kalyani from FDA statistics. You mentioned
15 that these results are quite different from the
16 applicant's results presented. We don't feel that
17 the applicant's approach to creating a
18 contemporaneous subset of the external control is
19 scientifically rigorous. They include two of the
20 three national protocols, NB97 and NB90, but if you
21 examine the Kaplan-Meier curves, both NB90 and NB97
22 have steep drop off almost immediately after

1 enrollment, indicating that prognostically these
2 patients may be quite different at baseline.

3 We feel both of these groups should likely
4 be excluded if we were to make the decision based
5 off of the national trial protocols because when
6 deciding which patients are similar enough for
7 comparison, we really should be considering factors
8 at baseline, and those are related to both
9 prognosis and contemporaneity of the patients in
10 their diagnosis.

11 As Dr. Mehta mentioned, we believe our
12 approach to addressing treatment era is more
13 objective because we selected patients from the
14 specific time period in which they would have been
15 eligible for the analysis population of
16 Study 03-133. This, thus, ensures comparability in
17 terms of time of diagnosis to the study population.

18 There are some additional differences
19 between this analysis and the one presented by the
20 applicant on the slide that you mentioned, CE-28.
21 That slide also only included patients at the first
22 relapse. However, our analysis in that particular

1 population of patients at first relapse drastically
2 reduces the sample size of the overall population
3 because we're also still controlling for the other
4 known sources of bias to making them more similar
5 in respect to time and potential follow-up, in
6 addition to disease stage; so we only have a total
7 of 60 patients.

8 We can agree that the results are
9 interesting, but the small sample sizes and other
10 residual uncertainties that we have described, with
11 regard to the comparison to the external control
12 data, still exists, and so the strongest inference
13 we can really make from those subgroup results is
14 that they are hypothesis generating and should be
15 explored further with additional data.

16 DR. HUDGENS: Yes, that answers my question.

17 DR. LIEU: Thank you, Dr. Hudgens.

18 Dr. Nieva?

19 DR. NIEVA: Thank you. This is Jorge Nieva
20 from USC. I'm a little confused on FDA's slide 17
21 in showing that the median time from RT was short
22 in Study 03-133 relative to the external control,

1 and then trying to reconcile that with the long
2 list of treatments, including resection and
3 irinotecan, craniospinal irradiation, that would
4 typically be delivered to the patients prior to the
5 start of therapy.

6 So the resection and irinotecan, was that
7 really being done in less than 21 days in the study
8 population or is there some error there?

9 DR. DONOGHUE: Thank you for the question.
10 We're presenting a median here, and as you can see,
11 there is a bit of a range as well, a relatively
12 wide range, from the median time from relapse to
13 first receipt of radiation in Study 03-133. There
14 isn't an error in our calculations, however. There
15 is no error.

16 DR. NIEVA: Okay. Thank you.

17 My other question is for the company.

18 The concern about selection bias and the
19 data coming from a specialized center in New York,
20 and then in other specialized centers in the
21 multicenter trial, is being compared to whole
22 country data. And my question is, for the

1 treatment of patients in Germany, what is the
2 concentration of the patients being treated? Are
3 they all typically treated at one or two
4 specialized centers or is the treatment of
5 neuroblastoma distributed among multiple low-volume
6 centers? Thank you.

7 DR. RAJAH: Dr. Rajah, Y-mAbs.

8 In Germany, there are three national
9 protocols that's constituted the German data that
10 we submitted, and the centers that were treated
11 with these treatments were a number of hospitals
12 distributed throughout the country. So these were
13 population trials and involved a number of centers
14 scattered throughout the country.

15 I think on the other point around the
16 selection bias, potentially at MSK, we don't
17 believe there's any suggestion that MSK patients
18 get any better or fitter than those outside MSK.
19 I'd like to share a slide that shows the survival
20 difference in MSK and outside MSK just to
21 illustrate that from 101, there was no selection
22 bias in these treatment-free patients.

1 Slide up, please. This slide is for the
2 Trial 101, which is a multicenter study, and it
3 shows that there was no difference between overall
4 survival between MSK and non-MSK sites.
5 Furthermore, 03-133 had very broad inclusion
6 criteria as well, and I also say an additional
7 point that this supports the broad inclusion that
8 roughly about 90 patients in the 03-133 were
9 eligible to receive multimodal treatment, and that
10 represents approximately one-third of the overall
11 population of patients with CNS/leptomeningeal
12 metastases in the U.S. There's a similar
13 proportion that about one-third of patients was
14 also eligible to receive multimodal treatment in
15 other external data sources such as the German
16 data, as well as the SIOPEN data.

17 So it kind of tells you that a majority of
18 the patients who were able to receive multiple
19 treatments and we were able to recruit in the
20 trials were included in 03-133. Thank you.

21 DR. NIEVA: Thank you for that response.

22 Just in follow-up, do you have any similar

1 data comparing outcomes of MSK-treated patients to
2 other population-based registries, say, in the
3 state of New York or other regional databases that
4 may give us a sense that this is not a specialized
5 center effect?

6 Thank you, and that concludes my questions.

7 DR. RAJAH: Dr. Rajah here; Y-mAbs.

8 Dr. Morgenstern would like to expand on
9 this.

10 DR. MORGENSTERN: Daniel Morgenstern,
11 Hospital for Sick Children. I think the challenge
12 is the lack of other available data sources because
13 the site of relapse is generally not a data element
14 that is captured in most databases, including
15 population registry. So although we'll know about
16 a patient having relapse disease, it will not be
17 possible to identify them as having CNF relapse.

18 DR. NIEVA: Thank you.

19 DR. LIEU: Dr. Donoghue, do you have a
20 comment?

21 DR. DONOGHUE: I do actually. If it's ok,
22 we would like to provide a little bit more context

1 for the slide that Y-mAbs just presented, looking
2 at outcomes of patients in Study 101 who received
3 treatment at Memorial Sloan Kettering versus those
4 who did not receive treatment at Memorial Sloan
5 Kettering. So I'd like for us to have Dr. Mehta
6 respond to that, and then following his brief
7 response, I'll see if Dr. Chatterjee has anything
8 to add. Thank you.

9 DR. MEHTA: Are we able to have that slide
10 up from Y-mAbs?

11 DR. RAJAH: Slide up.

12 DR. MEHTA: Thank you.

13 From a clinical interpretation standpoint,
14 there are some limitations in this analysis looking
15 at Memorial Sloan Kettering versus other sites.
16 The primary concern is that the data are still
17 immature to make inference, and we can see from the
18 patients in the non-MSK site that there is a fair
19 amount of early censoring. So it's still early to
20 make inferences from this analysis.

21 I'll ask Dr. Chatterjee from statistics to
22 comment as well.

1 DR. CHATTERJEE: Hi. This is Somak
2 Chatterjee from FDA statistics.

3 To elaborate on Dr. Mehta's point, the
4 median follow-up time for patients who are treated
5 at MSK was 29.5 months with 38 percent deaths,
6 while the median follow-up in non-MSK site was just
7 18 and a half months with 15 percent deaths. This
8 also includes that MSK patients are almost 50
9 percent of the total population, and I believe the
10 treatment center of MSK was opened early as well,
11 so this data is immature and not robust enough for
12 interpretation of OS analysis.

13 DR. DONOGHUE: Thank you, Dr. Chatterjee for
14 that, and thank you, Dr. Lieu.

15 DR. LIEU: Thank you.

16 DR. WIDEMANN: Yes. Thank you. Brigette
17 Widemann. I have a question as it relates to
18 craniospinal irradiation.

19 Is this considered standard in neuroblastoma
20 with leptomeningeal parenchymal disease in the
21 United States, or is this more related to the
22 protocol that was followed?

1 DR. RAJAH: Dr. Rajah, Y-mAbs, here.

2 Craniospinal irradiation was used in the
3 majority of the patients in 03-133 for the
4 treatment of neuroblastoma, although there were
5 some patients in both 101 and 03-133 that did not
6 receive craniospinal irradiation. So these
7 individuals who did not receive it received other
8 forms of clinical radiation.

9 I will invite Dr. Morgenstern to comment on
10 that craniospinal irradiation is considered
11 standard treatment for neuroblastoma.

12 DR. MORGENSTERN: Daniel Morgenstern,
13 Hospital for Sick Children. I think the bottom
14 line is that there are no national guidelines for
15 the management of CNS recurrence neuroblastoma, so
16 practice probably varies between institutions based
17 on local practice. I think for most clinicians,
18 some form of radiotherapy would be considered
19 routine, but the details I think would vary on
20 individual patient, the age of the patient, and
21 likely individual local practice. Thank you.

22 DR. WIDEMANN: Thank you.

1 DR. LIEU: Dr. Donoghue, do you have a
2 comment from the FDA?

3 DR. DONOGHUE: Thank you. I guess we would
4 just add that we agree at this point in time, we
5 don't think there is a well-defined standard of
6 care with respect to craniospinal irradiation in
7 the United States, at this point.

8 I would point out that for the
9 interpretation of the radiation received in the
10 external control, we did not have details with
11 respect to the type of radiation received
12 beyond -- in some cases, most likely they are whole
13 brain irradiation or focal irradiation, but we do
14 not have details regarding how it was administered
15 in the external control either. Thank you.

16 DR. RAJAH: Dr. Rajah, Y-mAbs. May I
17 comment on this?

18 DR. LIEU: Yes, please.

19 DR. RAJAH: In the Germany database, it's
20 correct that we don't have specific data relating
21 to what dose of radiation was administered to those
22 patients with CNS relapse. However, what we are

1 able to say is when we look at the protocols in the
2 latter two studies, particularly in the national
3 protocols, they do recommend to the investigators
4 typical radiation doses that can be administered
5 for various systemic metastases; and in that vein,
6 it includes a recommendation for spinal cord
7 lesions up to 30 gray.

8 Although that doesn't indicate exactly what
9 dose is useful in CNS lesions, it leads us to
10 suggest that perhaps the dose that was given to
11 many of these patients was considerably higher than
12 what was used at MSK, and we believe the radiation
13 dose ranged between 18 to 20 gray.

14 This is important from a safety perspective,
15 as was alluded to earlier on. There are concerns
16 about cumulative radiation exposure in many of
17 these patients in the long term, and there's plenty
18 of evidence that has been published, that notably a
19 cohort of patients from St. Jude's, they looked at
20 adult survivors of childhood ALL patients, and they
21 were able to show strong correlations of patients
22 with severe neurocognitive impairment related to a

1 dose of 24 gray or above verses 18 gray.

2 The reason why this is important also is
3 because omburtamab, purely by its mechanism of
4 action, where it delivers a payload directly to the
5 tumor cells expressing B7-H3 at a cellular level,
6 possibly enables reduction in damage to normal
7 tissues, and therefore enables a dose of CSI to be
8 lower while still maintaining the efficacy.

9 I just wanted to add this point to say that
10 we believe that although the doses from the German
11 registry, or German database, we don't have
12 definitive data, but there's indication from the
13 protocol that they were a higher cumulative
14 radiation compared to the MSK data. Thank you.

15 DR. LIEU: Thank you.

16 Dr. Donoghue, do you have a comment?

17 DR. DONOGHUE: Yes. I'd like to ask
18 Dr. Mehta to provide a little bit more background
19 on what we do know about craniospinal irradiation.

20 Could you please bring up backup slide 11?

21 DR. MEHTA: Thank you, Dr. Donoghue.

22 There are not a lot of data to support any

1 specific type of radiation therapy in this
2 particular setting, but as the applicant alluded
3 to, in an early cohort reported out of St. Jude's,
4 two long-term survivors had received craniospinal
5 irradiation. There's also been a couple studies
6 that have been published from Memorial Sloan
7 Kettering on craniospinal irradiation, and I've
8 included data from one of these retrospective
9 studies that compares their experience with focal
10 irradiation versus craniospinal irradiation, which
11 suggested improved survival outcomes with the
12 latter.

13 You can see the median survival times at the
14 bottom here, but it's important to recognize that
15 the change to using craniospinal irradiation at
16 their site coincided with the use of radiolabeled
17 antibodies as well, which included omburtamab. And
18 here we're running into the same problem we faced
19 with this application in reverse but, again, are
20 limited by the retrospective study design in terms
21 of taking anything away from this.

22 DR. DONOGHUE: Thank you, Dr. Mehta.

1 DR. RAJAH: Dr. Rajah, Y-mAbs. If I may
2 just add to this.

3 I'd like to show a slide showing similar
4 data from 03-133 for those patients who received
5 CSI versus patients who did not receive CSI. As I
6 alluded to earlier, there were 10 patients in
7 03-133 who did not get CSI, and when we analyze the
8 overall survival results, granted, there's a small
9 number of patients from 03-133; when we compared
10 that to those taking no CSI, the KM curves,
11 Kaplan-Meier curves, were very similar, as you will
12 see very shortly from the slide here.

13 But when we look at the patient
14 characteristics, there did not appear to be any
15 notable differences in the baseline patient or
16 disease characteristics that might explain why the
17 CSI patient did not do any better. And this
18 similar picture of lack of difference was also
19 replicated when we looked at the 101 patients as
20 well.

21 So in conclusion, what we're saying is CSI
22 is not expected to make a dramatic difference or is

1 a key driver of the survival advantage seen. What
2 does drive the survival advantage is a combination
3 of multimodal therapy plus omburtamab. This is
4 what drives the overall survival in these patients.
5 Thank you.

6 DR. LIEU: Dr. Donoghue, do you have a
7 comment to this? And then I think we need to move
8 on to the next question.

9 DR. DONOGHUE: Sure. Thanks.

10 I think I would just emphasize the lack of a
11 really robust sample size in these comparisons. I
12 don't think that you can make good inferences from
13 that data. Thank you.

14 DR. LIEU: Thank you for that discussion.

15 Dr. Vasani?

16 DR. VASANI: Hi. Neil Vasani, Columbia
17 University. I had a question for the applicant
18 around the FDA slide 70 and 71 on this assessment
19 that patients had really minimal CNS disease at
20 baseline and that the cytology was almost close to
21 100 percent negative.

22 I was wondering if the applicant in the CSF

1 patients had done any additional characterization,
2 any more sensitive analyses of micrometastatic
3 disease, for instance circulating tumor DNA of
4 MYCN, for example.

5 DR. RAJAH: Dr. Rajah here from Y-mAbs.

6 There were a number of patients in the 101
7 that were indicators of no measurable or no
8 evaluable diseases. This can either mean no
9 evidence of disease or it can mean no disease that
10 was detectable by the MRI imaging scan.

11 In 03-133, all the patients had baseline
12 scan at the time of CNS relapse diagnosis, whereas
13 in the 101 study, all of the patients had the
14 baseline scan just prior to omburtamab after they
15 had received all of the multimodal therapies. So
16 these were patients who had already received
17 surgery, debulking the tumor, followed by
18 radiotherapy and chemotherapy, which is why we see
19 a higher proportion of patients who have no
20 measurable disease in the 101. However, we do note
21 that many of these patients will still have minimal
22 residual disease and micrometastases that will go

1 on to relapse and have a poor prognosis.

2 As evidenced by even other patients in the
3 German registry or the SIOOPEN, despite multimodal
4 treatment, these patients still go on to relapse
5 and now have a poor prognosis. Even in the 101, we
6 see that. So I think that's a strong rationale to
7 have a therapeutic strategy very similar in both
8 those with measurable disease and no measurable
9 disease.

10 As far as the CFS cytology and the proposed
11 suggestion that we can use DNA as a validated
12 surrogate, at the moment there are no validated
13 markers to use this, but this is being investigated
14 ongoing at the moment. At the moment, what we have
15 is a qualitative assessment of CSF cytology. In
16 other words, a lumbar puncture requiring the
17 presence of tumor cells, it is qualitative and the
18 sensitivity is low.

19 I should also add, to refer to one of the
20 slides that the agency presented, it is well known
21 in neuroblastoma. It is very unlikely to see
22 neuroblastoma cells from CSF samples, so it is not

1 surprising in this particular tumor that the CSF
2 cytology was negative. What we have to go by is
3 the firm evidence of disease at baseline. We have
4 MRI scans in 20 patients with measurable disease,
5 indicating presence of leptomeningeal parenchymal
6 lesions. Thank you.

7 DR. LIEU: Thank you.

8 I know that we still have several clarifying
9 questions yet to be asked. For those of you with
10 your hands raised, there will be time after the
11 open public hearing session to return to these
12 clarifying questions, so I believe we'll do that.

13 So for right now, we will take a quick
14 30-minute lunch break. Just a reminder to all
15 panel members, please remember that there should be
16 no chatting or discussion of the meeting topics
17 with other panel members during the break. We will
18 reconvene at 1:00 p.m. Eastern time. Thank you
19 very much.

20 (Whereupon, at 12:35 p.m., a lunch recess
21 was taken.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

Open Public Hearing

DR. LIEU: Welcome back, everybody. We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your participation in the meeting.

1 Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 committee if you do not have such financial
4 relationship. If you choose not to address this
5 issue of financial relationship at the beginning of
6 your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals for today is for this open public
16 hearing to be conducted in a fair and open way,
17 where every participant is listened to carefully
18 and treated with dignity, courtesy, and respect.
19 Therefore, please speak only when recognized by the
20 chairperson. Thank you for your cooperation.

21 Speaker number 1, your audio is now
22 connected. Will speaker number 1 begin and

1 introduce yourself? Please state your name and any
2 organization you are representing for the record.

3 (No response.)

4 DR. LIEU: Speaker number 1, are you
5 available? You may be on mute.

6 (No response.)

7 DR. LIEU: Okay. I believe we'll come back
8 to speaker number 1 at a later time.

9 Speaker number 2, your audio is now
10 connected. Will speaker number 2 begin and
11 introduce yourself? Please state your name and any
12 organization you are representing for the record.

13 MS. SOLLOWAY: Yes. Good afternoon. Can
14 you hear me?

15 DR. LIEU: Yes, we can hear you.

16 MS. SOLLOWAY: Thank you very much. My name
17 is Elise Solloway. My husband, Joseph Solloway,
18 joins us as well. We have no financial
19 relationship with anyone involved in this hearing.

20 There are no words that are more terrifying
21 to here than, "Your child has cancer." On March 8,
22 2004, those words were said to my husband and me.

1 Jenna, my perfect, beautiful 23-month-old daughter
2 was sleeping all the time. She wasn't really
3 eating and she was no longer able to walk. Knowing
4 that she was sick but obviously not realizing how
5 sick, we took her to our pediatrician who
6 prescribed antibiotics for an ear infection and
7 sent us on our way.

8 The symptoms persisted, so a few days later,
9 we returned to him, and seeing that she could no
10 longer walk, his words to us were, "Let's do a
11 quick CT scan just to rule out the scary stuff."
12 Well, the scary stuff turned out to be our reality,
13 and she was eventually diagnosed with stage 4,
14 high-risk neuroblastoma. We were instantly thrown
15 into the world of childhood cancer.

16 Jenna endured 5 rounds of chemotherapy, many
17 rounds of localized radiation, and 2 tandem stem
18 cell transplants. She was a rock star with the
19 cancer clearing from her body before her first
20 transplant. Even though she spent so much time
21 inpatient, she was unaffected. She continued to
22 play, take walks in the halls, and even reach her

1 learning milestones. By Columbus Day of that same
2 year, her frontline therapy was completed, she had
3 no evidence of disease, and we were sent home to
4 recover and quarantine for the winter.

5 One year later, on an early Tuesday in
6 October, we received a call from Jenna's daycare
7 that she was dancing and spinning around, and while
8 spinning vomited. Naturally, this was very
9 concerning, so we immediately took her back to our
10 pediatrician who ordered a brain MRI. The results
11 showed two large tumors in her brain, one in the
12 left frontal lobe and one in the back. We were
13 admitted and began chemo the next day.

14 With a CNS relapse of this magnitude, the
15 conversations turned to palliative care and getting
16 her through the [inaudible - audio break]. We
17 refused to accept this approach, and we began our
18 worldwide search for new trials. While we were
19 inpatient, another neuroblastoma mother told me
20 about a phase 1 trial that had just recently opened
21 at Memorial Sloan Kettering. This was the 8H9
22 antibody therapy, a trial for neuroblastoma

1 patients suffering from brain relapse. We finally
2 had hope.

3 In order for Jenna to qualify for this
4 trial, she had to have another round of scans to
5 make sure the rest of her body was clear of cancer
6 cells. She also had to have a surgery to biopsy
7 and debulk the tumor. At the conclusion of this
8 surgery, we were told that she should be able to
9 qualify for this trial, and we were absolutely
10 elated. Once we had the final approval, we packed
11 our bags and headed straight to New York.

12 With our first visit to Sloan Kettering and
13 meeting the neuroblastoma team, we knew immediately
14 that we were in the right place to fight this
15 disease. After being at MSK for some time, it
16 became clear to us, through whispers and innuendos,
17 that those kids who were there for the 8H9 trial
18 were the lucky ones. The parents were calling this
19 a slam-dunk for brain relapse.

20 While we don't remember many of the specific
21 details of her treatment leading up to the 8H9
22 injections, we can say that she had chemotherapy, a

1 dual craniotomy done over the course of 2 days, and
2 whole brain and spine irradiation, and an Ommaya
3 placement. Naturally, Jenna had her fair share of
4 low platelets, various infections, and even a
5 little leak of CNS fluid all over her baseball cap.

6 So here we finally were at the 8H9
7 injections. Looking back at all the treatments
8 that Jenna had gone through, the 8H9 immunotherapy
9 was the least invasive, and dare I say the easiest
10 thing that she had to endure. It's hard to imagine
11 that something that seems so innocuous to us has
12 had the greatest impact on her being with us today.
13 Even though our memories of the actual 8H9
14 treatment are dramatic, we want to firmly stress
15 that we believe that this trial is the reason that
16 Jenna is cured of neuroblastoma. I want to
17 emphasize that the brevity of those words cannot be
18 overstated. The simple fact that we don't have a
19 lot to express having undergone this therapy is a
20 testament to its efficacy and impact on our family.

21 Today, Jenna is a beautiful 20-year-old high
22 school graduate. While she does suffer from many

1 late-term effects from her cancer treatment, we
2 strongly feel that those effects are not from this
3 therapy but rather from all the traditional chemo
4 and radiation she had before this trial. On more
5 than one occasion, leading doctors in their field
6 have commented that they haven't seen many people
7 having had as much cancer therapy as Jenna has had,
8 but she's here, she's healthy, and she's able to
9 lead a wonderful happy life.

10 I thank you for your time, and I solemnly
11 hope that you approve this request so that other
12 children may have the chance that Jenna has been
13 given. Thank you.

14 DR. LIEU: Thank you for those comments.

15 Speaker number 3, your audio is connected
16 now. Will speaker number 3 begin and introduce
17 yourself? Please state your name and any
18 organization you are representing for the record.

19 DR. ZUCKERMAN: Thank you very much. Can
20 you put my slides up, please?

21 I'm Dr. Diana Zuckerman, president of the
22 National Center for Health Research. We scrutinize

1 the safety and effectiveness of medical products,
2 and we don't accept funding from companies that
3 make those products. Our largest program is the
4 Cancer Prevention and Treatment Fund.

5 My expertise is based on postdoctoral
6 training in epidemiology and public health; my
7 previous policy positions at congressional
8 committees with oversight over the FDA; my previous
9 position at the U.S. Department of Health and Human
10 Services; and as a faculty member and researcher at
11 Harvard and Yale.

12 I'll just zoom through this one. You know
13 what these studies are looking like. We have a
14 single-center, single-arm trial and an interim
15 report of a multicenter also single-arm study with
16 a small number of patients, seven responders
17 according to the sponsor, but only three have been
18 confirmed, and a primary endpoint that hasn't been
19 mature yet.

20 In terms of safety, 19 percent of the
21 patients were permanently discontinued due to an
22 adverse reaction in Study 03-133 and 28 percent in

1 Study 101, and 3 percent of these were due to
2 chemical meningitis and one case of fatal
3 intracranial hemorrhage. For shortcomings,
4 obviously there was only one completed study. It
5 wasn't randomized, it wasn't blind, and it didn't
6 have a good control.

7 In terms of the external controls, the
8 children in the external control had more intensive
9 prior treatment. There were population
10 differences, as well as treatment differences. And
11 because overall survival for these patients has
12 improved since the control data were collected and
13 since there was a very small sample of control
14 data, the problem is we don't know what to do with
15 these controls. We can't assume that they're
16 similar enough to be experimental group, and for
17 that reason the overall survival differences can't
18 be reliably attributed to the drug.

19 In addition, "the application does not
20 include reliable response rate data." That's a
21 direct quote from the FDA. No patient in Study 101
22 demonstrated a response that can be unequivocally

1 attributed to the drug, and the overall response
2 rate data in Study 03-133, there was none, and it
3 was just limited overall response rate data in
4 Study 101.

5 These are heartbreaking stories, and we want
6 these children to get the treatment that they need,
7 but it's also important that FDA continue to be a
8 gold standard. So when we look at the FDA summary
9 that the comparator is too dissimilar to the
10 subjects in the experimental treatment and there's
11 no reliable information on tumor response rate,
12 therefore the submitted study cannot be considered
13 an adequate and well-controlled trial necessary to
14 establish effectiveness, and that by law is a
15 requirement for the FDA.

16 So there is an unmet need and the data are
17 inadequate. I guess my first question is, why
18 isn't this an accelerated approval application, and
19 are the data even good enough for an accelerated
20 approval application?

21 We want to help these children, and these
22 children deserve help, but it's also important that

1 the FDA continue to have their standards. So the
2 question here is, if the sponsor is so interested
3 in helping these patients, why didn't they conduct
4 a randomized, double-blind, controlled trial? Even
5 a small one would have been better than an
6 uncontrolled trial. And what's the incentive to
7 conduct a well-designed study for this company, or
8 any other company, if a poorly conducted study with
9 questionable findings results in approval? When
10 the FDA approves a drug based on inadequate data,
11 all companies, not just the company involved in
12 this particular review, all companies lose the
13 incentive to conduct well-designed studies.

14 The bottom line is patients deserve better,
15 and we're not doing patients any favors if we
16 approve treatments that aren't proven to work. But
17 these children do need treatments, and that's why
18 the FDA has an expanded access program, and that's
19 the way to give patients access to experimental
20 drugs. That access, expanded access, is usually
21 free, it's carefully monitored, and most important,
22 the families and the patients understand that it's

1 an experiment, and they know that they're taking a
2 risk, and they are freely choosing to do that. So
3 why should they be paying for a drug that's really
4 still an experimental drug?

5 The bottom line is -- I can't believe I have
6 to say this -- without an appropriate control
7 group, it's not possible to provide evidence that
8 patients and doctors are really needing to make
9 informed decisions, and in this case,
10 unfortunately, the preponderance of evidence
11 doesn't support approval.

12 Thank you very much for the opportunity to
13 speak today.

14 DR. LIEU: Thank you for those comments.

15 Speaker number 4, your audio is now
16 connected. Will speaker number 4 begin and
17 introduce yourself? Please state your name and any
18 organization you are representing for the record.

19 MR. UNGER: Mark Unger. I'm representing my
20 family. Our son Louis was diagnosed with stage 4
21 neuroblastoma in November 2001. After one year of
22 treatment at Memorial Sloan Kettering, which I

1 abbreviate as MSK, he was declared NED or no
2 evidence of disease. The key fact of this outcome
3 was the use of innovative mouse antibodies
4 developed at MSK to activate our son's own immune
5 system to kill the neuroblastoma cells.

6 In 2003, he relapsed with a tumor in his
7 brain. This impacts about 10 percent of all
8 neuroblastoma kids. We were told by Nai-Kong
9 Cheung, the head of the neuroblastoma oncology team
10 at MSK, that Louis had, quote, "zero chance of
11 survival with this type of relapse." After this
12 horrific shock, we began the standard treatment
13 protocol for a brain relapse.

14 First, the golf ball size tumor was removed
15 surgically from our 5-year-old son's brain,
16 followed by months of radiation to eradicate any
17 remaining cancer cells. We knew this treatment was
18 effective in the short term, but within 1 to
19 2 years, the cancer would always return. If Louis
20 would receive more radiation treatments then, it
21 will result in severe and irreversible cognitive
22 losses. There will be no life-saving options left

1 for him at that time.

2 My wife and I began frantically researching
3 possible treatments that could save our son. We
4 scoured the clinicaltrials.gov website and called
5 doctors around the world for help. The answers
6 were mostly, quote, "We are so sorry." Among very
7 few options was a phase 1 clinical trial at MSK
8 that used the same antibodies we received in his
9 initial treatment but were modified for use in the
10 brain. As the brain has no immune system, these
11 novel antibodies were radiolabeled with very small
12 amounts of radiation. The goal of this treatment
13 was essentially to create guided missiles to search
14 and destroy any remaining neuroblastoma cells in
15 the brain and spinal fluid.

16 We decided to enroll Louis in this trial.
17 We knew it was a long shot. Dr. Kim Kramer, who
18 led the MSK trial, managed our fears with a kind
19 heart and reassuring expertise. Louis would be the
20 only child to join the trial and number 14 overall.
21 It was a risk we had to take. The alternative was
22 not an option. When the drug was administered into

1 his brain, the antibodies would attach themselves
2 to the neuroblastoma cells in the spinal fluid and
3 brain. The miracle drug would then release a small
4 amount of radiation and kill any remaining
5 neuroblastoma cancer cells.

6 He received 4 intrathecal treatments over
7 the next year and a half with minor side effects.
8 The cancer never returned. Today Louis is 24 years
9 old and very proud to be the trailblazer of this
10 treatment. He is the first survivor of this always
11 deadly relapse. MSK has been using Louis' protocol
12 for all neuroblastoma brain relapse patients since
13 Louis' success.

14 This treatment as presented before you today
15 is now the standard of care at MSK with a survival
16 rate of over 60 percent. It has now been over
17 17 years since Louis completed this treatment and,
18 sadly, only wealthy parents who can afford to come
19 to MSK in New York can receive this life-saving
20 procedure. I implore the panel to approve this
21 treatment today so it can be administered
22 everywhere in the U.S. and save countless children,

1 regardless of their ability to pay.

2 I'd like to make this real for all of you.
3 Imagine if your 3-year-old child or grandchild was
4 diagnosed with stage 4 neuroblastoma tomorrow and
5 was struck with this relapse. You would move
6 heaven and earth to get this treatment because the
7 alternative is zero chance of survival.

8 My son Louis also wanted to say a few words.

9 "Hello. My name is Louis Unger. I was
10 diagnosed with stage 4 neuroblastoma at age 3 in
11 2001. I was finally declared free of cancer in
12 2008. I have been through a lot, way more than any
13 child should have to. I do not wish for any other
14 child to go through the same. The words 'zero
15 chance of survival' put an incredible burden on my
16 parents and loved ones that I also wish to share
17 with no other.

18 "This is a chance to cure cancer and make
19 the impossible reality, not just for me, but for
20 every child and family inflicted by this. Without
21 this clinical trial, I would not be alive today, so
22 I write this for you [indiscernible], and I humbly

1 ask for your approval. Thank you. Louis."

2 **Clarifying Questions to Presenters (continued)**

3 DR. LIEU: Thank you for those comments.

4 I wanted to give speaker number 1 an
5 opportunity to provide comments if they've joined
6 the call.

7 (No response.)

8 DR. LIEU: Okay. Just a reminder for
9 everybody just to keep yourself on mute if you're
10 not speaking.

11 I certainly want to thank all the open
12 public hearing speakers. The open public hearing
13 portion of this meeting has now concluded, and we
14 will no longer take comments from the audience.

15 I do want to move back to the remaining
16 clarifying questions, as I know that we had some
17 prior to the break. Just as a quick reminder,
18 please use the raise-hand icon to indicate that you
19 have a question, and remember to put your hand down
20 after you have asked your question. Please
21 remember to state your name for the record before
22 you speak and direct your question to a specific

1 presenter, if you can. If you wish for a specific
2 slide to be displayed, please let us know the slide
3 number, if possible.

4 As a gentle reminder, it would be helpful to
5 acknowledge the end of your question with a thank
6 you, and end your follow-up question with, "That is
7 all for my question," so we can move on to the next
8 panel member.

9 So moving on in the order in which we saw
10 the hands, I want to move to Dr. Bagatell Berg for
11 your question.

12 DR. BAGATELL: Hi. This is Ro Bagatell from
13 Children's Hospital Philadelphia. My question was
14 in regard to the applicant's slide number 37.

15 One of the boxes on the far left said that
16 for the control group, the patients too frail to be
17 treated were excluded, which I'm guessing was an
18 effort to try to deal with the fact that the
19 patients who were mainly involved in the clinical
20 trials had to be well enough to travel to
21 participate, as well as to have an Ommaya placed,
22 and everything else that's been mentioned.

1 But it wasn't clear to me what the
2 criteria -- like what does it mean to be too frail?
3 How was that defined? I'm assuming there had to be
4 some objective criteria to exclude what looks like
5 a reasonable number of patients there in the orange
6 boxes.

7 DR. RAJAH: Dr. Rajah, Y-mAbs. I'm going to
8 ask Dr. Christensen to comment.

9 DR. CHRISTENSEN: René Christensen, Y-mAbs.
10 Slide up, please. While we wait, the criterion for
11 excluding patients too frail was simply that they
12 did not receive any treatment, and the frailty was
13 substantiated by the fact that they had an overall
14 survival of less than a month, a median overall
15 survival. Thank you.

16 DR. BAGATELL: Thank you very much for
17 clarifying. I think that gets to the point that
18 was made by the FDA reviewer, though, that the
19 comparator group, it takes out the people who died
20 quickly. So that gets to that immortality biased
21 piece, but then included are patients who maybe got
22 some therapy but not necessarily as many therapies

1 like surgery and radiotherapy.

2 So I guess we just have to keep that in
3 mind, that some of those patients were retained,
4 then it was really only the patients in the worst
5 clinical condition who were excluded from the
6 control. Thank you.

7 DR. LIEU: Thank you, Dr. Bagatell.

8 Dr. Harrington?

9 DR. HARRINGTON: Thank you. We heard two
10 messages, different messages, from the FDA and the
11 applicant's proposal about the period for the
12 washout of prior therapies. It's important to know
13 whether the treatment might have started soon
14 enough that they would have a lingering effect. I
15 guess I would like clarification from both the FDA
16 and the sponsor about why they apparently feel
17 differently about that.

18 DR. RAJAH: Dr. Rajah of Y-mAbs. Maybe I
19 kick off the answer. As alluded to in that
20 presentation we gave earlier, the majority of the
21 patients had an interval of 4 to 15 weeks.

22 Slide up, please. This is between the trial

1 radiotherapy and the baseline scan. Some of these
2 patients had a period of 4 to 15 weeks, which is
3 almost 4 months. This is sufficient washout time
4 for the radiotherapy and it begins to affect
5 omburtamab. I know this can vary a lot from
6 patient to patient, but generally speaking, it is
7 considered that this is adequate time; and the same
8 for chemotherapy as well. I think it was 3 to
9 8 weeks interim period between the last
10 chemotherapy and the baseline scan. So based on
11 this, we believe the interval is adequate time for
12 washout. Thank you.

13 DR. HARRINGTON: Thank you.

14 If I possibly could hear from the FDA about
15 why they felt it was not reasonable.

16 DR. LIEU: Dr. Donoghue?

17 DR. DONOGHUE: Thank you, Dr. Lieu.

18 I will turn to Dr. Mehta, and he can address
19 this question. Thank you.

20 DR. MEHTA: Thank you.

21 Can I have the FDA backup slide, please?

22 And let me just quickly select the slide.

1 I think the important note here is that
2 there were very few confirmed responders, and in
3 these cases, we had to take a very close look at
4 what the time from these other therapies were to
5 the baseline scan. There have been studies that
6 have shown that the effects of radiation therapy
7 can take longer than 60 days to fully manifest, and
8 what we saw in this slide, which is our analysis of
9 the four reported confirmed responses in Study 101,
10 is two of these responses -- so this is patient
11 number 2 and patient number 3 -- had inadequate
12 washout of their prior therapy prior to the
13 baseline scan.

14 So if we look at patient number 2, for
15 example, they had radiation therapy 30 days prior
16 to their baseline scan, and patient number 3 had a
17 19-day washout period from chemotherapy; so not
18 even 3 weeks, and also received radiation therapy
19 just 29 days before their baseline scan. So this
20 limits our interpretation of that baseline scan and
21 understanding the effect of different treatments on
22 any responses that we do observe.

1 DR. HARRINGTON: Thank you.

2 DR. LIEU: Thank you.

3 Dr. Esiashvili?

4 (No response.)

5 DR. LIEU: Dr. Esiashvili, I think you are
6 muted.

7 DR. ESIASHVILI: I'm sorry. Can you hear me
8 now?

9 DR. LIEU: We can hear you.

10 DR. ESIASHVILI: Yes. So I'm a radiation
11 oncologist at Emory. I have a question for the
12 applicant.

13 Since we have heard their argument for,
14 really, no contribution from CSI to outcomes with
15 their patients, what's the rationale of keeping
16 this even reduced-dose CSI in Study 101 while these
17 children are young, and they will be exposed to the
18 long-term side effects from this approach?

19 DR. RAJAH: Dr. Rajah, Y-mAbs. Let me just
20 clarify the question. As I understand, it was the
21 rationale for not reducing the CSI dose?

22 DR. ESIASHVILI: Sorry, for keeping

1 craniospinal irradiations in current Study 101.

2 DR. RAJAH: Dr. Rajah, Y-mAbs. I'd ask
3 Dr. Kramer to comment on that, please. Thank you.

4 DR. KRAMER: Kim Kramer from MSK. I really
5 appreciate the thought that's going into
6 craniospinal irradiation because as I see it, in
7 our long-term survivors, the long-term effect of
8 craniospinal irradiation, even low dose, are the
9 reasons our patients deal with neurocognitive
10 deficits or short stature. So anything we can do
11 to decrease the craniospinal irradiation dose is
12 welcomed by all of us.

13 There are no mandated CSI doses that have to
14 be given before omburtamab, and even going back
15 into 03-133, if a patient's age was young enough
16 that we felt even low dose -- and by low dose, I'm
17 talking significantly lower than that which would
18 be offered to a typical child with another kind of
19 common brain tumor, medulloblastoma. But age is
20 taken into consideration, and therefore patients
21 might not get craniospinal irradiation. Prior
22 radiation therapy to initial neuroblastoma bulky

1 disease, commonly next to the spine, is also
2 considered.

3 So while we have generally recommended what
4 we consider a low dose, if feasible, there are
5 definitely instances where we will not advise that
6 patients get craniospinal. Thank you.

7 DR. RAJAH: Thank you.

8 DR. ESIASHVILI: Thank you.

9 DR. LIEU: Thank you.

10 Dr. Jonsson Funk?

11 DR. JONSSON FUNK: Hello. This is Michele.
12 Thank you so much for all the information you've
13 shared today. I am also thinking about the
14 treatments that we heard that patients have gone
15 through, leading up to the therapy. I just want to
16 have a clear sense of what that timeline is. From
17 the time that the recurrence is identified, what
18 are the different procedures and treatments, both
19 in the individuals who are receiving therapy and
20 what that timeline and events look like for
21 patients who have not received this therapy?

22 Since it appears that there's a very steep

1 survival curve in that initial period after the
2 diagnosis, I would imagine that the duration of
3 that and the number of activities and procedures
4 that one has to go through could essentially form a
5 pretty strong funneling or filtering process,
6 selecting for patients who are able to ultimately
7 get the treatment.

8 DR. RAJAH: Dr. Rajah, Y-mAbs. Can we show
9 the slide of the swim lanes, please?

10 Slide up. I hope the slides will help
11 illustrate the points that I want to make, and I
12 hope they address your questions.

13 These are the 5 patients that are classed as
14 complete responders as per the RANO criteria and
15 the EANO-ESMO criteria. The two patients right at
16 the top with the yellow boxes were those being a
17 response for the EANO-ESMO, and those with the red
18 squares in the bottom-three patients were those
19 being a complete response for the RANO brain mets
20 criteria.

21 In terms of the [indiscernible] intervening
22 treatments, I mentioned early on about the trial

1 radiotherapy and the chemotherapy treatments,
2 indicated by the orange and the black triangles,
3 but I also want to address the treatments received
4 post-omburtamab.

5 So there were 2 patients that received
6 chemotherapy that was after the first response
7 assessment. The first patient received
8 temozolomide and the second patient received
9 temozolomide and/or irinotecan. Both of these
10 patients were after the 10 weeks of their period
11 and before the 26 weeks, and both of them had a
12 response assessment prior to this chemotherapy.
13 One of them was a complete response and one of them
14 was a partial response.

15 The other two patients, you can see the
16 fourth patient and the fifth patient down. Both of
17 them had received naxitamab. It's an anti-GD2
18 systemic monoclonal antibody. Neither of these
19 patients -- this drug does not enter the
20 blood-brain barrier and does not affect the CNS
21 lesion.

22 The core slide that I presented earlier on

1 in the patient with the MRI images in the graph
2 showing the reduction in size, that was a patient
3 indicated at the bottom of the slide here. In that
4 particular patient, the antibody therapy was given
5 almost 5 months after the omburtamab treatment, so
6 the effect that this would have had on subsequent
7 survival, our response rate is negligible.

8 I think the important point here I want to
9 stress is that whilst some of these patients did
10 get chemotherapy, when you look at those patients
11 that did, all of them had prior evidence of a
12 response, either complete response or partial
13 response, which importantly is evidence of
14 single-agent activity.

15 This is the most important message from this
16 particular swim-lane slide, answering the question,
17 does omburtamab have effect on an individual
18 patient level? Does it show activity to show that
19 omburtamab works? And as I hope I have highlighted
20 in the cases that are outlined, there is evidence
21 of single-agent activity. Notably, I would say
22 those two patients right at the top, you'll see had

1 a duration of response in excess of 2 years; one of
2 them 2 and a half and the other 3 years. In this
3 particular indication, this is particularly
4 notorious to treat. This is very compelling
5 evidence, further again, to demonstrate omburtamab
6 works. Thank you.

7 DR. JONSSON FUNK: Apologies for the
8 interruption. I meant to specifically ask about
9 the time prior to omburtamab treatment, and I'm
10 looking, for instance, at slide 24 from the
11 sponsor -- 64. It's labeled as CE number 4, but it
12 comes at number 24 in your deck.

13 I'm thinking about the time leading up to
14 screening, and how the time prior to screening and
15 ultimately initiation of therapy, what are the
16 timelines and activities that patients essentially
17 have to give up in order to ultimately receive the
18 therapy of interest?

19 DR. RAJAH: I'll ask Dr. Morgenstern to
20 answer that question.

21 DR. MORGENSTERN: Daniel Morgenstern,
22 Hospital for Sick Children. I think the answer is

1 that it will vary quite a lot, depending on the
2 individual patient circumstances. Within the 101
3 trial, there were recommendations for treatment
4 prior to receiving omburtamab, but it would have
5 varied from patient to patient the details of
6 exactly what they received.

7 Clearly, for instance, not all patients will
8 receive surgery because surgery would not be
9 appropriate for patients with only leptomeningeal
10 disease, and clearly the duration of the
11 radiotherapy would also vary between patients,
12 depending on whether they're having craniospinal
13 radiotherapy, whole brain, or focal. So I think
14 it's difficult to answer the question. In general,
15 it will vary from patient to patient. Thank you.

16 DR. LIEU: Dr. Donoghue, does the FDA have a
17 response?

18 DR. DONOGHUE: Thank you. We thought we
19 just might provide some additional clarification to
20 try to answer the question. So first, I'd like
21 Dr. Mehta to respond, and then after that,
22 Dr. Rivera, please.

1 DR. MEHTA: Thank you, Dr. Donoghue.
2 Could we have the FDA main slides up,
3 please? I will just show you the timeline
4 recommended pretreatments for CNS relapse that
5 patients received before omburtamab. As you'll
6 note from Dr. Morgenstern's response, this is
7 obviously individualized for every patient,
8 depending on what type of disease they had and
9 different characteristics, but this was the general
10 recommended paradigm, and this is within the
11 protocol of Study 101.

12 Generally, this is a 12-week pretreatment
13 regimen, so about 3 months, which is very close to
14 that issue of immortal time that we brought up
15 earlier with the index dates, the median time
16 between CNS relapse and the data of startup
17 omburtamab treatment with 3.1 months in the
18 Study 03-133 population.

19 I might ask Dr. Donna Rivera to briefly
20 comment on that.

21 DR. RIVERA: Thank you, Dr. Mehta.

22 I'd like to expand additionally on what we

1 see as a specific design issue, and that is
2 immortal time, which has been described by
3 Dr. Mehta as the period of study follow-up during
4 which, by design, the study outcome cannot occur.
5 So thinking about this, it's when the index date
6 precedes treatment and all treated patients have to
7 have survived this period in order to be included
8 in the study. This bias can be introduced when
9 periods of immortal time are differentially
10 excluded from the analysis, inducing a form of what
11 is categorized as selection bias.

12 In this study, the absence of an ideal index
13 date and varying effect sizes upon the different
14 sensitivity analysis are of concern. When a study
15 is designed for that follow-up, it includes a
16 period of time where participants in the exposed
17 group cannot experience the outcome. They're
18 essentially immortal.

19 Then there's a lot of concern around
20 avoiding inappropriately misclassifying or
21 excluding this immortal time, so this type of bias
22 has been shown to systematically lead to an

1 apparent protective effect of a study treatment,
2 and propensity score weighting does not address
3 this bias. Thank you.

4 DR. RAJAH: Dr. Rajah, Y-mAbs. If I may
5 address this point by inviting Dr. Christensen to
6 comment on this, and also using that to address
7 radiotherapy, which was raised early on by the
8 panel member and director of Y-mAbs.

9 Dr. Christensen?

10 DR. CHRISTENSEN: René Christensen, Y-mAbs.
11 Slide up, please.

12 Of course, immortal time bias, as pointed
13 out and also described very well in the briefing
14 document, is an important factor and cannot be
15 adjusted in the propensity score analysis but can
16 be handled -- as it was handled both in the FDA
17 analysis and in the Y-mAbs analysis -- by using the
18 differential index dates, of index date A in the
19 general population and index date B, which is the
20 time of omburtamab infusion in 03-133, thereby
21 eliminating any immortal time bias on a subject
22 level.

1 What we see here are the curves for the
2 patients featured in first recurrence, and I would
3 like also to comment on that in light of the FDA's
4 emphasis on having a step-wise approach to handling
5 and adjusting for various factors in one analysis.
6 We agree completely, and we have done this in this
7 analysis.

8 First of all, as mentioned, we have adjusted
9 for immortal time bias by using the differential
10 index dates. Secondly, we have adjusted for era of
11 therapy, era of therapy guided by the natural
12 history of the disease in Germany, evident in the
13 difference in management of patients described in
14 the early protocol compared to the total length of
15 protocols.

16 Instead of dismissing patients before 2005,
17 because the 03-133 trial happened to start that
18 year, dosing patients, we leaned towards that being
19 guided by the natural history. Additional to what
20 the FDA did, we also adjusted for the very
21 important confounder of number of prior relapses by
22 focusing on the patient in first recurrence.

1 Evidently, the German population is made primarily
2 out of patients in first recurrence, and also if
3 you look to the SIOOPEN data, that's patients in
4 first recurrence.

5 So we present an analysis here where we take
6 that confounder into account. And as always, when
7 you don't take a confounder into account, you see a
8 picture that can be very misleading, and we see
9 that in the FDA analysis. Here we see what happens
10 when you take that confounder into account.

11 Dismissing this adjustment for this confounder as
12 simply hypothesis generating when accepting other
13 less well-defined confounders such as the era of
14 therapy cut in 2005 seems very inconsistent.

15 In light of this being the data in
16 existence, we are not able to go out and confirm
17 any of these with additional data. That's simply
18 not possible, but you should have the same level of
19 acceptance towards various confounders used. And
20 here we take all the steps in this analysis, and
21 the natural history seen in the German population
22 is very well underlined by -- slide up,

1 please -- what we see in the SIOOPEN data.

2 Please recall, also with reference to the
3 era of therapy, the SIOOPEN data was collected from
4 2002 going forward, so we actually have a very
5 detailed and in-depth understanding of the natural
6 history of the disease, which is confirmed both in
7 the German data and the SIOOPEN data. And when
8 taking all steps into account in the analysis, we
9 see a clear advantage of adding omburtamab to
10 multimodal treatments.

11 Also noticeably, please see that the
12 patients are stabilized at the beginning of the
13 period. For a period of 2 months, both the
14 patients in the German population and in 03-133 are
15 stabilized by treatments to an equal amount. And
16 we feel that dismissing a hazard ratio of 0.48 to
17 debatable regional differences and conventional
18 therapy regimens seems unnecessarily dismissive.

19 DR. RAJAH: Thank you.

20 DR. JONSSON FUNK: Thank you for your
21 comments. And looking at the slide that you have
22 presented now on the right side, you note that the

1 two groups are essentially stable and there are no
2 events happening in the first 3 months.

3 Do you have a further explanation of why the
4 treatment benefit would appear suddenly and
5 dramatically in month 4?

6 DR. CHRISTENSEN: Yes. René Christensen,
7 Y-mAbs. What is apparent is that both groups are
8 stabilized after the index date by the treatment
9 received, and I invite Dr. Morgenstern to comment
10 on the clinical.

11 DR. MORGENSTERN: Daniel Morgenstern,
12 Hospital for Sick Children. I think what it shows
13 is that the patients' disease has been stabilized
14 by the multimodal therapy that they have received
15 either prior to omburtamab or without the use of
16 omburtamab. And I think it's also important to
17 note that the reference data on here is the start
18 of the last modality of post-CNS therapy, and
19 therefore during those initial periods when the
20 lines are horizontal, patients may still be
21 receiving active therapy.

22 DR. CHRISTENSEN: One additional comment.

1 For the German population, it's the start of the
2 last therapy. For the omburtamab population in
3 03-133, it's the infusion time, start of infusion
4 time, thereby modifying immortal time bias. Thank
5 you.

6 DR. RAJAH: Thank you.

7 DR. LIEU: I see that you have a comment,
8 Dr. Donoghue; maybe a brief one so we can get to
9 the questions.

10 DR. DONOGHUE: Hi. Yes. Thank you,
11 Dr. Lieu. We'll be brief, but we would like to add
12 a little additional clarification to help more
13 fully answer the question posed.

14 If you could bring up our backup slides, and
15 Dr. Mishra-Kalyani, could you speak, please?

16 (No response.)

17 DR. DONOGHUE: I'm not hearing you, Pallavi.
18 Are you on mute? Dr. Mishra-Kalyani?

19 (No response.)

20 DR. DONOGHUE: I'll go ahead and take part
21 of this while she tries to get back on.

22 Again, we took different approaches to

1 address this for immortal time bias, as can be seen
2 on this slide. We took a couple of approaches to
3 doing this.

4 Oh, Dr. Mishra-Kalyani's back on.

5 Do you want to jump in for analysis?

6 DR. MISHRA-KALYANI: Yes. I apologize. Can
7 you hear me now, Dr. Donoghue?

8 DR. LIEU: Yes, we can hear you.

9 DR. DONOGHUE: Oh, I still don't hear
10 anything.

11 DR. MISHRA-KALYANI: Great.

12 DR. DONOGHUE: I'll call your attention to
13 the third row down, where we took the approach of
14 excluding the 18 percent of patients that we
15 estimated would have died during that period of
16 immortal time, and as you can see, when we do so,
17 the hazard ratio is 1.03 with a range between 0.45
18 and 2.35.

19 So there are multiple ways, as you note, to
20 adjust for immortal time and to attempt to adjust
21 for various sources of bias. As we mentioned
22 during Dr. Barone's talk, we took different

1 approaches to doing so. We feel that ours is the
2 more rigorous approach. Just the fact that when
3 you use different approaches you can get
4 drastically different results that can have you
5 draw different conclusions, only speaks to the
6 uncertainty behind this data.

7 Additionally, in terms of looking at the
8 temporal differences in the populations, we did not
9 agree with the applicant's approach of only
10 excluding the very earliest trial that comprise the
11 German registry, and we, again, felt that basing our
12 adjustment for temporal bias, based upon the actual
13 date and time where patients were diagnosed with
14 CNS relapse, was more appropriate.

15 DR. RAJAH: Dr. Raja, Y-mAbs. I would like
16 to respond to that, if I may.

17 (Crosstalk.)

18 DR. MISHRA-KALYANI: This is Pallavi
19 Mishra-Kalyani from FDA statistics. I'd like to
20 just briefly add. I'm sorry that my telephone got
21 disconnected earlier.

22 I'd like to highlight something mentioned by

1 Dr. Donoghue here. In general, these set of
2 analyses that we have chosen, each analysis was
3 dictated by the science and the data of this
4 application. We didn't take things that were
5 necessarily the most convenient for ourselves or
6 for the applicant. We picked what made the most
7 sense based on epidemiologic and statistical
8 methodology and what would be appropriate for this
9 data set.

10 As Dr. Donoghue mentioned, and Dr. Mehta,
11 and Dr. Barone, we used the date of Study 03-133
12 for the external control population. When we
13 considered immortal time bias, we looked at several
14 sensitivity analyses, only two of which are
15 presented here. All of our analyses indicated that
16 with greater adjustment for the bias, the results
17 were greatly attenuated for overall survival. They
18 approached or actually became greater than 1 in
19 hazard ratio.

20 Ultimately, this shows us that the choices
21 in the analysis population, or corrections for
22 known bias, when done appropriately, result in very

1 different treatment effects, and this highlights
2 the uncertainty regarding this data and the
3 treatment effect of omburtamab.

4 Finally, I would just note that we're not
5 indicating that any one of these analyses
6 definitively describe the treatment effect of
7 omburtamab, but rather that they indicate that we
8 cannot accurately or definitively characterize the
9 treatment effect of omburtamab, and therefore, we
10 must recognize that it's not well established from
11 this comparison.

12 DR. RAJAH: Dr. Rajah, Y-mAbs. We'd like to
13 respond to that, if I may.

14 Dr. Christensen, please?

15 DR. SINGH: Dr. Lieu, this is Harpreet
16 Singh, the director. I think it's really time to
17 close this particular topic. We've both spent an
18 inordinate amount of time discussing this
19 particular point. There are other hands raised. I
20 think we've made -- each side has had more than
21 adequate time to address this particular point. I
22 think we should move on.

1 (Crosstalk.)

2 DR. RAJAH: Dr. Rajah [indiscernible].

3 (Crosstalk.)

4 DR. LIEU: I agree. Why don't we move on to
5 Dr. MacDonald's question, and if we have time, we
6 can come back to this because this is, obviously, a
7 contentious issue. This will certainly come up in
8 the discussion, I'm sure.

9 But, Dr. MacDonald, your question?

10 DR. MacDONALD: Thank you. Toby MacDonald,
11 Emory University. The question is for the Y-mAbs
12 team, and it's apropos, I think, given the
13 challenges we've all heard in the interpretation of
14 the clinical data.

15 I just wanted clarification whether there
16 are any preclinical data demonstrating clearly the
17 mechanism of action, the efficacy of the drug when
18 given alone, and whether there's a survival
19 advantage seen over whole brain irradiation in
20 preclinical models of neuroblastoma. I think this
21 would be highly complementary and would help in the
22 interpretation of some of the data. Thank you.

1 DR. RAJAH: Dr. Rajah, Y-mAbs. I would like
2 to ask Dr. Kramer to answer that, please.

3 DR. KRAMER: Thank you. Kim Kramer from
4 MSK. We have published a rhabdomyosarcoma
5 xenograft model that expresses B7-H3 and showed
6 localization of the drug with the tumor and
7 improved survival. Those data are published by
8 Shakeel Modak, et al.

9 In addition to that, there was the
10 preclinical non-human primate study that gave the
11 drug into the CSF. Those were non-tumor bearing,
12 non-human primates, but demonstrated a relatively
13 safe profile, a huge as well as long-term
14 monitoring of these animals over several years.
15 Thank you.

16 DR. RAJAH: Thank you.

17 DR. MacDONALD: My concern is the whole
18 brain irradiation, and in particular the effect
19 over that. Are there any models in which the
20 animals received, for metastatic CNS disease, whole
21 brain irradiation and compared that to the addition
22 of the drug? Thank you.

1 DR. KRAMER: Kim Kramer from MSK. No, that
2 was never one of the treatment plans proposed in
3 the preclinical studies. Thank you.

4 DR. RAJAH: Thank you.

5 DR. MacDONALD: Thank you.

6 DR. LIEU: Thank you, Dr. MacDonald.

7 Dr. Kolb, your question?

8 DR. KOLB: Yes. Thank you. This is a
9 follow-up from Dr. MacDonald's question as well,
10 and this is for Dr. Kramer.

11 In the data from Dr. Modak and your work in
12 the primates, do you have any response to the FDA's
13 comment about the mechanism specifically for
14 parenchymal disease? Thank you. That'll be the
15 end of my question.

16 DR. KRAMER: Thank you. Kim Kramer from
17 MSK. On PET imaging, there's definitely uptake
18 seen in bulky tumor rhabdomyosarcoma models. We do
19 know that when tagged to i-131, the path length of
20 that isotope in general is millimeters, so part of
21 the rationale in recommending additional
22 therapy -- whether that's surgery or radiation

1 therapy -- prior to getting omburtamab is to get
2 those tumor sizes down to a mind-set that the i-131
3 could target micrometastases. Thank you.

4 DR. LIEU: Great.

5 I wanted to see if there are any additional
6 clarifying comments before we moved on to the panel
7 discussion.

8 DR. RAJAH: This is Dr. Rajah, Y-mAbs. We
9 want to just come back, and we have a comment on
10 the sensitivity analysis that was shown
11 [indiscernible].

12 DR. LIEU: If we can just make this quick
13 because, again, I think we've --

14 DR. RAJAH: I agree, too.

15 DR. LIEU: -- discussed this a lot, but yes.

16 I see that Dr. Donoghue also has a comment,
17 so I'll open up the floor for just a few minutes of
18 comment just to wrap this up, but let's please be
19 efficient in our use of time and discussion.

20 Thanks.

21 DR. RAJAH: We will do.

22 Dr. Christensen?

1 DR. CHRISTENSEN: Yes. René Christensen,
2 Y-mAbs. Slide up, please.

3 We definitely agree with the FDA that
4 analysis should be done to high scientific
5 standards, and we truly believe that we do the
6 same. We have a team of very famous
7 [indiscernible] statisticians and epidemiologists
8 at hand. The analysis you see here, again, in
9 contrast to the FDA analysis, takes the important
10 confounder, number of prior relapses, into account,
11 which shifts the image. That is a textbook example
12 of what happens if you don't take a confounder into
13 account. Also, please regard the natural history
14 of this population. Thank you.

15 DR. LIEU: Thank you so much.

16 Dr. Donoghue, a brief comment?

17 DR. DONOGHUE: Thank you.

18 I was just going to ask Dr. Stephanie Aungst
19 just to comment very briefly on the nonclinical
20 data in case there is any -- I think we need to
21 clear just a few things up.

22 Dr. Aungst?

1 DR. AUNGST: Hi, Martha. This is
2 Stephanie Aungst for the FDA. Can everybody hear
3 me?

4 DR. DONOGHUE: Yes.

5 DR. LIEU: Yes.

6 DR. AUNGST: I'm the nonclinical reviewer
7 for this application. The applicant did show tumor
8 uptake into subcutaneous rhabdomyosarcoma tumors in
9 brain that was after intravenous administration of
10 the radiolabeled omburtamab. They also did show
11 brain scan penetration after convection-enhanced
12 delivery for the radiolabeled drugs, but that was
13 directly to the brain stem. However, they haven't
14 provided any nonclinical evidence to support uptake
15 into the brain tissue or tumors after
16 administration directly to the CSF space. Thank
17 you.

18 DR. LIEU: Dr. Donoghue, any additional
19 comments from the FDA?

20 DR. DONOGHUE: No, I'll stop there. Thank
21 you very much, Dr. Lieu.

22 DR. RAJAH: Dr. Rajah, Y-mAbs.

1 [Indiscernible]. Thank you.

2 Dr. Kramer?

3 DR. KRAMER: Thank you.

4 Kim Kramer from MSK. Slide up on the
5 distribution of the antibody in patients as
6 assessed [indiscernible] by imaging after injection
7 in the various organs.

8 DR. RAJAH: Please start to show the slide
9 of the system [indiscernible] absorbed dose. You
10 have the slide showing the organ absorbed dose?
11 Thank you.

12 (Pause.)

13 DR. RAJAH: Slide up.

14 DR. KRAMER: Over a panel -- here we are;
15 slide up -- of approximately 20 different organs,
16 we showed the total absorbed treatment dose of
17 omburtamab, and this was in 22 patients by spect
18 imaging, serial spect imaging after injection.

19 As you can see here, the highest total
20 absorbed dose was in the liver and the brain,
21 followed by very low activity at all in any of the
22 remaining organs. However, all of the absorbed

1 dose in terms of centigray per millicurie were well
2 below what known radiation toxicity limits for
3 these organs are. Thank you.

4 DR. LIEU: Thank you.

5 Dr. Donoghue, do you have a brief comment
6 before we move on to the discussion?

7 DR. DONOGHUE: Yes, please.

8 Just very quickly, I'd like to turn to
9 Dr. Fotenos. Could you please pull up our backups?

10 And then while we're doing that, I just want
11 to comment that the absorbed dose that was shown
12 really reflects what is occurring in the
13 intracranial space, not in the brain itself, due to
14 the methodology used to assess that.

15 DR. FOTENOS: Thank you, Dr. Donoghue.

16 This is Andy Fotenos. I'm the clinical team
17 leader and nuclear medicine physician in the
18 Division of Imaging and Radiation Medicine in the
19 Office of New Drugs.

20 I'd like to start by drawing attention to
21 the public set of images in the lower panel. In
22 this panel, you can see 6 stats [indiscernible]

1 plus two unweighted magnetic resonance images
2 acquired at multiple time points from the same
3 healthy individual. The baseline image in the
4 lower left was acquired before contrast image
5 administration and the images to the lower right
6 were acquired after investigational intrathecal
7 administration directly into the cerebral spinal
8 fluid of the small molecule gadolinium-based
9 contrast agent.

10 Comparing post- to pre-contrast imaging, you
11 can clearly see that the predominant areas of small
12 molecule transit and brightening are limited to the
13 peripheral leptomeningeal compartment and not to
14 the central nervous system parenchymal compartment.
15 The pattern is consistent with cerebrospinal
16 physiology.

17 The upper panel shows that the applicant
18 acquired imaging on delivery of their product to
19 target leptomeningeal in central nervous
20 compartment under Study 03-133, including
21 potentially highly informative pre-therapeutic
22 i-124 positron emission tomography and magnetic

1 resonance imaging data from over 40 patients.
2 Notably, none of this data has yet been submitted
3 to the application for review.

4 Particularly, across all CNS tumor lesions
5 from over 40 patients administered i-124 omburtamab
6 for pre-therapy imaging, the number with uptake and
7 the degree of uptake remains unreported. In sum,
8 the internal radiation delivery to the
9 leptomeningeal compartment is likely higher and
10 more consistent in radiation delivery to the
11 central nervous system compartment.

12 **Questions to the Committee and Discussion**

13 DR. LIEU: Thank you, everybody, for those
14 comments and for answering all of the clarifying
15 questions, and to our panel as well.

16 The committee will now turn its attention to
17 address the task at hand, the careful consideration
18 of the data before the committee, as well as the
19 public comment. We will now proceed with the
20 questions to the committee and panel discussion. I
21 would like to remind all public observers that
22 while this meeting is open for public observation,

1 public attendees may not participate, except at the
2 specific request of the panel. After I read each
3 question, we will pause for any questions or
4 comment concerning its wording, then we will open
5 the question to discussion.

6 May I have the question for discussion
7 placed up onto the presentation? Wonderful.

8 For discussion, discuss whether data
9 provided by the applicant isolates the treatment
10 effect of omburtamab from the effects of
11 multimodality therapy for central nervous
12 system/leptomeningeal metastases relapse or if
13 additional data are needed.

14 Are there any questions about the wording of
15 the discussion question?

16 (No response.)

17 DR. LIEU: If there are no questions or
18 comments concerning the wording of the question, we
19 will now open the question to discussion, and I'm
20 happy to start us off.

21 Obviously, I am not a pediatric oncologist.
22 I think seeing this data, I obviously have a lot of

1 desire to see more therapeutics in, obviously, a
2 rare disease that obviously needs additional
3 therapeutics. I do want to state that I think
4 we're -- and I hate to call on people, but I do
5 think the opinion here of our statisticians,
6 Dr. Harrington, Dr. Hudgens, as well as our
7 epidemiologists, Dr. Jonsson Funk, will be
8 extraordinarily helpful here because I think, as
9 you've heard with the clarifying questions in
10 particular, that the statistical analysis of this
11 data is fraught with a lot of confounders and would
12 love the impression from the panel, and obviously
13 those that have more expertise in this disease.

14 My concern is regarding the external
15 controls. I'm thrilled to see real-world data and
16 for that to be presented to the FDA, and for us to
17 be considering it, but I do have concerns about the
18 applicability of the external controls in regards
19 to how we're supposed to interpret that survival
20 data in regards to the treatment data. But
21 certainly, we'll open it up to the panel. Those
22 that desire to make comment, please raise your

1 hand, and I'll call on you.

2 Dr. Nieva?

3 DR. NIEVA: Thank you. Jorge Nieva from
4 USC. I think I very much wanted to believe the
5 survival differences that were shown, but in
6 looking at the adjustments for confounders, this
7 really looks to me like this is a lot of selection
8 bias, and unfortunately we don't have any data that
9 isolates the treatment in the absence of a lot of
10 other treatments, and we don't have good response
11 rate data that's not inconsistent among different
12 reviewers.

13 I'm very much bothered by the fact that the
14 best picture that we've had showing a response was
15 a picture that was confounded by intervening
16 chemotherapy, and all these things I think make me
17 want to see more data. Thank you.

18 DR. LIEU: Thank you, Dr. Nieva.

19 Dr. Park?

20 DR. PARK: Hi. This is Julie Park from the
21 University of Washington, and thank you very much
22 for the opportunity to ask questions and speak.

1 I think there was a very thorough discussion
2 about the confounding differences between the
3 external control group and the experimental group.
4 I think one area that we did not really delve into
5 is the likely significant difference in the upfront
6 treatment that these patients received as well,
7 really highlighting the importance of the era of
8 treatment. In addition, the importance of the era
9 of treatment really enhanced the aggressiveness for
10 which the neuroblastoma community approached
11 relapse neuroblastoma and has changed greatly over
12 time with the advent of newer therapies.

13 So I think all of those are extremely
14 important confounding effects and really highlight
15 the importance or limitations of the statistical
16 analyses.

17 DR. LIEU: Thank you, Dr. Park.

18 Dr. Harrington?

19 DR. HARRINGTON: Thank you.

20 I think what I would like to acknowledge up
21 front is that it's very rare, when using
22 uncontrolled studies against observational

1 controls, that you can isolate a treatment effect.
2 So I think at first blush, according to the
3 statement, did the applicant isolate treatment
4 effect? I would say no, but I would say it's very,
5 very hard to do that in this setting.

6 For me, when I look at the comparison of a
7 study with historical controls, what I look for is
8 a certain robustness in the analysis, and by that I
9 mean if you go at it several different ways, do the
10 results hold up. And I think that what we're
11 seeing here, what I'm seeing, is that approaches
12 taken by the sponsor and approaches taken by the
13 FDA can lead to very different conclusions here,
14 and those are how you adjust for initial treatment,
15 as been stated by Dr. Park, the era of treatment,
16 immortal time bias, and coming down to very small
17 sample sizes.

18 For me, of course, I have to balance against
19 using this in a rare disease, which would preclude
20 having a large observational database for a
21 control. But for me, there are just too many
22 differences in the way one looks at the study to be

1 comfortable that there's a -- not to say isolates
2 the treatment effect but a plausible establishment
3 of an important association with the administration
4 of the drug. Thank you.

5 DR. LIEU: Thank you, Dr. Harrington.

6 Dr. MacDonald?

7 DR. MacDONALD: Toby MacDonald, Emory
8 University. I think as a pediatric
9 neuro-oncologist, what concerns me the most, and
10 what I just can't get past, is the comparator group
11 not using craniospinal irradiation versus a group
12 that has craniospinal irradiation. We know from
13 other malignant diseases, primary the
14 brain -- medulloblastoma, ependymoma -- that in
15 metastatic disease, craniospinal irradiation is
16 much more effective at controlling the disease and
17 improving survival than focal radiation.

18 Secondly, we don't even know the dose of the
19 focal radiation given in the other group, so to
20 really make any comparison, to me, is impossible
21 from that standpoint alone. Second, the tumor
22 responses, we know that radiation can have a

1 long-term effect and that responses may be delayed
2 on imaging, and months later -- 3 months, 6 months
3 later -- you can actually see responses occurring
4 with radiation alone. So to me, that is the
5 absolute obstacle point in trying to effect
6 response data, as well as survival data. Thank
7 you.

8 DR. LIEU: Thank you, Dr. MacDonald.

9 I want to open up for any additional
10 comments or questions from the panel.

11 Dr. Hudgens?

12 DR. HUDGENS: [Indiscernible]?

13 DR. LIEU: Yes.

14 DR. HUDGENS: Sorry.

15 I agree with comments that have been made by
16 others on the panel that there's some concern here
17 about -- there's a lot of uncertainty in these data
18 and the way it's analyzed.

19 I see two major concerns. Whenever we do
20 these observational data analyses, we worry about
21 adjustment for confounding, and I think we worry
22 about unmeasured confounders, but I think here even

1 we're worried about measured confounders. Calendar
2 time is a confounder here; it looks like the amount
3 of treatment that the control and the treated have
4 received; concomitant therapy is different; and
5 there's a lack of overlap or a lack of positivity
6 related to some of these measured confounders. So
7 no amount of inverse probability weighting by
8 propensity scores is going to help resolve. That
9 to me seems like a major issue.

10 Then there's the immortal time bias that
11 folks have talked about. And I don't want to harp
12 on that, but I do want to address the second part
13 of the discussion, which is this question about
14 what additional data is needed. One thing that I
15 think might be helpful is an analysis that emulates
16 a target trial.

17 There have been many papers written about
18 this idea, but to say what's the randomized trial
19 we'd like to do but we couldn't do, and that would
20 articulate very carefully what the eligibility
21 criteria would be for that trial: what the
22 different regimens would be that would be compared;

1 what would be the control arm; what would be the
2 treatment arm; and what would be times zero; and to
3 spell all those things out, and then use the 03-133
4 data, the German registry data, and what other data
5 we have available as best we can to analyze those
6 data in a way that's consistent with this trial
7 emulation idea. That's all. Thank you.

8 DR. LIEU: Thank you, Dr. Hudgens.

9 Any other comment from the panel?

10 DR. PARK: This is Julie Park. I'd like to
11 just follow with that as far as the additional data
12 needed, again, harping on what the upfront
13 treatment was for these patients. I think, in
14 particular, the use of total body irradiation as
15 part of a conditioning regimen for transplant or
16 prior radiolabeled MIBG [indiscernible] in patient
17 populations because that really could set you up
18 for a differential response to radiation later at
19 the time of recurrence, and I think that would be
20 very important data.

21 DR. LIEU: Thank you, Dr. Park.

22 Dr. Widemann?

1 DR. WIDEMANN: Hi. Brigette Widemann, NCI.
2 I just wanted to say I second Dr. MacDonald's
3 concerns. It almost seems like the craniospinal
4 radiation plus omburtamab would have to go together
5 in a package because I don't think they can be
6 separated, and I would not be able to tell which
7 one is more important because I think the majority
8 of the patients received the craniospinal radiation
9 in comparison to the German group. It's very
10 difficult.

11 DR. LIEU: Thank you, Dr. Widemann.

12 Any other comments from the panel?

13 Dr. Jonsson Funk?

14 DR. JONSSON FUNK: I just wanted to share
15 Dr. Hudgens' perspective that I agree that
16 confounding is front and center, and we have
17 thought a lot about that. I think selection bias
18 is often much more challenging to think clearly
19 about, and the target trial emulation approach that
20 he has mentioned I think is a tool that can help us
21 think very clearly about the selection bias that
22 may be introduced at different phases of when we

1 identify participants and how we follow them. So I
2 would just like to second that suggestion for going
3 forward. Thank you.

4 DR. LIEU: Thank you, Dr. Jonsson Funk.

5 Other comments?

6 (No response.)

7 DR. LIEU: Wonderful. Thank you for that
8 discussion. I'd like to summarize what we just
9 discussed over the last 10 minutes, and that is a
10 fairly consistent theme across the discussion
11 regarding measured and unmeasured confounders.
12 Regarding overall survival data, there's concern
13 from the panel regarding the era of treatment and
14 how treatment has changed over the course of a more
15 modern approach, also significant concern regarding
16 cerebrospinal irradiation and how that may impact
17 data.

18 There's also expressed concern regarding
19 response rate data and confounders to potential
20 responses, as well as a desire from the panel to
21 see more robust data in an analysis that would be
22 slightly more consistent but would certainly

1 include additional patients. And there was comment
2 in regards to possible pathways forward in regards
3 to what additional data could be helpful, and
4 comments made from Dr. Hudgens and others in regard
5 to a more clinical trial-like data set to be able
6 to compare a control arm, even utilizing real-world
7 data compared to the treatment arm.

8 Any additional comment before we move to the
9 voting question?

10 (No response.)

11 DR. LIEU: Alright. We will now move on to
12 the next question, which is a voting question.
13 Dr. Phil Bautista will provide the instructions for
14 the voting.

15 DR. BAUTISTA: Hi. This is Phil Bautista,
16 the DFO. Question number 2 is a voting question.
17 Voting members will use the Adobe Connect platform
18 to submit their votes for this meeting.

19 (Audio feedback.)

20 DR. BAUTISTA: I would ask somebody to go
21 ahead and mute their microphone.

22 Thank you so much.

1 After the chairperson has read the voting
2 question into the record and all questions
3 regarding the wording of the vote question have
4 been answered, the chairperson will announce that
5 the voting will begin. If you are a voting member,
6 you'll be moved to a breakout room. A new display
7 will appear where you will submit your vote. There
8 will be no discussion in the breakout room. Again,
9 there will be no discussion in the breakout room.

10 When voting, you should select the radio
11 button that is a round circular button in the
12 window that corresponds to your vote, yes, no, or
13 abstain. You should not leave the "no vote" choice
14 selected. Please note that you do not need to
15 submit or send your vote. You need only to select
16 the radio button that corresponds to your vote.
17 You'll have the opportunity to change your vote
18 until the vote is announced as closed. Once all
19 voting members have selected their vote, I will
20 announce that the vote is closed.

21 Next, the vote results will display on the
22 screen. I will read the vote results from the

1 screen into the record. Afterwards, the
2 chairperson will go down the list, and each voting
3 member will state their name and how they voted
4 into the record. You can also state the reason why
5 you voted as you did, if you'd like to, however,
6 you should address any subparts of the voting
7 question, if any.

8 Are there any questions about the voting
9 process before begin? I see some hands raised
10 here. If you do not have any questions, I'll ask
11 you to lower them, please.

12 Dr. Harrington and Dr. Nieva, do you have
13 any questions about the voting process?

14 DR. HARRINGTON: I do not. I'm trying to
15 lower my hand right now. Thank you.

16 DR. BAUTISTA: Alright. Thank you so much.

17 Dr. Lieu, I'll go ahead and hand it back to
18 you to read the question.

19 DR. LIEU: Great. I'll read the question
20 for a vote.

21 The applicant has provided a comparison of
22 omburtamab following multimodality treatment in a

1 single-arm Study 03-133 to an external control
2 derived from a German registry. The voting
3 question is, has the applicant provided sufficient
4 evidence to conclude that omburtamab improves
5 overall survival?

6 Are there any questions regarding the
7 wording of the voting question?

8 (No response.)

9 DR. LIEU: If there are no questions or
10 comments concerning the wording of the question, we
11 will now begin the voting on the proposed question.

12 DR. BAUTISTA: Thank you. We will now be
13 moving only voting members to the voting breakout
14 room. Within the voting breakout room, there will
15 be no discussion of the question.

16 (Voting.)

17 DR. BAUTISTA: Hi, all. This is Phil
18 Bautista, the DFO. The votes are now displayed.
19 I'll read the vote total into the record, and then
20 the chairperson is going to go down the list, and
21 each voting member will state their name and how
22 they voted into the record.

1 We have zero yeses, 16 noes, and zero
2 abstentions.

3 Dr. Lieu?

4 DR. LIEU: Thank you.

5 We will now go down the list and have
6 everyone who voted state their name and their vote
7 into the record. You may also provide
8 justification of your vote if you wish to. We'll
9 start with Dr. Widemann.

10 DR. WIDEMANN: Brigette Widemann. I voted
11 no.

12 DR. LIEU: Thank you, Dr. Widemann.

13 I'm next. I'm Christopher Lieu. I also
14 voted no. I think this is a tough situation
15 because I think we're all motivated to provide more
16 of these therapeutics to these patients that
17 desperately need them. I think the key issue here
18 is whether or not there's clear overall survival
19 benefit, and this bar has not been met. And I
20 think that this is due to significant discrepancies
21 between the external control and the treatment
22 group.

1 I think the contemporary data from the
2 external control arm showing similar survival is
3 compelling, but these numbers are unbelievably
4 small as well. It would also be nice if we saw a
5 very significant clear response rate, but this is
6 also confounded due to the multiple therapies being
7 received by these patients.

8 I would just say, in terms of next steps, I
9 hope and I believe that there may be a pathway
10 forward. I appreciate Dr. Hudgens' and others
11 comments in regards to a more robust and comparable
12 contemporary external control group, and if there
13 is a significant survival difference there, I think
14 that would be helpful for this particular
15 therapeutic. I think that this potentially could
16 be done with some type of academic collaboration,
17 but at this time the data do not support the
18 continued approval.

19 Dr. Harrington?

20 DR. HARRINGTON: This is Dave Harrington. I
21 voted no for all the reasons that have come up in
22 the discussion and have been stated very eloquently

1 by Dr. Lieu.

2 DR. LIEU: Thank you, Dr. Harrington.

3 Mr. Mitchell?

4 MR. MITCHELL: I'm David Mitchell.

5 Especially with a rare disease affecting children
6 with a serious unmet need, I really believe in
7 using the best available data versus insisting on a
8 theoretical ideal. But using the best available
9 data plausibly presented to us today, I can only
10 conclude that the applicant has not provided
11 sufficient evidence that allows us to conclude that
12 omburtamab improves overall survival, so I voted
13 no.

14 DR. LIEU: Thank you, Mr. Mitchell.

15 Dr. Parsons?

16 DR. PARSONS: This is Will Parsons. I voted
17 no, as well; no further comments.

18 DR. LIEU: Thank you, Dr. Parsons.

19 Dr. Kolb?

20 DR. KOLB: Yes. Hi. This is Andy Kolb,
21 Nemours Children's Health. I voted no. I'd just
22 like to add commendation to Y-mAbs for continuing

1 to try to develop novel therapies and rare subsets
2 in children. This is very hard to do, and I think
3 this work highlights a lot of the difficulties that
4 we face, and appreciate the agency's consideration
5 in this matter, as well.

6 DR. LIEU: Thank you, Dr. Kolb.

7 Dr. McMillan?

8 DR. McMILLAN: This is Dr. McMillan, and I
9 voted no.

10 DR. LIEU: Thank you, Dr. McMillan.

11 Dr. Nieva?

12 DR. NIEVA: This is Jorge Nieva. This is a
13 trial that, if positive, would have affected a
14 handful of children in the United States each year,
15 and I really want to salute the company and the
16 investigators for the work they did to try to bring
17 this forward. But I'm not convinced that the drug
18 is doing something more than the effects of
19 selection bias of special applied center treatment.

20 From the standpoint of additional data, I'd
21 like to see evidence of single-agent responses that
22 are reliable and not contaminated by concurrent

1 therapy. It also may be possible to build a
2 registry from similar large-volume centers that
3 engage in clinical trials so that we're not simply
4 seeing the effect of treatment at a specialized
5 center versus treatment in a general population.

6 Thank you.

7 DR. LIEU: Thank you, Dr. Nieva.

8 Dr. Park?

9 DR. PARK: This is Julie Park. I'd like to
10 also recognize the considerable efforts that Y-mAbs
11 and these investigators have provided for this very
12 high unmet need in pediatric oncology, however, my
13 vote is no because of all the reasons that were
14 eloquently outlined by Dr. Lieu. I do also hope
15 that there is a way forward for us to try to get
16 more data to further investigate whether there is a
17 benefit, but at this time I cannot prove that.

18 DR. LIEU: Thank you, Dr. Park.

19 Dr. Hudgens?

20 DR. HUDGENS: This is Michael Hudgens. I
21 voted no. I have no additional comments for me.

22 Thank you.

1 DR. LIEU: Thank you, Dr. Hudgens.

2 Dr. Jonsson Funk?

3 DR. JONSSON FUNK: This is Michele Jonsson
4 Funk. I voted no, and I just want to recognize the
5 pioneering nature of the work that is going on at
6 both Y-mAbs and FDA to use external control arms to
7 try to identify and understand the potential
8 benefit of this therapy, and recognize that this is
9 groundbreaking work, and it's not a straightforward
10 or clear path forward. So I really look forward to
11 seeing additional data and analyses, and hope that
12 we can [inaudible - audio gap] what that treatment
13 benefit is. Thank you.

14 DR. LIEU: Thank you, Dr. Jonsson Funk.

15 Dr. Esiashvili?

16 DR. ESIASHVILI: This is Dr. Natia
17 Esiashvili. I voted no on the basis of all the
18 points and discussions we've heard earlier, and
19 again want to echo others' comments to really give
20 credit to the company and investigators for this
21 remarkable work, and hopefully find a better path
22 forward to answer this very challenging -- and

1 clinically fill a big need for children suffering
2 from this very high-risk patient population. So
3 again, I hope there will be lessons learned and
4 some better pathways and methodology to implement
5 from this discussion.

6 DR. LIEU: Thank you, Dr. Esiashvili.

7 Dr. Vasan?

8 DR. VASAN: Hi. Neil Vasan. I voted no.

9 In addition to what everyone else has just said, I
10 just really wanted to acknowledge the heroic
11 efforts by Y-mAbs, the investigators, and also the
12 patients and their families who testified today.

13 In addition to the comments that everyone else
14 raised about trying to move forward, I would also
15 encourage the company to perform more preclinical
16 experiments to define the mechanism of action that
17 this is on target, and perhaps that could also
18 influence these trials. Thank you.

19 DR. LIEU: Thank you, Dr. Vasan.

20 Dr. Seibel?

21 DR. SEIBEL: Yes. I voted no, as well,
22 particularly based on the discrepancy with external

1 controls, as well as the response documentation,
2 and really hope that they could tighten up the
3 disease evaluation at study entry so you could have
4 accurate response assessment and not being confused
5 with additional therapy that's given.

6 I do have to commend both the company, as
7 well as the FDA for trying to use real-world data
8 for this. There's no question this is an unmet
9 need, and I just hope and encourage the company to
10 alter their future plans so something like this
11 could be available for patients with CNS and
12 leptomeningeal neuroblastoma.

13 DR. LIEU: Thank you, Dr. Seibel.

14 Dr. Bagatell?

15 DR. BAGATELL: Hi. This is Ro Bagatell.
16 Like everybody else, I appreciate the efforts of
17 the folks from Y-mAbs and also the FDA for their
18 very thoughtful analyses and efforts to try to
19 understand the data as best we can, but I had to
20 vote no just based on the difficulties in
21 interpreting the data that exist.

22 But I do think that as a community of

1 investigators, and clinicians, and regulators, and
2 applicants, we are going to have to use historical
3 or real-world data for these rare subsets of
4 patients and understand how to best use them. So I
5 really appreciate everyone involved on the call
6 today in carefully thinking about these things.

7 DR. LIEU: Thank you, Dr. Bagatell.

8 And Dr. MacDonald?

9 DR. MacDONALD: This is Toby MacDonald, and
10 sadly I, too, vote no, but applaud Y-mAbs and
11 Dr. Kramer for their efforts, and encourage them to
12 continue to do so to bring forward in the future
13 more compelling evidence that meets the bar to show
14 a true survival advantage, and I would welcome
15 that. Thank you.

16 DR. LIEU: Thank you, Dr. MacDonald, and my
17 sincere appreciation to the panel for their
18 discussion and their votes today.

19 To summarize, I won't belabor any of these
20 points, as I feel like it's been very consistent,
21 but the panel does not feel that the applicant has
22 met the criteria needed to prove overall survival

1 benefit. The panel would like the increased
2 response, obviously; if possible, an improved
3 comparator; and potentially even more robust
4 preclinical data.

5 There's sincere appreciation from the panel
6 to both Y-mAbs and the FDA for their efforts, and
7 obviously need to say thank you to our patients,
8 and their providers, and their families for being
9 involved in this research; and then significant
10 appreciation that the FDA is considering external
11 controls and real-world data to hopefully move
12 forward therapeutics in rare diseases that
13 obviously need better therapeutics.

14 Before we adjourn, are there any last
15 comments from the FDA?

16 DR. DONOGHUE: This is Martha Donoghue. I
17 just want to thank everybody for their service and
18 coming together today to consider this application
19 and the issues at hand, so thank you all very much.

20 **Adjournment**

21 DR. LIEU: Thank you, Dr. Donoghue.

22 With that, we will now adjourn the meeting,

1 and I want to say thank you to everybody involved.

2 Have a great weekend.

3 (Whereupon, at 2:35 p.m., the meeting was
4 adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22