

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

CARDIOVASCULAR AND RENAL DRUGS
ADVISORY COMMITTEE (CRDAC) MEETING

Virtual Meeting

Wednesday, November 16, 2022

9:30 a.m. to 4:24 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

CARDIOVASCULAR AND RENAL DRUGS ADVISORY COMMITTEE

MEMBERS (Voting)

Jacqueline D. Alikhaani, BA

(Consumer Representative)
Volunteer and Advocate
American Heart Association
Los Angeles, California

C. Noel Bairey Merz, MD, FACC, FAHA, FESC

Director
Barbra Streisand Women's Heart Center
Cedars-Sinai Medical Center
Los Angeles, California

1 **Javed Butler, MD, MPH, MBA**

2 Distinguished Professor of Medicine

3 University of Mississippi

4 President, Baylor Scott and White Research

5 Institute

6 Dallas, Texas

7

8 **Thomas D. Cook, PhD, MS, MA**

9 Professor (Clinical Health Sciences)

10 Clinical Trials Program

11 Department of Biostatistics and Medical

12 Informatics

13 University of Wisconsin-Madison

14 Madison, Wisconsin

15

16 **Edward K. Kasper, MD, FACC, FAHA**

17 Director of Outpatient Cardiology

18 E. Cowles Andrus Professor in Cardiology

19 Johns Hopkins School of Medicine

20 Baltimore, Maryland

21

22

1 **Julia B. Lewis, MD**

2 *(Chairperson)*

3 Professor of Medicine

4 Division of Nephrology

5 Vanderbilt Medical Center

6 Nashville, Tennessee

7

8 **Christopher M. O'Connor, MD, MACC,**

9 **FESC, FHFA, FHFSA**

10 Professor of Medicine, Duke University

11 President and Executive Director

12 Inova Heart and Vascular Institute

13 Falls Church, Virginia

14

15 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

16 **(Non-Voting)**

17 **David Soergel, MD**

18 *(Acting Industry Representative)*

19 Global Head of Cardiovascular, Renal &

20 Metabolism Development

21 Novartis Pharmaceuticals Corporation

22 East Hanover, New Jersey

1 **TEMPORARY MEMBERS (Voting)**

2 **Paul T. Conway**

3 *(Patient Representative)*

4 Chair, Policy & Global Affairs

5 American Association of Kidney Patients

6 Falls Church, Virginia

7

8 **Ian de Boer, MD, MS**

9 Professor of Medicine, Division of Nephrology

10 Joseph W. Eschbach, MD Endowed Chair in

11 Kidney Research

12 Director, Kidney Research Institute

13 Adjunct Professor of Epidemiology

14 University of Washington

15 Seattle, Washington

16

17 **Scott S. Emerson, MD, PhD**

18 Professor Emeritus of Biostatistics

19 University of Washington

20 Seattle, Washington

21

22

1 **Linda F. Fried, MD**

2 Professor of Medicine and Epidemiology

3 University of Pittsburgh

4 Staff Nephrologist

5 VA Pittsburgh Healthcare System

6 Pittsburgh, Pennsylvania

7

8 **Susan R. Mendley, MD**

9 Senior Scientific Advisor

10 Division of Kidney, Urologic and Hematologic

11 Diseases

12 Bethesda, Maryland

13

14 **Patrick H. Nachman, MD, FASN**

15 Director, Division of Nephrology and

16 Hypertension

17 Director, Minnesota Multidisciplinary Vasculitis

18 Program

19 Medical Director, M Health Fairview Nephrology

20 Service Line

21 University of Minnesota

22 Minneapolis, Minnesota

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Hylton V. Joffe, MD, MSc**

3 Director

4 Office of Cardiology, Hematology,

5 Endocrinology and Nephrology (OCHEN)

6 Office of New Drugs (OND), CDER, FDA

7

8 **Norman Stockbridge, MD, PhD**

9 Director

10 Division of Cardiology and Nephrology (DCN)

11 OCHEN, OND, CDER, FDA

12

13 **Aliza Thompson, MD, MS**

14 Deputy Director

15 DCN, OCHEN, OND, CDER, FDA

16

17 **Selena DeConti, PharmD, MPH**

18 Safety Analyst

19 DCN, OCHEN, OND, CDER, FDA

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ling-Wan Chen, PhD, MS

Statistical Reviewer

Division of Biometrics II

Office of Biostatistics

Office of Translational Sciences, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Julia Lewis, MD	11
5	Introduction of Committee	
6	LaToya Bonner, PharmD	11
7	Conflict of Interest Statement	
8	LaToya Bonner, PharmD	18
9	FDA Opening Remarks	
10	Aliza Thompson, MD, MS	24
11	Applicant Presentations - Ardelyx, Inc.	
12	Introduction	
13	Laura Williams, MD, MPH	32
14	Unmet Need	
15	Glenn Chertow, MD	43
16	Study Design Considerations	
17	Jason Conner, PhD	51
18	Efficacy and Clinical Meaningfulness	
19	David Spiegel, MD	56
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Safety	
4	Laura Williams, MD, MPH	73
5	Clinical Perspective	
6	Stuart Sprague, DO	80
7	Clarifying Questions	86
8	FDA Presentations	
9	Tenapanor's Efficacy and Safety	
10	Aliza Thompson, MD, MS	126
11	Ling-Wan Chen, PhD	131
12	Selena DeConti, PharmD, MPH	145
13	Clarifying Questions	150
14	Open Public Hearing	192
15	Clarifying Questions (continued)	239
16	Charge to the Committee	
17	Aliza Thompson, MD, MS	246
18	Questions to the Committee and Discussion	247
19	Adjournment	306
20		
21		
22		

P R O C E E D I N G S

(9:30 a.m.)

Call to Order

DR. LEWIS: Good morning, and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Julia Lewis. I will be chairing this meeting. I will now call the November 16, 2022 Cardiovascular and Renal Drugs Advisory Committee meeting to order. Commander LaToya Bonner is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

CDR BONNER: Thank you, ma'am.

Good morning. My name is LaToya Bonner, and I am the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

We'll start with Ms. Alikhaani.

MS. ALIKHAANI: Good morning. I'm

1 Jacqueline Alikhaani, and I live in Los Angeles. I
2 am a heart survivor, heart patient, and citizen
3 scientist. I'm a long time volunteer with the
4 American Heart Association, and I also serve as an
5 ambassador for PCORI, the Patient-Centered Outcomes
6 Research Institute; wonderful to be here this
7 morning.

8 CDR BONNER: Thank you, ma'am.

9 Next, we have Dr Merz.

10 DR. BAIREY MERZ: Welcome. Noel Bairey
11 Merz, clinical investigative cardiology, Smidt
12 Heart Institute, Cedars-Sinai Medical Center, Los
13 Angeles, California.

14 CDR BONNER: Thank you.

15 Next is Dr. Butler. Please introduce
16 yourself for the record.

17 DR. BUTLER: Hi. Javed Butler. I'm a
18 cardiologist at Baylor Scott and White Health in
19 Dallas, Texas.

20 CDR BONNER: Thank you.

21 Dr. Cook?

22 DR. COOK: Thomas Cook, Department of

1 Biostatistics and Medical Informatics at the
2 University of Wisconsin-Madison. Thank you.

3 CDR BONNER: Thank you.

4 Dr. Kasper?

5 DR. KASPER: Ed Kasper, heart failure
6 cardiologist, Johns Hopkins, Baltimore, Maryland.

7 CDR BONNER: Thank you, sir.

8 And our chair, Dr. Lewis?

9 DR. LEWIS: Julia Lewis, nephrologist,
10 Vanderbilt University Medical Center.

11 CDR BONNER: Thank you.

12 Dr. O'Connor?

13 DR. O'CONNOR: Christopher O'Connor. I'm a
14 heart failure cardiologist and president of the
15 Inova Heart and Vascular Institute.

16 CDR BONNER: Thank you, sir.

17 We'll continue with Dr. Fried.

18 DR. FRIED: Good morning. Linda Fried,
19 nephrologist, Pittsburgh VA and University of
20 Pittsburgh.

21 CDR BONNER: Thank you, ma'am.

22 Mr. Conway?

1 MR. CONWAY: Good morning. Paul Conway.
2 I'm a 42-year kidney patient, 3 years on dialysis,
3 25 years out on a transplant. I serve as chair of
4 Policy & Global Affairs for the American
5 Association of Kidney Patients. Thank you.

6 CDR BONNER: Thank you.

7 Next is Dr. de Boer.

8 DR. DE BOER: Ian de Boer. I'm a
9 nephrologist and an epidemiologist at the
10 University of Washington in Seattle, and I direct
11 our Kidney Research Institute and have a clinical
12 practice at the Puget Sound VA Medical Center.

13 CDR BONNER: Next is Dr. Emerson.

14 DR. EMERSON: Scott Emerson. I'm a
15 professor emeritus of biostatistics at the
16 University of Washington in Seattle.

17 CDR BONNER: Thank you.

18 Dr. Mendley?

19 DR. MENDLEY: Good morning. I'm Susan
20 Mendley. I'm a nephrologist and program officer at
21 the National Institute of Diabetes and Digestive
22 and Kidney Diseases of the NIH.

1 CDR BONNER: Thank you.

2 Dr. Nachman?

3 DR. NACHMAN: Patrick Nachman. I'm a
4 nephrologist and director of the Division of
5 Nephrology and Hypertension at the University of
6 Minnesota. Thank you.

7 CDR BONNER: Thank you, sir.

8 Next is our acting industry representative,
9 Dr. Soergel.

10 DR. SOERGEL: David Soergel, head of
11 cardiovascular renal metabolism drug development at
12 Novartis. Thank you.

13 CDR BONNER: Thank you.

14 Now I will introduce to you our FDA
15 participants, starting with Dr. Joffe.

16 DR. JOFFE: Hi. This is Hylton Joffe. I'm
17 the director of the Office of Cardiology,
18 Hematology, Endocrinology and Nephrology at FDA.

19 CDR BONNER: Thank you.

20 Dr. Stockbridge?

21 DR. STOCKBRIDGE: Good morning. I'm Norman
22 Stockbridge. I'm the director of the Division of

1 Cardiology and Nephrology at FDA.

2 CDR BONNER: Thank you.

3 Dr. Thompson?

4 DR. THOMPSON: Good morning. My name is
5 Aliza Thompson, and I am the deputy director of the
6 Division of Cardiology and Nephrology at the FDA.

7 CDR BONNER: Dr. DeConti?

8 DR. DeCONTI: Good morning. This is Selena
9 De Conti. I'm the safety analyst for the
10 application.

11 CDR BONNER: Thank you.

12 Next is Dr. Chen.

13 DR. CHEN: Good morning. This is Ling-Wan
14 Chen. I'm the biometrics reviewer from the
15 Division of Biometrics II at the FDA.

16 CDR BONNER: Thank you.

17 I will now turn this meeting back over to
18 our chair.

19 Dr. Lewis?

20 DR. LEWIS: For topics such as those being
21 discussed at this meeting, there are often a
22 variety of opinions, some of which are quite

1 strongly held. Our goal is that this meeting will
2 be a fair and open forum for discussion of these
3 issues and that individuals can express their views
4 without interruption. Thus, as a gentle reminder,
5 individuals will be allowed to speak into the
6 record only if recognized by the chairperson. We
7 look forward to a productive meeting.

8 In the spirit of the Federal Advisory
9 Committee Act and the Government in the Sunshine
10 Act, we ask that advisory committee members take
11 care that their conversations about the topic at
12 hand take place in the open forum of the meeting.

13 We are aware that members of the media are
14 anxious to speak with the FDA about these
15 proceedings; however, FDA will refrain from
16 discussing the details of this meeting with the
17 media until its conclusion. Also, the committee is
18 reminded to please refrain from discussing the
19 meeting topics during breaks or lunches. Thank
20 you.

21 Commander LaToya Bonner will read the
22 Conflict of Interest Statement for the meeting.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Conflict of Interest Statement

CDR BONNER: Thank you.

The Food and Drug Administration, FDA, is convening today's meeting of the Cardiovascular and Renal Drugs Advisory Committee under the authority of the Federal Advisory Committee Act, FACA, of 1972. With the exception of the industry representative, all members and temporary voting members of the committee are special government employees or regular federal employees from other agencies and are subject to federal conflict of interest laws and regulations.

The following information on the status of this committee's compliance with federal ethics and conflict of interest laws, covered by but not limited to those found at 18 U.S.C. Section 208, is being provided to participants in today's meeting and to the public.

FDA has determined that members and temporary voting members of this committee are in compliance with federal ethics and conflict of interest laws. Under 18 U.S.C. Section 208,

1 Congress has authorized FDA to grant waivers to
2 special government employees and regular federal
3 employees who have potential financial conflicts
4 when it is determined that the agency's need for a
5 special government employee's services outweighs
6 his or her potential financial conflict of interest
7 or when the interest of a regular federal employee
8 is not so substantial as to be deemed likely to
9 affect the integrity of the services which the
10 government may expect from the employee.

11 Related to the discussion of today's
12 meeting, members and temporary voting members of
13 this committee have been screened for potential
14 financial conflicts of interests of their own as
15 well as those imputed to them, including those of
16 their spouses or minor children and, for purposes
17 of 18 U.S.C. Section 208, their employers. These
18 interests may include investments; consulting;
19 expert witness testimony; contracts, grants,
20 CRADAs; teaching, speaking, writing; patents and
21 royalties; and primary employment.

22 Today's agenda involves the discussion of

1 new drug application, NDA, 213931, for tenapanor
2 hydrochloride tablets, submitted by Ardelyx,
3 Incorporated, for the control of serum phosphorus
4 levels in adults with chronic kidney disease on
5 dialysis. The committee will be asked to comment
6 on whether the size of the treatment effect on
7 serum phosphorus is clinically meaningful and
8 whether tenapanor's benefits outweigh its risks.

9 This is a particular matters meeting during
10 which specific matters related to Ardelyx's NDA
11 will be discussed. Based on the agenda for today's
12 meeting and all financial interests reported by the
13 committee members and temporary voting members, no
14 conflict of interest waivers have been issued in
15 connection with this meeting. To ensure
16 transparency, we encourage all standing committee
17 members and temporary voting members to disclose
18 any public statements that they have made
19 concerning the product at issue.

20 With respect to FDA's invited industry
21 representative, we would like to disclose that
22 Dr. David Soergel is participating in this meeting

1 as a non-voting industry representative acting on
2 behalf of regulated industry. Dr. Soergel's role
3 at this meeting is to represent industry in general
4 and not any particular company. Dr. Soergel is
5 employed by Novartis.

6 We would like to remind members and
7 temporary voting members that if the discussions
8 involve any other products or firms not already on
9 the agenda for which an FDA participant has a
10 personal or imputed financial interest, the
11 participants need to exclude themselves from such
12 involvement, and their exclusion will be noted for
13 the record. FDA encourages all participants to
14 advise the committee of any financial relationships
15 that they may have with the firm at issue. Thank
16 you.

17 DR. LEWIS: For today's meeting, the meeting
18 DFO will read a statement on the formal dispute
19 resolution request.

20 LaToya Bonner, please proceed.

21 CDR BONNER: Thank you.

22 Statement of Formal Dispute Resolution

1 request.

2 During the course of review of a new drug
3 application, a wide variety of important scientific
4 and medical issues are considered that are central
5 to product development, including issues related to
6 a product's safety and efficacy. Sometimes an
7 applicant may disagree with the agency on a matter,
8 and a dispute arises. These disputes often involve
9 complex scientific and medical matters. Formal
10 dispute resolution, FDR, is a pathway in CDER by
11 which applicants may seek to resolve scientific and
12 medical disputes that cannot be resolved at the
13 division level.

14 FDR provides a mechanism for an applicant to
15 obtain formal review of a decision by raising the
16 matter with the next management level in the center
17 chain of command above the level at which the
18 decision being appealed was made. The deciding
19 authority during review of an FDR request may
20 determine that additional input is needed from an
21 appropriate advisory committee before making a
22 determination regarding the dispute.

1 Today's CRDAC meeting was requested by
2 Dr. Peter Stein, the director of the Office of New
3 Drugs, who is the deciding authority for the FDR
4 request submitted by Ardelyx, Incorporated,
5 regarding the complete response letter issued by
6 the Division of Cardiology and Nephrology for
7 tenapanor hydrochloride tablets, NDA 213931.

8 Dr. Stein requested the advisory committee
9 meeting in order to seek additional input on
10 scientific and medical issues relevant for the
11 dispute. The CRDAC committee members will be asked
12 to consider and vote on questions related to the
13 medical and scientific issues to be discussed in
14 detail today.

15 The advisory committee members will not be
16 asked to vote on whether the FDR request should be
17 granted or denied. Dr. Stein will carefully
18 consider the advice of the CRDAC committee members
19 on these medical and scientific issues when
20 reaching a decision regarding the formal dispute
21 resolution request. Thank you.

22 DR. LEWIS: We will proceed with FDA

1 introductory remarks from Dr. Aliza Thompson.

2 **FDA Opening Remarks - Aliza Thompson**

3 DR. THOMPSON: Thank you, Dr. Lewis.

4 As Dr. Lewis noted, my name is Aliza
5 Thompson, and I will be giving FDA's opening
6 remarks.

7 Good morning, everyone, and thanks in
8 advance to our committee members for your
9 participation in today's meeting. The purpose of
10 today's meeting is to discuss the marketing
11 application for tenapanor, for the control of serum
12 phosphorus level in adults with chronic kidney
13 disease on dialysis.

14 Hyperphosphatemia is a common complication
15 in this population, and in most patients,
16 thrice-weekly intermittent hemodialysis and dietary
17 restriction of foods and drinks high in phosphorus
18 are not sufficient control levels. Hence,
19 gastrointestinal phosphate binders are widely used.

20 To date, four major classes of phosphate
21 binders have been approved to control serum
22 phosphorus in this population; however,

1 gastrointestinal side effects such as constipation,
2 diarrhea, and nausea are common. The pill burden
3 can be high and adherence can be challenging. As
4 such, there is unmet need for well-tolerated
5 treatments that effectively control serum
6 phosphorus. Ideally, such treatment would have a
7 low pill burden.

8 As you will hear today, to support the
9 efficacy of tenapanor as monotherapy for reducing
10 serum phosphorus in adults with chronic kidney
11 disease on dialysis, the applicant conducted two
12 studies. The applicant also submitted the results
13 of a third study to support use in combination with
14 existing phosphate binder treatment. These studies
15 met their prespecified primary endpoint, which
16 assessed effects on serum phosphorus, nevertheless,
17 the Division of Cardiology and Nephrology did not
18 approve tenapanor for the proposed indications,
19 citing concerns about the magnitude of the
20 treatment effect.

21 The division further indicated that to
22 address this issue, the applicant would need to

1 conduct an additional adequate and well-controlled
2 trial, demonstrating a clinically relevant
3 treatment effect on serum phosphorus or an effect
4 on a clinical outcome thought to be caused by
5 hyperphosphatemia in this population.

6 The division also noted that, in principle,
7 it may be possible to individualize treatment based
8 on a patient's early response to a drug that
9 lowered serum phosphorus levels; in other words,
10 assess for a response at some early time point and
11 only continue treatment in patients who have a
12 clinically relevant response. However, the
13 division indicated that such a strategy would need
14 to be prospectively tested and would also likely
15 need to be based on multiple measurements of serum
16 phosphorus over time, given the variability in
17 serum phosphorus measurements seen in patients.

18 As a backdrop to today's discussion, I would
19 like to briefly discuss serum phosphorus as a
20 surrogate for clinical outcomes in patients with
21 chronic kidney disease on dialysis. First, I want
22 to emphasize that FDA has accepted serum phosphorus

1 as a valid surrogate endpoint and basis for
2 approval of products intended to treat
3 hyperphosphatemia in patients with chronic kidney
4 disease on dialysis. I also want to emphasize that
5 our decision to accept serum phosphorus as a valid
6 surrogate endpoint is not being revisited today.

7 In epidemiologic studies, elevated serum
8 phosphorus levels have been associated with an
9 increased risk of secondary hyperparathyroidism,
10 vascular, valvular, and other soft-tissue
11 calcification and cardiovascular disease in
12 patients with chronic kidney disease. In patients
13 on dialysis, higher serum phosphorus levels have
14 also been associated with increased mortality.

15 We believe such epidemiologic data, as well
16 as biologic plausibility, suggest that treatment
17 effects on serum phosphorus could improve patient
18 outcomes; however, we also acknowledge that data
19 from randomized-controlled trials demonstrating
20 that treatments that lower serum phosphorus improve
21 patient outcomes are lacking.

22 The second point I want to make is that

1 although the division has not stipulated that
2 applicants demonstrate a treatment effect on serum
3 phosphorus larger than some threshold, we have
4 indicated that the magnitude of the treatment
5 effect should be clinically relevant. We have also
6 stated that if the magnitude of the effect is
7 significantly smaller than that of currently
8 approved products, then applicants should address
9 the clinical relevance.

10 In the studies that established the efficacy
11 and safety of products currently approved for the
12 control of serum phosphorus, these therapies
13 lowered serum phosphorus levels by approximately
14 1.5 to 2.2 milligrams per deciliter. The division
15 also believes that being much less effective than
16 existing medications means that use of such a
17 treatment in lieu of existing treatment could delay
18 or possibly prevent patients from reaching their
19 target level.

20 We believe this may be particularly true in
21 settings in which the treatment effect is modest
22 and the variable of interest, in this case, serum

1 phosphorus levels, displays significant
2 measurement-to-measurement variability. In such a
3 setting, we believe that it may be hard for
4 clinicians to discern whether an individual patient
5 is experiencing the desired response.

6 With that as background, I would like to
7 turn to the topics we would like the committee to
8 address.

9 The applicant's development program
10 evaluated tenapanor's effect on serum phosphorus
11 when administered, one, as monotherapy, and two, in
12 combination with existing phosphate binder
13 treatment. In the first question, we ask you to
14 discuss the magnitude and clinical meaningfulness
15 of tenapanor's treatment effect on serum phosphorus
16 when administered as monotherapy. In the second,
17 we ask you the same question, but in the context of
18 administration with phosphate binder treatment.

19 The next topic we would like the committee
20 to discuss is tenapanor's safety and tolerability.
21 Diarrhea was the most common adverse reaction in
22 clinical trials of tenapanor patients with chronic

1 kidney disease on dialysis. We would like you to
2 discuss this risk from a safety and tolerability
3 perspective.

4 Finally, we ask the committee to vote on two
5 questions. The first voting question asks whether
6 tenapanor's benefit outweighs its risk for the
7 control of serum phosphorus in adults with chronic
8 kidney disease on dialysis when administered as
9 monotherapy. The second asks whether tenapanor's
10 benefit outweighs its risk for the control of serum
11 phosphorus in adults with chronic kidney disease on
12 dialysis when administered in combination with
13 phosphate binder treatment.

14 Although we are interested in how you vote,
15 I want to emphasize that we are particularly
16 interested in the rationale behind your votes. And
17 if you vote no to a question, we also ask that you
18 provide recommendations for additional data and/or
19 analyses that may support a positive benefit-risk
20 assessment for tenapanor in that setting.

21 With that, I will turn the program back to
22 Dr. Lewis, our committee chair. Thank you again

1 for your time and help with this application.

2 DR. LEWIS: Both the Food and Drug
3 Administration and the public believe in a
4 transparent process for information gathering and
5 decision making. To ensure such transparency at
6 the advisory committee meeting, FDA believes that
7 it is important to understand the context of an
8 individual's presentation.

9 For this reason, FDA encourages all
10 participants, including the applicant's
11 non-employee presenters, to advise the committee of
12 any financial relationships that they may have with
13 the applicant, such as consulting fees, travel
14 expenses, honoraria, and interest in the applicant,
15 including equity interests and those based on the
16 outcome of the meeting.

17 Likewise, FDA encourages you at the
18 beginning of your presentation to advise the
19 committee if you do not have any such financial
20 relationships. If you choose not to address this
21 issue of financial relationships at the beginning
22 of your presentation, it will not preclude you from

1 speaking.

2 We will now proceed with Ardelyx's
3 presentations.

4 **Applicant Presentation - Laura Williams**

5 DR. WILLIAMS: Good morning, Dr. Stein,
6 Dr. Lewis, members of the Cardiovascular and Renal
7 Drugs Advisory Committee, and the FDA. I'm
8 Dr. Laura Williams, chief medical officer at
9 Ardelyx. Thank you for the opportunity to share
10 our data supporting the clinically meaningful serum
11 phosphate lowering effect of tenapanor in adult
12 patients with hyperphosphatemia on maintenance
13 dialysis. Let's start with some background.

14 Tenapanor was approved for the treatment of
15 irritable bowel syndrome with constipation in
16 adults in September 2019 and is currently marketed
17 as Isbrela at a 50-milligram, twice daily dose.
18 After submitting the NDA for the control of serum
19 phosphorus in June 2020, the sponsor received a
20 complete response letter from FDA in July 2020,
21 based on their view that the magnitude of the
22 treatment effect was small and of unclear clinical

1 significance.

2 We appealed the division's decision through
3 two formal dispute resolution requests and received
4 an interim response in April of this year from the
5 Office of New Drugs offering Ardelyx the
6 opportunity to present the data package to this
7 committee, which brings us to today's meeting.

8 The FDA and Ardelyx agree on the following.
9 Hyperphosphatemia is a serious common complication
10 in patients on maintenance dialysis. Based on
11 biological plausibility and existing observational
12 data, FDA has accepted serum phosphate as a valid
13 surrogate, which forms the basis for treatment
14 guidelines and clinical practice in the FDA
15 approval of all phosphate binders, and we all agree
16 that there is a real unmet need for safe and
17 effective therapies that lower pill burden and
18 allow more patients to achieve guideline-directed
19 treatment goals, a milestone that most patients on
20 maintenance dialysis are currently unable to
21 consistently achieve despite widespread use of
22 phosphate binders.

1 While there is some discussion around which
2 analysis population provides the best estimate of
3 tenapanor's effect on serum phosphate reduction,
4 there is no disagreement around our clinical trial
5 designs, study conduct, and results from the three
6 registration trials in our clinical development
7 program.

8 In the CRL, the agency agreed that the
9 submitted data provides substantial evidence that
10 tenapanor is effective in reducing serum phosphate
11 in CKD patients on dialysis, and in the FDA's
12 briefing document, they note that except for
13 diarrhea and related tolerability issues, their
14 safety analyses did not raise significant concerns.

15 I will first provide a brief synopsis of the
16 results from our three phase 3 registration trials
17 to provide context for today's discussion. Please
18 note, all three studies were successful, meeting
19 their prespecified primary efficacy endpoint.
20 These forest plots show mean treatment differences
21 in serum phosphate reductions between tenapanor and
22 placebo at a minus 1.4- and minus 0.8-milligram per

1 deciliter level for the larger and smaller
2 monotherapy studies 301 and 201, respectively.

3 These studies employed a randomized
4 withdrawal design consistent with the FDA guidance
5 on enrichment strategies. The bottom row shows the
6 mean treatment difference in the combination study,
7 where tenapanor was added to patients on
8 maintenance dialysis whose serum phosphate remained
9 inadequately controlled despite treatment on a
10 stable dose of phosphate binder therapy.

11 As such, the treatment difference was not
12 surprisingly smaller, but still statistically
13 significant and clinically meaningful, as a greater
14 proportion of patients on combination therapy were
15 able to achieve target serum phosphate goals than
16 those on phosphate binders alone.

17 There are two key questions FDA is asking
18 you to consider today as you evaluate the clinical
19 relevance of tenapanor's effect on serum phosphate
20 lowering. These two questions are separated by two
21 related discussion points as we evaluate the
22 overall benefit-risk assessment. Let's address the

1 first key question.

2 What is the magnitude of serum phosphorus
3 reduction achieved with tenapanor, and is it
4 clinically meaningful? As monotherapy in
5 combination with phosphate binder, as I shared, the
6 mean treatment differences were minus 1.4 and minus
7 0.8 milligrams per deciliter for the primary
8 efficacy analysis in the two monotherapy studies
9 and minus 0.7 in the combination therapy study.

10 When using the analysis that includes both
11 responders and non-responders, as FDA now suggests,
12 the mean serum phosphate reduction was minus
13 0.7 milligrams per deciliter. While prospective
14 data directly linking a specific level of serum
15 phosphate reduction that improves clinical outcomes
16 is clearly preferable, that data simply do not
17 exist. It did not exist when evaluating approval
18 of phosphate binders, and it does not exist now as
19 we evaluate tenapanor.

20 So the true answer to this question is left
21 to biological plausibility, the strong correlations
22 in observational studies and, frankly, subjective

1 clinical judgment, which has been the basis for
2 approval of all phosphate binders as FDA states in
3 their briefing document. Thus, when attempting to
4 answer this question, it is imperative that we
5 examine all the data.

6 In addition to the primary efficacy endpoint
7 data from Study 301 that I just highlighted, data
8 from the 26-week randomized treatment period, or
9 enrichment phase, demonstrated that a meaningful
10 number of patients achieved serum phosphate
11 reduction and reached target treatment goals within
12 the range historically referenced for phosphate
13 binders. This treatment phase included an active
14 phosphate binder as a safety comparator, which also
15 serves as a conservative positive control further
16 confirming the treatment effect seen with
17 tenapanor.

18 Additionally, the novel mechanism of action
19 and simplified dosing regimen, with one small pill
20 taken twice a day, provide another treatment option
21 for serum phosphate lowering. We will review all
22 the evidence supporting the clinically meaningful

1 serum phosphate reduction seen with tenapanor as
2 monotherapy and in combination with phosphate
3 binders, and we have asked expert nephrologists,
4 like those of you on the panel who currently manage
5 these patients, to share their perspective with you
6 today.

7 Moving now to the two related discussion
8 points, FDA expressed interest in identifying a
9 population where the drug effect could be quickly
10 identified to support the utility, the clinical
11 utility of tenapanor. Specifically, FDA suggested
12 analyses that might help discern if early response
13 to tenapanor was predictive of continued response.

14 Post hoc analyses from Study 301 confirmed
15 this premise, that early response, or non-response,
16 to tenapanor predicted continued response or
17 non-response, which in turn should allow
18 nephrologists to assess and optimize patient
19 benefit. We will share that analysis, which
20 applies FDA's suggestions on minimizing serum
21 phosphate variability and explains the difference
22 between the FDA and sponsor's results of this

1 analysis.

2 The standard clinical practice of monitoring
3 serum phosphate monthly, at a minimum, allows
4 effective management of patients as is currently
5 done with phosphate binders. Those who tolerate
6 therapy and are likely to receive the most benefit
7 from continued treatment can remain on therapy,
8 while others can be discontinued. Like any
9 medication, tenapanor should be discontinued in
10 those patients who do not experience a clinically
11 meaningful benefit, and we support labeling to that
12 effect.

13 Next, diarrhea was the most common adverse
14 reaction in the tenapanor clinical trials. Careful
15 review of the data suggests that this is more of a
16 tolerability issue that can be managed as opposed
17 to a significant safety concern. That said, we of
18 course looked for potentially more worrisome issues
19 related to diarrhea, especially in this patient
20 population. Mechanistically, we know tenapanor
21 blocks dietary sodium absorption, resulting in
22 increased intestinal sodium content and water

1 retention. As such, softer stool consistency and
2 diarrhea are expected pharmacodynamic effects that
3 have been observed in all tenapanor clinical
4 studies and have been appropriately managed in
5 nearly all patients. Data included in the
6 long-term safety studies show that potential
7 downstream consequences of diarrhea were rarely
8 observed. Together, these data demonstrate that
9 the overall safety and tolerability profile for
10 tenapanor is acceptable.

11 And now and perhaps the most important
12 question, do the benefits of control of serum
13 phosphate with tenapanor in CKD patients on
14 maintenance dialysis outweigh its risk? As
15 monotherapy, in combination with existing prostate
16 binder treatment, based on our data, the answer is
17 yes.

18 Tenapanor is a first-in-class phosphate
19 absorption inhibitor that has demonstrated
20 requisite safety and efficacy in reducing serum
21 phosphate in patients on maintenance dialysis, and
22 has a more simplified dosing regimen with fewer

1 smaller pills dosed as a single pill twice daily.
2 Not only did we meet the prespecified efficacy
3 endpoint in all three well-controlled registration
4 studies, with statistically significant and
5 clinically meaningful mean treatment differences
6 versus placebo, also, many patients achieved
7 clinically meaningful reductions in serum phosphate
8 that align with those referenced for phosphate
9 binders, done in the setting of a positive control.

10 Early response predicted continued response,
11 concentrating the benefits of tenapanor in
12 responders. Across our clinical development
13 program, diarrhea tended to be more of a
14 tolerability issue that was appropriately managed
15 as opposed to a significant safety concern, and the
16 overall safety and tolerability profile was
17 acceptable. When evaluating all the data, the
18 benefits of this new treatment option to lower
19 serum phosphate outweigh the risks of potential
20 downstream consequences of diarrhea, thus yielding
21 a positive benefit-risk assessment.

22 Let's now turn to tenapanor's mechanism of

1 action, which is distinct from phosphate binders.
2 As previously noted, tenapanor is a small molecule
3 that inhibits NHE3, and it is minimally absorbed.
4 This schematic, without tenapanor, shows phosphate
5 moving between cells via the paracellular pathway,
6 the primary pathway of phosphate absorption in the
7 GI tract, from the apical surface of the intestinal
8 lumen to the bloodstream. On the right, now you
9 see tenapanor acting locally to block that
10 paracellular absorption of dietary phosphate.

11 Typically, no one class of therapy is
12 expected to work for all patients. Across most
13 therapeutic areas, the availability of multiple
14 agents that work differently on a common
15 target -- for example, viral load, A1C, blood
16 pressure, and ejection fraction -- has advanced our
17 ability to treat patients, and this strategic
18 approach remains essential.

19 Tenapanor provides a new treatment option
20 with a distinct and targeted mechanistic approach
21 to managing serum phosphate. Will it work for
22 everyone? No, as is true for most drugs, including

1 phosphate binders, but for those who derive
2 benefit, it has the potential to address real unmet
3 need.

4 With that, here's the agenda for the
5 remainder of the presentation. We also have
6 additional external experts with us today. All
7 external experts have been compensated for their
8 time and travel associated with today's meeting.
9 Thank you. I'll now pass the presentation to
10 Dr. Chertow.

11 **Applicant Presentation - Glenn Chertow**

12 DR. CHERTOW: Thank you, Dr. Williams.

13 Good morning. My name is Dr. Glenn Chertow.
14 I am professor of Medicine, and by courtesy,
15 professor of Epidemiology and Population Health at
16 Stanford University School of Medicine. I
17 previously served as chief of the Division of
18 Nephrology for more than 13 years. I have been
19 caring for patients with kidney disease for more
20 than three decades and have been particularly
21 interested in the manifestations and management of
22 disorders of mineral metabolism in advanced chronic

1 kidney disease, including hyperphosphatemia. I am
2 honored to be here today as an advocate for our
3 patients and my colleagues to address the unmet
4 need for a new and complementary approach to
5 controlling serum phosphate.

6 Hyperphosphatemia matters to patients and
7 the clinicians who care for them. It is a
8 condition with tremendous clinical consequences,
9 and its burden is compounded by its high prevalence
10 in patients receiving maintenance dialysis.

11 Hyperphosphatemia leads to worsening secondary
12 hyperparathyroidism, increases the risk of
13 fracture, contributes to vascular and heart valve
14 calcification, and calciphylaxis.

15 Unfortunately, phosphorus is not efficiently
16 removed with conventional thrice-weekly
17 hemodialysis. The risks associated with
18 hyperphosphatemia are on a continuum and not
19 anchored to a specific threshold of serum
20 phosphate. Although serum phosphate is an accepted
21 surrogate for clinical outcomes, the FDA has raised
22 the issue of how to assess clinical significance

1 based on the magnitude of the serum phosphate
2 lowering effect. Ideally, we would rely on data
3 from large randomized-controlled trials to identify
4 the amount of lowering of serum phosphate to
5 improve clinical outcomes, but there are no such
6 trials.

7 Clinical practice guidelines, standards of
8 care and practice, and FDA approval of phosphate
9 binders have been based on evidence derived from
10 observational studies. My colleagues and I have
11 conducted several population-based studies, which
12 have helped to understand the implications of
13 uncontrolled hyperphosphatemia.

14 This graph is adapted from a manuscript we
15 published in 2004 in the Journal of the American
16 Society of Nephrology and shows statistically
17 significant and clinically meaningful increases in
18 the risk of death associated with serum phosphate
19 concentrations above the reference range of
20 4-to-5 milligram per deciliter. As you can see,
21 even modestly higher serum phosphate
22 concentrations, averaged over 3 months and shown

1 here in 1-milligram per deciliter increments, are
2 associated with higher adjusted risks of death in
3 this population. Additional studies conducted by
4 other investigators have shown similar results.

5 Our treatment goal for patients with
6 hyperphosphatemia is to lower serum phosphate
7 toward the population reference range, which is
8 generally defined as a serum phosphate
9 2.5-to-4.5 milligram per deciliter. For most
10 patients, maintaining serum phosphate within this
11 range is unattainable. Recognizing that a small
12 fraction of patients consistently achieve serum
13 phosphate concentrations within the population
14 reference range, earlier clinical practice
15 guidelines and dialysis facility quality assurance
16 protocols have typically aimed for a compromised
17 target of below 5.5 milligram per deciliter.

18 In clinical practice, we employ several
19 approaches to help control serum phosphate in
20 patients receiving dialysis. First, we advise
21 patients to reduce dietary phosphate intake by
22 restricting the intake of processed foods, which

1 contain inorganic phosphates used as preservatives
2 and additives, as well as dairy products and other
3 sources of organic phosphates. These restrictions
4 are often difficult for patients, especially those
5 with limited resources, and can complicate other
6 dietary restrictions imposed because of concomitant
7 diabetes, hypertension, and hyperlipidemia.

8 Frequent or extended duration hemodialysis
9 can help to control hyperphosphatemia but add to
10 the immense burden of dialysis already experienced
11 by patients. As you've heard, the vast majority of
12 patients on maintenance dialysis are prescribed
13 phosphate binders that work by binding phosphate in
14 the intestinal lumen, allowing a larger fraction of
15 ingested phosphates to be eliminated in the stool.

16 Phosphate binders need to be taken in
17 conjunction with or just after meals. Most of my
18 patients take three or more tablets or capsules
19 with each meal, and additional tablets or capsules
20 with snacks without achieving targets. About half
21 require two different phosphate binders in order to
22 get closer to goal and to mitigate adverse effects,

1 including hypercalcemia.

2 The high pill burden obligated by phosphate
3 binder therapy should not be taken lightly,
4 particularly in a patient population with multiple
5 comorbidities. The images you see here do not
6 account for other medications these patients
7 frequently require for conditions such as type 2
8 diabetes, hypertension, ischemic heart disease,
9 atrial fibrillation, and heart failure. We ask
10 patients every day to take what I refer to as a
11 fistful of pills. As you may know, the median
12 daily pill burden for patients receiving dialysis
13 has been reported to be 19, and one-quarter of
14 patients exceeded 25 pills per day with about half
15 being phosphate binders.

16 Patients and providers need additional
17 treatment options for hyperphosphatemia,
18 particularly treatments that can be safely used in
19 conjunction with phosphate binders that can lower
20 serum phosphate through alternative mechanisms.
21 More than three-quarters of patients do not
22 consistently achieve target serum phosphate

1 concentrations over a 6-month period. Current
2 binder options are inadequate.

3 I care for several patients who have tried
4 every single commercially available phosphate
5 binder, often in combination, and have not achieved
6 control. These patients have developed
7 complications of hyperphosphatemia that may have
8 been prevented, even with modest improvements in
9 serum phosphate concentrations.

10 With respect to the issue of a clinically
11 meaningful response, recall that these mean values
12 represent a range of responses in some serum
13 phosphate reduction. Mean values in this range,
14 including the low end of the range, are clinically
15 meaningful to physicians and to patients. In
16 clinical practice, we only maintain patients on
17 therapies that exert a clinically meaningful
18 benefit and are well tolerated, otherwise, we stop
19 them.

20 Cardiologists and nephrologists are
21 accustomed to using multiple agents with different
22 mechanisms of action to help our patients achieve

1 treatment goals. For example, we use ACE
2 inhibitors or ARBs, beta blockers, MRAs, and SGLT2
3 inhibitors to treat heart failure, and for
4 hypertension we utilize multiple agents to bring
5 systolic blood pressures toward 120 millimeters of
6 mercury.

7 Patients and physicians want to control
8 hyperphosphatemia and need strategies other than
9 dietary restriction, longer or more frequent
10 hemodialysis sessions, and/or large quantities of
11 phosphate binders. We need more options to manage
12 serum phosphate to help more patients achieve the
13 target serum phosphate concentrations recommended
14 by our clinical practice guidelines.

15 We need therapies with alternative
16 mechanisms of action that can be used alone or in
17 combination with phosphate binders. Patients would
18 benefit from a therapy with a simplified dosing
19 regimen, meaning fewer pills, smaller pills, and
20 less frequent dosing, and of course we need
21 treatment with a favorable safety and tolerability
22 profile.

1 As you will hear in the following
2 presentations, tenapanor is a minimally absorbed
3 safe and efficacious agent that can improve control
4 of hyperphosphatemia in patients receiving
5 dialysis. In my view, the demonstrated benefits in
6 terms of average productions in serum phosphate
7 concentrations, as well as the proportion of
8 patients achieving serum phosphate targets, are
9 clinically meaningful and could materially improve
10 management.

11 Thank you for your time and attention. I
12 will turn the presentation to Dr. Connor.

13 **Applicant Presentation - Jason Conner**

14 DR. CONNER: Thank you, Dr. Chertow.

15 I'm Jason Conner. I'm the president of
16 ConfluenceStat and an assistant professor of
17 medical education at the University of Central
18 Florida's College of Medicine. I focus my career
19 in biostatistics on helping both sponsors and the
20 FDA identify appropriate study design and evaluate
21 data for approval recommendation. I've been asked
22 by the sponsor to speak about the design

1 considerations for the tenapanor clinical
2 development program.

3 Their program relied on the FDA guidance in
4 designing their trial. Specifically, the sponsor
5 used an enrichment type of trial called a
6 randomized withdrawal design, which is discussed in
7 detail in FDA's Guidance for Enrichment Strategies
8 for Clinical Trials to Support the Determination of
9 Effectiveness of Human Drugs and Biological
10 Products. Although this is an established
11 approach, this design might be new to some of you,
12 so I'd like to describe how a randomized withdrawal
13 design works.

14 In a randomized withdrawal trial, first, all
15 patients are provided with the active treatment.
16 This is known as the enrichment phase. During this
17 period, patients are identified who both tolerate
18 the drug and meet the predefined responder
19 threshold, shown here in blue and labeled
20 "responders." Patients who do not complete this
21 period, or who do not respond, are shown here as
22 non-responders in white. Typically, non-responders

1 exit the trial at this point.

2 Only responders are then randomized to
3 either continue on active treatment or switch to a
4 placebo. These patients comprise the primary
5 analysis population in nearly all randomized
6 withdrawal trials. The rest of today, you'll hear
7 this population referred to as the efficacy
8 analysis set. The expectation for a treatment with
9 a true effect is that patients randomized to remain
10 on therapy will show sustained improvement, while
11 patients randomized to a placebo will experience a
12 loss of efficacy.

13 The primary endpoint measured this way,
14 using data of the responders from the enrichment
15 phase, along with the while-on-treatment strategy
16 described in the ICH E9 guidance, is the ideal
17 estimand for a chronic disease under a randomized
18 withdrawal design. What we want to know, and what
19 this trial is asking is, for patients who tolerate
20 and take the treatment habitually, how much
21 different would their serum phosphate be if they
22 went off treatment?

1 The primary analysis population, or EAS,
2 shown here yellow, the primary analysis population
3 described in the FDA guidance, and in any textbook
4 describing randomized withdrawal trials, is
5 precisely the way Studies 201 and 301 were
6 prospectively defined to be conducted.

7 You'll hear from the FDA that the ITT of the
8 randomized withdrawal periods from sponsor's
9 Studies 201 and 301 may perhaps provide the best
10 estimate of the average treatment effect with
11 tenapanor. This difference of opinion you'll hear
12 today is due to a slight difference in the conduct
13 of Ardelyx's randomized withdrawal trials.

14 Instead of non-responders exiting the trial,
15 as is standard in a randomized withdrawal trial,
16 both responders and non-responders were kept in the
17 trial and randomized. This was done to increase
18 the blinded placebo-controlled safety database.
19 All the safety data you'll see comes from all
20 randomized patients.

21 Even though the non-responders were made,
22 the sponsor's prospective analysis plan specified

1 that only those who met the responder definition
2 would be included in the efficacy analysis set,
3 shown here in yellow, and all sample size
4 calculations were based upon this EAS; however, the
5 FDA is suggesting that all randomized patients be
6 included in the primary analysis set. What was a
7 good faith effort to increase the safety database
8 for this novel treatment has led to FDA suggesting
9 an analysis that is contrary to the way randomized
10 withdrawal trials were intended to be analyzed.

11 As a final point, randomized withdrawal
12 trials using a responder population as intended
13 have a long history of adequate and well-controlled
14 trials supporting the FDA approval of drug. Here
15 is a more recent subset of the 25-plus randomized
16 withdrawal trials used to support agreement.

17 Importantly, when we look to the precedent
18 established from other randomized withdrawal
19 studies of approved product, we see a range in the
20 proportion of patients who completed the enrichment
21 period and responded to therapy, and therefore were
22 included in their efficacy analysis set. This

1 indicates that some approved product had lower
2 response rates but still had clear treatment
3 effects in those responders.

4 If populations, including non-responders as
5 FDA has suggested, would have been used, treatment
6 effects would have been attenuated, and treatments
7 like Veltassa and Lyrica may never have been
8 approved. These concepts should be kept in mind
9 when evaluating the clinical meaningfulness of the
10 treatment effect and assessing the benefit-risk of
11 tenapanor. Thank you, and I'd like to turn the
12 presentation back to the sponsor.

13 **Applicant Presentation - David Spiegel**

14 DR. SPIEGEL: Good morning. I'm Dr. David
15 Spiegel, vice president of nephrology at Ardelyx.
16 Before joining industry, I was professor of
17 medicine at the University of Colorado, where for
18 over 25 years I served as a clinical director of
19 the dialysis program, caring for hundreds of
20 patients suffering from kidney failure, requiring
21 maintenance dialysis.

22 Today, I'm pleased to present data from the

1 tenapanor clinical studies that support the
2 efficacy and the clinical meaningfulness of
3 tenapanor. I'd like to briefly cover our phase 2b
4 dose-finding study, which was a double-blind,
5 randomized, placebo-controlled trial.

6 The phase 2 study demonstrated that the
7 30-milligram BID dose had the most pronounced serum
8 phosphate lowering effect, and the placebo
9 corrected serum phosphate reduction with a
10 30-milligram BID dose of tenapanor was
11 1.4 milligrams per deciliter. Therefore, we chose
12 to proceed with a 30-milligram dose for our phase 3
13 program.

14 In the phase 3 program, our two monotherapy
15 studies utilized a randomized withdrawal study
16 approach. Study 201 was a 12-week study that
17 included a 4-week randomized withdrawal period.
18 Patients completed a phosphate binder washout and
19 were randomized to tenapanor 3, 10, or
20 30 milligrams BID. Patients who completed the
21 open-label treatment period were re-randomized to
22 either remain on their current dose of tenapanor or

1 receive a matching placebo. Non-responders were
2 not exited from the trial.

3 For the purpose of defining a responder for
4 the statistical analysis plan, we and the agency
5 agreed patients who've entered the randomized
6 withdrawal period with a serum phosphate reduction
7 of at least 1.2 milligrams per deciliter, at the
8 end of the treatment period, were the predefined
9 efficacy analysis set and analyzed for the primary
10 endpoint.

11 This plot shows the mean serum phosphate
12 reduction for the subgroup defined as the efficacy
13 analysis set by having a serum phosphate reduction
14 of at least 1.2 at the completion of the randomized
15 treatment period. During the 8-week treatment
16 period, approximately 50 percent of patients who
17 completed met the predefined responder definition,
18 and that group achieved a mean serum phosphate
19 reduction of 2.6, from 8.1 to 5.5 milligrams per
20 deciliter. As seen, the patients demonstrated a
21 response early, evident at week 1, that persisted
22 over the 8-week treatment period.

1 The figure on the right shows the predefined
2 efficacy analysis set during the randomized
3 withdrawal period. Those randomized to placebo had
4 a mean increase in serum phosphate of 1.4, and
5 those continuing on tenapanor demonstrated an
6 increase of 0.6, representing some regression to
7 the mean. The primary endpoint was met with a
8 statistically significant treatment difference of
9 0.8 milligrams per deciliter.

10 Study 301 was our long-term monotherapy
11 study with tenapanor. The core design elements
12 were similar to Study 201, but Study 301 was larger
13 and had a longer duration in both the treatment
14 period and the randomized withdrawal period to help
15 eliminate any potential carryover effect in the
16 placebo group.

17 Study 301 started all patients on the
18 proposed dose of one 30-milligram tablet taken
19 twice daily. In addition, we and the agency agreed
20 that Study 301 should include an active safety
21 control arm in which patients were treated for
22 52 weeks with sevelamer to compare adverse events

1 in patients on maintenance dialysis, a population
2 known to have a high event rate.

3 Let's look specifically at the 301 design.
4 After washout of phosphate binders, patients were
5 randomized 3 to 1 to receive either tenapanor
6 30 milligrams twice daily or sevelamer carbonate
7 with labeled dosing during the 26-week randomized
8 treatment period. Investigators were permitted to
9 decrease the dose of tenapanor in 10-milligram
10 increments, based on serum phosphate concentrations
11 and GI tolerability.

12 Sevelamer was dosed by standard care
13 practice using the the label as guidance, which
14 allowed for incremental adjustments in dose. At
15 the end of the 26-week treatment period, all
16 patients in the tenapanor group, irrespective of
17 the serum phosphate response, were re-randomized
18 1 to 1, to either remain on the tenapanor dose or
19 receive placebo during the 12-week randomized
20 withdrawal period or RWP.

21 The primary endpoint was the same as in
22 Study 201, the mean change in serum phosphate from

1 the randomized withdrawal period baseline to the
2 end of the randomized withdrawal period in the
3 responder population for the efficacy analysis set.
4 As with Study 201, non-responders were not exited
5 and remained in the study, although they were not
6 included in the primary endpoint. All patients who
7 were randomized to tenapanor at the study start
8 were eligible to enroll in the open-label safety
9 extension period and receive tenapanor for an
10 additional 14 weeks.

11 Turning to the results, we again see the
12 decrease in serum phosphate during the 26-week
13 randomized treatment period for patients who had at
14 least a 1.2-milligram per deciliter decrease from
15 baseline at completion of the RTP, the efficacy
16 analysis set. Similar to study 201, approximately
17 50 percent of patients who completed the randomized
18 treatment period met the responder definition and
19 were included in the efficacy analysis set. The
20 mean serum phosphate, again, decreased by 2.6 from
21 a baseline of 77 to 5.1 milligrams per deciliter at
22 the end of the randomized treatment period.

1 The right graph shows the mean serum
2 phosphate during the randomized withdrawal period,
3 plotted over time for patients re-randomized to
4 tenapanor and to placebo. This is the predefined
5 responder population used to evaluate the primary
6 endpoint at the end of the randomized withdrawal
7 period. By the end of this period, serum phosphate
8 increased by a mean of 1.8 in the placebo group and
9 by 0.4 in the tenapanor group, with a statistically
10 significant difference of minus 1.4 milligrams per
11 deciliter, meeting the primary efficacy endpoint.

12 This forest plot shows the importance of
13 using a responder population in a randomized
14 withdrawal study. The top row shows the primary
15 endpoint I just reviewed. The second row shows the
16 same analysis for those defined as non-responders
17 at period entry, confirming that when tenapanor is
18 withdrawn or continued from patients who are
19 non-responders, there is no change in their serum
20 phosphate.

21 For the responders plus non-responders for
22 randomized withdrawal period intent to treat, we

1 saw a treatment difference of minus 0.66 milligrams
2 per deciliter that was also statistically
3 significant. Please note that an all-comers
4 population, as discussed by Dr. Conner, is not
5 typically used in randomized withdrawal trials, and
6 it would be atypical to use that population for
7 evaluating tenapanor's treatment effect.

8 Now let's turn to the efficacy results from
9 Study 202, which showed tenapanor's efficacy as
10 combination therapy with phosphate binders in
11 patients poorly controlled. In this double-blind,
12 parallel group study, tenapanor or placebo was
13 added to a stable phosphate binder regimen in a
14 resistant population of patients. The serum
15 phosphate remained uncontrolled at the time of
16 screening and study entry, despite treatment with
17 binder therapy.

18 In this study, we observed a serum phosphate
19 reduction of 0.65 milligrams per deciliter for
20 tenapanor plus binder compared to placebo plus
21 binder at week 4, meeting the prespecified primary
22 endpoint, and we see almost twice as many patients

1 achieved the target serum phosphate goal of less
2 than 5.5 in the tenapanor plus binder arm versus
3 the placebo plus binder arm.

4 The agency has questioned the meaningfulness
5 of the magnitude of tenapanor's serum phosphate
6 lowering effect and whether it provides benefits
7 that outweigh potential risks. In trials,
8 statistical tests are used to determine the
9 difference between treatments -- in other words, to
10 detect a signal over noise -- and are an important
11 fundamental part of trial design.

12 For the evaluation of clinically
13 meaningfulness, clinicians looked at the mean
14 effects observed in control trials, but also looked
15 at the proportion of patients achieving a
16 meaningful response from treatment, because as with
17 all drugs, a proportion of patients will benefit
18 from treatment and some will not. As stated in the
19 FDA briefing book, focusing on the mean effect
20 ignores the fact that some patients may have a
21 larger and clinically relevant response to
22 treatment.

1 This slide shows a waterfall distribution of
2 the serum phosphate change from the baseline for
3 all tenapanor-treated patients in Study 301 during
4 the 26-week enrichment period. Each bar represents
5 an individual's patient's last measured serum
6 phosphate. Fifty-three percent of patients on
7 tenapanor achieved a reduction of at least
8 1.2 milligrams per deciliter, and 46 percent
9 achieved a reduction of at least 1.5.

10 Importantly, the response to tenapanor
11 varies across patients. This confirms the
12 different biological responses achieved with
13 tenapanor and demonstrates that a meaningful
14 proportion of patients have large reductions in
15 their serum phosphate. We also see a consistent
16 pattern across monotherapy and combination therapy
17 studies. Fifty-three percent of tenapanor-treated
18 patients achieved a 1.2 reduction or greater in
19 Study 301, 46 percent in Study 201, and 41 percent
20 in the resistant population of Study 202.

21 Now let me turn to a comparison of tenapanor
22 versus sevelamer in Study 301. During our NDA

1 review, the agency requested a comparison of serum
2 phosphate reduction during the 26-week randomized
3 treatment period between tenapanor and sevelamer.
4 In Study 301, patients were randomized 3 to 1 to
5 tenapanor or sevelamer, and while there were no
6 prespecified efficacy analyses, serum phosphate
7 measurements were done in an identical fashion for
8 patients across both treatment groups during this
9 26-week period.

10 Serum phosphate change is plotted here for
11 the tenapanor and sevelamer over the first 26 weeks
12 of the study. We see an early and sustained
13 decrease in both the tenapanor- and
14 sevelamer-treated groups. On average, the
15 tenapanor arm showed a smaller reduction in serum
16 phosphate than the sevelamer arm.

17 I understand the variability and the
18 biologic response to tenapanor as observed in the
19 waterfall plot. We asked ourselves whether the
20 difference in the effect observed for tenapanor
21 versus sevelamer was due to a smaller magnitude of
22 serum phosphate reduction for tenapanor or was it

1 due to a smaller proportion of patients showing the
2 response to tenapanor; therefore, we looked at the
3 prespecified definition of response using the
4 agency's guidance to use multiple time points for
5 each treatment group. The definition of early
6 response in this analysis was having at least a
7 1.2-milligram per deciliter serum phosphate
8 reduction on at least 2 of 3 measurements at
9 weeks 1, 2, and 4.

10 The magnitude of the tenapanor response over
11 time tracked closely to that of sevelamer;
12 therefore, the separation seen in the full
13 population was confirmed to be due to the greater
14 proportion of patients responding to sevelamer and
15 not a major difference in the magnitude of the
16 serum phosphate lowering effect. Also keep in mind
17 that the reductions in serum phosphate seen with
18 tenapanor were achieved with one small pill taken
19 twice a day versus a median of 9 tablets a day with
20 sevelamer.

21 Here is a more detailed look at the serum
22 phosphate reductions seen in Study 301 by various

1 measures. In the subset of patients that are
2 likely to tolerate tenapanor and remain on therapy,
3 there's a slightly lower but clinically meaningful
4 response rate at the end of the 26-week randomized
5 treatment period compared to sevelamer-treated
6 patients of equal treatment duration. In addition,
7 in the bottom graph, those remaining on treatment
8 for a year have similar response rates.
9 Achievement of the standard practice target at
10 serum phosphate less than 5.5 milligrams per
11 deciliter is similar for tenapanor and sevelamer.

12 I would like to address the agency's concern
13 that patients will remain on treatment without
14 benefits. We analyzed patients in our studies who
15 showed an early response to therapy to determine if
16 they continued to respond, and equally important,
17 to confirm that those who do not respond early
18 could be identified and discontinued from
19 treatment.

20 We took into consideration the FDA's
21 feedback if any such analysis needs to account for
22 intrasubject variability by being based on multiple

1 measurements of serum phosphate over time, thereby
2 reducing the effects of intrasubject phosphate
3 variability in classifying patients as responsive
4 or non-responsive. FDA's analysis as presented in
5 their briefing document does not use multiple time
6 points but is based on only a single measure of
7 serum phosphate at an early time point and a single
8 measure at a later time point, enhancing the
9 influence of phosphate variability in
10 misclassifying patients early.

11 In our analysis, patients have been divided
12 into those with an early response and those without
13 an early response during the 26-week treatment
14 period of Study 301. The definition of response
15 was having at least 2 of 3 serum phosphate
16 measurements decrease by at least 1.2 milligrams
17 per deciliter from baseline; therefore, an early
18 response is shown as a median of the serum
19 phosphate values from weeks 1, 2, and 4 on the
20 X-axis, and late response was determined by the
21 median of values for weeks 17, 22, and 26 on the
22 Y-axis.

1 Each symbol represents an ITT patient that
2 received tenapanor during the 26-week treatment
3 period. Those in blue met the criteria for an
4 early response and those in orange did not. Of
5 those with an early response, 79 percent were also
6 identified as having a late response. These
7 patients appear in the lower left-hand quadrant of
8 the scatter plot. Likewise, 66 percent of those
9 determined not to respond early also did not
10 respond later in the treatment period. These
11 patients appear in the upper right-hand quadrant of
12 the scatter plot.

13 These data support that patients who respond
14 to tenapanor can be identified early and tend to
15 remain responsive. Equally important, patients who
16 do not respond can also be identified early in
17 treatment. Similar analyses of other time points
18 in Study 201 confirm the consistency of this
19 approach, and when applying the multiple time
20 points analysis of early versus late response to
21 the sevelamer data from the randomized treatment of
22 Study 301, we see a very similar response pattern.

1 To sum up efficacy, while our clinical
2 studies were not specifically designed to measure
3 effect size, a review of the data suggests that the
4 mean serum phosphate reduction is approximately
5 1.4 milligrams per deciliter. These data include
6 the placebo corrected serum phosphate difference of
7 1.4 in the phase 2 dose-finding study. The mean
8 serum phosphate reduction in Study 201 at the end
9 of the 8-week treatment period was 1.1 milligrams
10 per deciliter. Following 301 at week 26, it was
11 1.4 versus 1.8 milligrams per deciliter for
12 sevelamer.

13 The difference in the rise in serum
14 phosphate between placebo and patients remaining on
15 tenapanor during the randomized withdrawal period
16 of Studies 201 and 301 were 0.8 and 1.4 milligrams
17 per deciliter, respectively. In patients who
18 responded to treatment in both Studies 201 and 301,
19 the mean serum phosphate reduction using the
20 predefined primary analysis definition of responder
21 was 2.6 milligrams per deciliter for the 8- and
22 26-week randomized treatment period responders,

1 respectively.

2 Study 202 was performed in a resistant
3 population and demonstrated a serum phosphate
4 reduction of 0.7 milligrams per deciliter for
5 tenapanor plus binder compared to placebo plus
6 binder was observed at week 4. This suggests that
7 in the real world where patients are continually
8 monitored, patients likely to be treated and remain
9 on tenapanor will have a clinically meaningful
10 serum phosphate reduction.

11 It is important to note that the serum
12 phosphate lowering effect of tenapanor varies
13 across patients; however, as demonstrated by the
14 waterfall plots, a meaningful proportion of
15 patients have a large reduction in their serum
16 phosphate, and patients who have a biologic
17 response to tenapanor have a serum phosphate
18 reduction similar to patients who respond to
19 sevelamer with a much lower pill burden in our
20 studies, 2 pills per day for tenapanor versus a
21 median of 9 tablets per day for sevelamer.

22 Equally important, when reduction in serum

1 phosphate occurs, it is observed early in
2 treatment, and perhaps more importantly, patients
3 who respond early usually continue to respond to
4 tenapanor treatment. Likewise, we have
5 demonstrated that patients who do not benefit can
6 be identified early and switch to other treatments.
7 These data, coupled with standard practice, will
8 allow a nephrologist to identify patients who
9 respond to tenapanor therapy and avoid prolonged
10 use and those who do not. Therefore, we believe
11 that tenapanor can be an important additional
12 therapeutic option that fits into the current
13 treatment paradigm for managing patients with
14 hyperphosphatemia requiring maintenance dialysis,
15 an area where there is a substantial need for new
16 therapies.

17 Now I will turn the presentation over to
18 Dr. Williams for review of safety.

19 **Applicant Presentation - Laura Williams**

20 DR. WILLIAMS: Thank you, Dr. Spiegel.

21 Across the entire clinical development
22 program, tenapanor demonstrated an acceptable

1 safety and tolerability profile. The FDA's
2 briefing document noted that except for diarrhea
3 and related tolerability issues, their safety
4 analysis did not raise significant concerns.

5 The clinical development program provides a
6 robust assessment of safety in more than
7 1200 patients from the CKD on dialysis safety
8 analysis set, with more than 930 tenapanor-treated
9 patients representing more than 140 patient-years
10 of tenapanor exposure.

11 Study 301 provides the most extensive
12 treatment exposure with safety data for up to
13 52 weeks, and it evaluated tenapanor in the setting
14 of an active control, sevelamer, the most commonly
15 prescribed phosphate binder. Therefore, I'll
16 review data primarily from this study. Additional
17 safety data across the full clinical development
18 program are provided in the briefing document.

19 Importantly, approximately 65 percent of
20 patients in the sevelamer arm were sevelamer
21 experienced having been treated with sevelamer just
22 prior to enrollment in this study. By default,

1 most patients who had tolerability issues to
2 sevelamer would have discontinued therapy prior to
3 the study, and as such, the adverse event and study
4 discontinuation rates were expected to be lower in
5 the sevelamer arm in this study versus the naive
6 patient population presented in sevelamer's package
7 insert.

8 Here's the overall safety data from
9 Study 301 across all treatment periods, with
10 tenapanor in blue, sevelamer in gold, and placebo
11 in gray. The 26-week randomized treatment period
12 is on the left, followed by the 12-week randomized
13 withdrawal period and the 14-week safety extension.
14 Overall, a higher proportion of tenapanor patients
15 reported an adverse event and discontinuation due
16 to an AE compared with the sevelamer enriched
17 population.

18 Here's a more granular view of AE intensity
19 separating moderate and severe events to provide
20 additional clarity and context on the table 13
21 noted in FDA's briefing document. There are lower
22 rates of AEs with severe intensity, and those rates

1 are similar to sevelamer in each treatment period.
2 Despite being an enriched population, the
3 proportion of patients experiencing a serious
4 adverse event, or SAE, was higher in the sevelamer
5 arm throughout each phase of the study, as were AEs
6 leading to hospitalization.

7 There were 18 deaths in this study, and
8 rates were similar across treatment groups. No
9 deaths were considered related to study drug by
10 investigators. Diarrhea was the most common
11 adverse event in the tenapanor group, with most
12 events occurring during the 26-week randomized
13 treatment period.

14 For reference, MedDRA classifies any report
15 of bothersome loose stools, loose bowels, or mushy
16 stools as diarrhea events, whether or not there was
17 a reported increase in stool frequency. Diarrhea
18 rates across treatment groups were much lower
19 during the randomized withdrawal period with a
20 slight uptick when tenapanor was reintroduced to
21 some patients during the 14-week safety extension
22 period. Most diarrhea events were mild or moderate

1 in intensity. In general, the frequency of other
2 AEs was low with higher rates in the sevelamer arm.

3 During the randomized treatment period, AEs
4 that led to discontinuation were more common than
5 the tenapanor group at 24 percent compared to
6 1 percent in the sevelamer group, with 16 percent
7 discontinuing due to diarrhea. When present, most
8 patients reported only having a single diarrhea
9 event with most events occurring early in treatment
10 and resolving within a median of 14 days.

11 This table highlights the impact an enriched
12 population can have on GI-related adverse events,
13 particularly for phosphate binders. You've seen
14 the data from Study 301 as it relates to diarrhea
15 rates for tenapanor versus tenapanor, as shown
16 here. Rates for other non-diarrhea GI events in
17 this study were actually less than 5 percent for
18 either treatment arm.

19 To provide additional context, we looked at
20 the phase 3 study used to support sevelamer's
21 approval that had a similar treatment duration as
22 Study 301. The safety profile in this sevelamer

1 naive population, as reported in the package
2 inserts, is more consistent with that seen across
3 most treatment-naive phosphate binder studies. The
4 overall AE rate is higher here than in Study 301,
5 as is the diarrhea rate at 19 percent, and notably,
6 there are much higher rates of other non-diarrhea,
7 GI-related AEs. Finally, there was a similar rate
8 of discontinuations due to any GI event at
9 16 percent.

10 We explored potentially more worrisome
11 downstream consequences of diarrhea with a post hoc
12 analysis evaluating the temporal association
13 between diarrhea and adverse events of special
14 interest, which consisted of AEs mapped to the
15 preferred terms represented in this table. Data
16 show that most patients with diarrhea events had no
17 temporally associated adverse event of special
18 interest in either treatment arm, and among the
19 3 percent of tenapanor-treated patients with
20 diarrhea who had a temporally associated adverse
21 event of special interest, the rates were
22 approximately 1 percent or less and similar to

1 sevelamer.

2 Although not shown here but included in the
3 briefing document, we also reviewed serum
4 electrolytes and other laboratory values, and blood
5 pressure measurements, and found no clinically
6 meaningful changes in these values, in general, and
7 more specifically among patients with reported
8 events of severe diarrhea.

9 In general, similar safety tolerability
10 profiles were seen in both Studies 201 and 202,
11 which are also presented in the briefing document.
12 Thus, in summary, these data demonstrate that
13 tenapanor has an acceptable safety and tolerability
14 profile. Diarrhea was the most commonly reported
15 adverse event as anticipated based on tenapanor's
16 mechanism of action, and it was appropriately
17 managed. Most cases occurred early, were mild to
18 moderate in intensity, were not treatment limiting,
19 and tended to resolve within a median of 14 days.

20 Importantly, events of severe diarrhea were
21 infrequent and potentially more worrisome,
22 downstream consequences of diarrhea like

1 dehydration, hypotension, syncope, falls, and
2 hospitalizations were uncommon. In the long-term
3 Study 301 with an active safety control, the safety
4 profile was comparable to or better than sevelamer.
5 These safety data, coupled with the efficacy
6 results shared by Dr. Spiegel, provide a positive
7 overall benefit-risk assessment for tenapanor.

8 Thank you. Dr. Sprague will now conclude
9 the presentation.

10 **Applicant Presentation - Stuart Sprague**

11 DR. SPRAGUE: Thank you, Dr. Williams.

12 I'm Stuart Sprague, chief emeritus of
13 Nephrology and Hypertension at NorthShore
14 University Health System and professor of medicine
15 at the University of Chicago. I'd like to provide
16 my clinical perspective on the tenapanor data.

17 For decades, pharmacological treatment of
18 hyperphosphatemia has been limited to the use of
19 one class of therapy, phosphate binders. Despite
20 our best efforts, most patients do not consistently
21 achieve target serum phosphate concentrations Even
22 when patients are doing everything we

1 ask -- restricting their diets, taking many large
2 pills with meals, and always having a supply of
3 binders on hand -- they find the treatment of
4 hyperphosphatemia to be extremely frustrating and
5 challenging. On multiple occasions, I've had
6 patients tell me that it's not worth taking their
7 binders since they still have high serum phosphate
8 concentrations no matter what they do.

9 As my nephrology colleagues know, our
10 control of phosphate is distressingly poor.
11 Phosphate binders often lead to worsening
12 constipation and GI distress and are not effective
13 at consistently controlling serum phosphate for the
14 majority of our patients.

15 We need to be able to offer something else
16 to meet the needs of each patient. Tenapanor
17 effectively lowers serum phosphate when used alone
18 or in combination with phosphate binders. The
19 dosing regimen is simplified with fewer smaller
20 pills taken twice a day, providing a much needed
21 treatment option to improve the management of
22 hyperphosphatemia.

1 A sizable proportion of patients on
2 tenapanor have serum phosphate reductions that are
3 clinically meaningful. As standard practice, we
4 monitor serum phosphate at least monthly so I can
5 identify treatment response early to maintain
6 therapy in patients who respond and use alternative
7 treatments in those who do not.

8 Today, the FDA is asking you to consider the
9 magnitude of tenapanor's treatment effect and
10 whether that effect is clinically meaningful. I
11 believe that the best estimate of tenapanor's
12 treatment effect is approximately 1.4 milligrams
13 per deciliter, as seen in Study 301, the largest
14 most robust study testing the 30-milligram dose and
15 previously presented with a 30-milligram dose in
16 the placebo-controlled phase 2 study.

17 Here you see treatment estimates for
18 randomized withdrawal studies cited in the
19 prescribing information of currently marketed
20 phosphate binders, with a yellow band highlighting
21 the 1.5 to 2.2 serum phosphate reduction that FDA
22 considers as clinically meaningful. The tenapanor

1 treatment effect aligns with the benchmark set by
2 the approved phosphate binders, but 1.4 is not the
3 minimum threshold for clinical meaningfulness.
4 Results are meaningful even at the lower range of
5 the treatment effect seen.

6 In Study 201, the point estimate was minus
7 0.8, which is still a meaningful reduction in serum
8 phosphate. If I have a patient with a serum
9 phosphorus of 6.3, and I could get them to the
10 target of 5.5 with tenapanor, that is clinically
11 meaningful.

12 I treat patients with these phosphate
13 binders and I'm familiar with their safety and
14 tolerability profiles. This table shows
15 registration trial data of treatment-naive patients
16 for these various phosphate binders. As you can
17 see, the proportion of patients with GI adverse
18 events and the discontinuation rates due to adverse
19 events are in line with those seen with tenapanor.
20 I am used to managing GI adverse effects with
21 phosphate binders, and will be able to manage them
22 when I use tenapanor.

1 Tenapanor could help many of my patients
2 both as monotherapy or in combination with
3 phosphate binders. There are a number of
4 considerations when making treatment decisions
5 around managing hyperphosphatemia, including the
6 severity of the hyperphosphatemia, the current
7 treatment regimen, tolerability, history of GI
8 issues, and dosing preferences.

9 Unfortunately, 40 percent of my patients in
10 any given month remain uncontrolled. I care for a
11 47-year-old patient receiving hemodialysis with
12 uncontrolled hyperphosphatemia. Despite trying
13 multiple binders alone and in combination, he
14 enrolled in a tenapanor clinical trial, was
15 switched to tenapanor monotherapy, and his serum
16 phosphate was consistently in control for the first
17 time since starting dialysis. At trial completion,
18 he asked if there is any way to continue on the
19 medication. Unfortunately, he had to return to
20 binders, and now again has poor controlled
21 hyperphosphatemia.

22 Often I worry about compliance to taking a

1 large number of phosphate binder pills. For
2 example, I have a 58-year-old patient on multiple
3 binders who follows his diet closely, yet only has
4 intermittent serum phosphate control. He sometimes
5 misses his lunchtime dose while working, a problem
6 that could be alleviated with tenapanor. I also
7 have treatment-naive patients for which I would
8 consider a tenapanor regime.

9 The point is different patients have
10 different needs, and having a new option with a
11 different mechanism of action would help me
12 successfully individualize treatment and help more
13 patients achieve target.

14 Overall, tenapanor provides clinically
15 meaningful serum phosphate reductions with a
16 positive benefit-risk assessment in both
17 monotherapy and combination therapy. The
18 development of tenapanor represents an important
19 advance for patients and our field, where current
20 therapies are not able to consistently achieve our
21 targets.

22 Tenapanor has the potential to change a

1 hyperphosphatemia treatment paradigm, and I
2 sincerely hope that it becomes available for us to
3 use. Thank you. I'll now turn the presentation
4 back to the sponsor to take your questions.

5 **Clarifying Questions**

6 DR. LEWIS: We will now take questions for
7 Ardelyx. Please use the raise-hand icon to
8 indicate that you have a question, and remember to
9 lower your hand by clicking the raise-hand icon
10 after you have asked your questions. When
11 acknowledged, please remember to state your name
12 for the record before you speak and direct your
13 question to a specific presenter, if you can.

14 If you wish for a specific slide to be
15 displayed, please let us know the slide number, if
16 possible. Finally, it would be helpful to
17 acknowledge the end of your question with a thank
18 you and the end of your follow-up question with,
19 "That is all for my questions," so we can move on
20 to the next panel member.

21 I will take the liberty of beginning. I
22 have two questions for the sponsor. One question

1 is, both in your labeled use of this drug -- and I
2 understand the protocol -- the recommendation was
3 to give the medication with breakfast and dinner;
4 however, my understanding of how this medication
5 works would indicate that it would be effective if
6 not given simultaneously with food. Could you
7 comment on that?

8 My second question -- do you want me to ask
9 them one at a time, or does it matter?

10 DR. WILLIAMS: No, you can --

11 (Crosstalk.)

12 DR. LEWIS: Okay.

13 My second question is that I'm thinking
14 about this concept of non-responders. Is there any
15 reason to believe that there are people for whom
16 tenapanor would not inhibit their NH3 [ph]
17 inhibitor cellular phosphate movement, or is it
18 related to compliance and the amount of phosphate
19 foods they're eating, et cetera? Do you have
20 compliance data?

21 Thank you. Those are my two questions.

22 DR. WILLIAMS: Thank you. I'll ask

1 Dr. Spiegel to address both questions; the first
2 one with respect to dosing around breakfast and
3 dinner, and the second one as it relates to
4 non-responders and whether or not that is a
5 compliance issue versus otherwise.

6 Dr. Spiegel?

7 DR. SPIEGEL: Thank you. David Spiegel.

8 In some of the earlier studies, different
9 dosing regimens were tested, and there was
10 once-a-day tested versus twice-a-day testing,
11 looking at stool sodium and urinary sodium in
12 healthy volunteers. And what it showed was that
13 the BID dosing was more effective in increasing
14 stool sodium, so that was the reason that it was
15 taken forward into the development program.

16 DR. LEWIS: Excuse me, though. I'm trying
17 to understand why it's recommended to be given with
18 breakfast and dinner as opposed to --

19 DR. SPIEGEL: Yes.

20 DR. LEWIS: -- just any old time during the
21 day.

22 DR. SPIEGEL: Correct; sorry about that.

1 So it was also studied away from meals
2 versus right before meals, so the recommendation is
3 right before breakfast and right before dinner.
4 When it was studied away from meals, again, similar
5 findings to the once-a-day dosing was seen, that it
6 was less effective in increasing stool sodium and
7 decreasing urinary sodium. So it was felt to be
8 best to be taken right before breakfast and right
9 before dinner.

10 As far as your second question in terms of
11 non-responders, as far as we know, everyone has the
12 NHE3 receptor, other than the knockout mice, which
13 have been studied. So as far as we know, everyone
14 that was studied has a response as far as
15 increasing stool sodium and decreasing urinary
16 sodium.

17 The secondary signals from inhibiting this
18 antiporter to the paracellular tight junctional
19 changes that occur are not completely understood.
20 But as far as we know, everyone does show change in
21 there tight junction confirmations and decrease in
22 paracellular phosphate absorption, but there

1 certainly could be some variability across
2 different populations in terms of that response.
3 And that may explain why some patients have a large
4 response to tenapanor, and some patients appear to
5 have a much smaller response.

6 DR. LEWIS: Do you have compliance data?

7 DR. WILLIAMS: Yes, we do. Compliance was
8 actually one of the criteria that -- I'm sorry.
9 This is Dr. Williams again. Compliance was one of
10 the criteria for which patients could remain in the
11 study. Our compliance was approximately 82 percent
12 for the tenapanor arm in the randomized treatment
13 period and about 80 percent for the sevelamer arm.

14 DR. LEWIS: That is all for my questions.

15 Dr. Bairey Merz?

16 DR. BAIREY MERZ: Thank you, Dr. Lewis. My
17 question is for Dr. Williams or her designee.

18 Did you have quality-of-life and/or
19 satisfaction measures, 2 versus 9 pills, and then
20 increased diarrhea versus not in the all-comers
21 versus your withdrawal population or your
22 tolerating population? Quality-of-life and

1 treatment satisfaction would be important, given
2 these pros and cons.

3 DR. WILLIAMS: Great. I'm going to ask
4 Dr. Spiegel to review with you some of the
5 patient-reported outcomes data that we captured in
6 our open-label study as it relates to treatment
7 satisfaction, which you just noted, and also would
8 like to have him discuss some additional data that
9 we collected in Study 201 as it relates to diarrhea
10 in this patient population.

11 Dr. Spiegel?

12 DR. SPIEGEL: Thanks. David Spiegel.

13 We conducted a study called 402, and that
14 study, it was an open-label study, but it took
15 patients who were on phosphate binders, and there
16 were two cohorts which are relevant to your
17 question.

18 Cohort 1 had their binders discontinued and
19 were started on tenapanor, and then the binders
20 could be added back, if needed, to get control.

21 Cohort --

22 DR. WILLIAMS: I'm sorry. Can we have

1 permission to show the slide so that you can --

2 DR. LEWIS: Yes, of course. Yes, please
3 show the slide.

4 DR. WILLIAMS: Thank you.

5 DR. SPIEGEL: I'm sorry. And cohort 2 had
6 their phosphate binders decreased by 50 percent and
7 were started, and had tenapanor added to that
8 regimen.

9 Then there was a questionnaire that was
10 given at baseline in the end of the the 10-week
11 part A of that study, and the questionnaire was
12 around their phosphate binder management, whether
13 it was improved, whether it was worsened, and why
14 they felt it was either worsened or improved, and
15 these are the results that are shown here.

16 About 84 percent of patients felt, overall,
17 that their phosphate management regimen was
18 improved, and when we drilled down to understand
19 why that was the case, about two-thirds of the
20 patients felt it was actually due to the pills they
21 were taking. They had a lower pill burden, the
22 pills were smaller, and they had to take them less

1 frequently. And interestingly, a third of the
2 patients felt that their phosphate binder
3 management was improved because they had an
4 improvement in their bowel movement frequency. So
5 presumably these are patients who were constipated
6 at baseline, which is common in dialysis patients,
7 and tenapanor provided some relief for those
8 patients.

9 I would also say that in this study, the
10 combination therapy, in addition to improving the
11 quality of life in these patients, also further
12 decreased their serum phosphate by 1 milligram per
13 deciliter, and in cohort 1, the pill count went
14 from 8.8 a day down to 5.5 a day, which was the
15 switch, and in cohort 2 at the end, it went from
16 9.3 down to 8. So there was a reduction in pill
17 burden, an improvement in quality of life, and an
18 increase in patient satisfaction.

19 Regarding --

20 DR. BAIREY MERZ: Can --

21 DR. SPIEGEL: -- I'm sorry?

22 DR. BAIREY MERZ: Can I just ask about this

1 slide? These were all-comers or these were the
2 toleraters?

3 DR. SPIEGEL: These were all-comers in
4 Study 402 --

5 DR. BAIREY MERZ: Thank you.

6 DR. SPIEGEL: -- that completed the periods
7 and had the questionnaire done twice; yes.

8 DR. LEWIS: Thank you.

9 Dr. Emerson?

10 DR. SPIEGEL: I'm sorry. Did you want me to
11 talk about the stool?

12 DR. WILLIAMS: Yes. Dr. Lewis, there was
13 another --

14 (Crosstalk.)

15 DR. LEWIS: I'm sorry --

16 DR. WILLIAMS: Thank you.

17 DR. SPIEGEL: Yes. I think there was
18 another part of the question related to the stool
19 frequency, and let me put that up.

20 In Study 201, patients did a daily stool
21 diary, both in terms of the quantity and the
22 quality of their stool. The quality is shown on

1 this right, which is this standard Bristol stool
2 chart, and what you see on the left-hand side is
3 the stool consistency, and what you see is -- and
4 these are the three different doses that were used
5 in Study 201. But what you see is there is a
6 slight increase in this score, which means a slight
7 loosening of the stool, but it stays within what's
8 considered the normal range for bowel movements,
9 and you can see it kind of stays level essentially
10 over the course of the treatment.

11 When we think of diarrhea, we all think of
12 number 7, which is these watery stools, and that
13 was not what we saw in the study. It was a
14 softening of the stool and an increase in still
15 frequency a little bit, all within the normal
16 range.

17 DR. BAIREY MERZ: Thank you. That's all for
18 me.

19 DR. LEWIS: Thank you, Dr. Merz.

20 Dr. Emerson?

21 DR. EMERSON: Yes. This is Scott Emerson.

22 I have a few questions related to your EAC [ph]

1 analyses compared to the non-responders.

2 The first is, in your briefing book, you
3 gave us disposition for the randomized withdrawal
4 phase, but you did not break down that disposition
5 by responders versus non-responders. Of particular
6 interest to me is you had 7 patients who
7 discontinued due to hyperphosphatemia. On the
8 tenapanor arm you had zero on placebo. Were they
9 responders or non-responders?

10 DR. WILLIAMS: Dr. Spiegel?

11 DR. SPIEGEL: If they discontinued, they
12 were non-responders. In terms of the demographics
13 for the specific breakdown of non-responders versus
14 responders, we'd have to try to get that to you
15 later. I don't think we have that particular
16 breakdown.

17 DR. EMERSON: Again, I'm asking about
18 figure 28 in your briefing book. So this is during
19 the randomized withdrawal phase -- just so that you
20 do break this down correctly -- you gave this based
21 on all randomized patients, but your EAC would only
22 be among the responders. So what I am looking for

1 is, of those 7 patients who were listed as
2 hyperphosphatemia -- it's during the randomized
3 withdrawal phase -- how many of those were
4 responders during the randomized treatment phase?
5 Okay, and I appreciate that later.

6 Along those same lines, then, for both the
7 data that you present in CO-39 and CO-40, I'm
8 interested in a dose response by the definition of
9 response; since we didn't have it totally
10 prespecified, that your intent would be that the
11 indication would say that if you didn't respond
12 by -- and I'm making this up -- week 4, that they
13 should not continue.

14 Then this safety question that the FDA
15 alluded to -- are you having patients persisting on
16 a treatment that's doing no good -- I would like to
17 see some idea of dose response with particular
18 concern about the fact that the direction, the
19 point estimate, was wrong among the non-responders
20 using the prespecified criteria.

21 So do you have anything on that?

22 DR. WILLIAMS: If you might, can you please

1 repeat the question? I just want to make sure that
2 our response is appropriate.

3 DR. EMERSON: Okay. One thing that I would
4 be interested in, since we have CO-39 up, just to
5 clarify this, you give me this for the responders,
6 but I'm also interested in seeing what the data
7 would be for the non-responders. But if we might
8 see CO-40, maybe this would be the better starting
9 place.

10 CO-40, you give me these estimates based on
11 only the definition based on 1.2. And I'll just
12 note, the non-responder subset's in the wrong
13 direction. We have those 7 patients that have
14 ultimately discontinued for hyperphosphatemia if
15 they stayed on tenapanor. I was wondering if you
16 could break down this non-responder subset more and
17 by a few other criteria so that we can see if there
18 is a huge safety issue, depending upon how badly
19 your non-response was.

20 DR. WILLIAMS: Okay. Dr. Spiegel?

21 DR. SPIEGEL: Well, I can certainly answer
22 your question about the seven during the randomized

1 withdrawal period who had hyperphosphatemia. To
2 get into the randomized withdrawal period in this
3 efficacy analysis set, you had to, by definition,
4 be a responder at the end of the enrichment period.
5 So the answer to that question is yes; those
6 7 patients did have at least a 1.2-milligram per
7 deciliter reduction at the end of the randomized
8 treatment period.

9 Honestly, I --

10 DR. EMERSON: Well, just to clarify,
11 table 11 gives the denominator of 128, which is the
12 inclusion, both your primary analysis group and the
13 non-responders. So you saying that they all
14 responded, that's very interesting, and I'd really
15 like to know that, but I just want you to make
16 certain that that's correct.

17 DR. WILLIAMS: Yes. We actually have a
18 slide that I think would answer that question.
19 We're having some technical difficulties in terms
20 of pulling it up, so I'd like to bring that back to
21 you after the break, if that's ok.

22 DR. EMERSON: Okay. That'd be fine. And

1 again, that they sort of could contribute to my
2 worries on this non-responder subset where your
3 direction went wrong, and whether there was any
4 sort of a dose response on that.

5 Along these same lines, then, in your
6 briefing book, table 11, you perform analyses based
7 on what the final dose of tenapanor was at the end
8 of the randomized treatment period, which patients,
9 as I understand it, would have continued on
10 whatever dose they had titrated down to; but your
11 table 11 is an inappropriate comparison because
12 you're pooling the placebo groups for each of
13 those.

14 Do you have a properly stratified analysis
15 wherein the strata defined by the final tenapanor
16 dose, that we compare the two treatment arms with
17 that?

18 DR. WILLIAMS: So again, you are asking if
19 we have the efficacy results stratified out by the
20 dose that patients were on during the randomized
21 withdrawal period?

22 DR. SPIEGEL: That's right. Well actually,

1 since they would have been on either placebo or
2 they would have been on the tenapanor dose that
3 they finished the 26-week period with. That's
4 correct? Am I correct in stating that?

5 DR. WILLIAMS: Yes, you're correct, and
6 we --

7 DR. EMERSON: Okay. So I'd like to see,
8 again, if you have a slide for table 11, and I can
9 point exactly to the numbers that are wrong. You
10 combine all of those different strata in your
11 placebo group to compare them, and I want to see,
12 again, whether there is this idea -- it's a little
13 bit going to Dr. Lewis' question of what's the
14 story about patients in their response and what's
15 the story also in terms of their adverse event
16 profile that would make them titrate down?

17 DR. WILLIAMS: Alright. I'm going to ask
18 Dr. Spiegel to address part of that question.

19 DR. SPIEGEL: David Spiegel. I hope this
20 answers at least some of your question.

21 Obviously, the difference between those
22 randomized to placebo versus those staying on

1 tenapanor in the randomized withdrawal period was
2 1.37 in the total efficacy analysis population. If
3 you look at those who just stayed on the
4 30-milligram dose and were not titrated down, that
5 difference was 1.69, whereas those that went down
6 to 20, it was 0.96, and those that went down to 10,
7 it was right about [inaudible].

8 So down-titrated, they maybe did lose a
9 little bit of efficacy. Again, I hope I have sort
10 of addressed --

11 DR. EMERSON: No. I need to see what the
12 placebo patients in those same strata were.

13 DR. SPIEGEL: Oh, so in terms of what the
14 rise in the placebo was?

15 DR. EMERSON: That's right, because you've
16 lumped all the placebo patients together, and it's
17 not at all a foregone conclusion that the same
18 patients would behaved that way, so you're --

19 DR. SPIEGEL: I think I understand now.

20 So here is this, I guess, 1, 2, 3 -- the
21 fourth column over shows the placebo, and in each
22 of those substrata, 30, 20, and 10, the placebo

1 group went up about 1.81.

2 DR. EMERSON: Except -- no, that's not
3 correct. That's not correct. Your sample size
4 gives 68, which is roughly the total number of
5 placebo patients across those three strata. You
6 have three strata according to the final dose at
7 the end of the randomized treatment period. The
8 sample sizes should be roughly comparable for
9 placebo. I'm interested in what the estimates
10 would have been.

11 DR. WILLIAMS: Yes. I'm afraid we'll have
12 to try and get that information back to you after
13 the break. The data that Dr. Spiegel is sharing
14 here is consistent with what we shared in our study
15 report, but we can try and see if we can tease out
16 that information during the break.

17 DR. EMERSON: So whether or not it's what
18 you shared in your thing, it's an incorrect
19 analysis; an incorrect analysis. So it's
20 important -- and again, just recognizing that a lot
21 of the safety of this is the amorphous safety of
22 what is the safety of marketing a drug and

1 convincing people to take it when they're not truly
2 getting a benefit. Having better point estimates
3 on this will go a long way towards that.

4 I'll let that do me for now, and I'll come
5 back later with the other questions. Thank you.

6 DR. LEWIS: Thank you.

7 Mr. Conway?

8 MR. CONWAY: Thank you, Dr. Lewis. I guess
9 my question is to David Spiegel, but I'll defer to
10 folks to prioritize, or to Dr. Chertow.

11 Obviously, I'm a patient, and it's an honor
12 to serve on this committee, and I'd like to anchor
13 this into some more real world. As a patient I've
14 taken at least 165,000 pills, so I understand some
15 of these issues at a personal level and also for a
16 patient population level, in practical terms.

17 But I wanted to ask about the slide that had
18 been put up, and I believe it's slide number 56 by
19 you folks, the comparative slide. Maybe
20 it's -- sorry. It's 2 hands that has the 2 pills
21 in one hand and I think 9 pills in the other hand,
22 and this might be wrong. It might be number 65.

1 But here's what my question is.

2 In the presentations, your presentation and
3 FDA's presentation, that talk about clinical
4 effectiveness -- that's the slide; thank you very
5 much. So clinical effectiveness, and I've heard
6 two members of your team talk about clinical
7 effectiveness, and you've actually alluded to and
8 talked about how practitioners see clinical
9 effectiveness and patients.

10 So I just wanted to ask you this question,
11 which is Dr. Fried asked about QoL data, and in
12 your words, or one of your team member's words, can
13 you just break it down for those who are listening
14 today, what patients would say clinical
15 effectiveness is, based on these studies and based
16 on information you heard from them?

17 DR. WILLIAMS: Dr. Sprague?

18 DR. SPRAGUE: Thank you. Stuart Sprague.

19 Yes, and since you are a dialysis patient,
20 you probably know, on a regular basis, the staff,
21 the dietitian, and frequently the physician or the
22 nurse practitioner, will go over your labs every

1 month, and we want you to have a certain phosphate
2 level. And many of you are taking, as we mentioned
3 before, 10-12 pills just to control phosphate.

4 Most patients, in my view, feel that when
5 they have their phosphate level below 5.5, which is
6 the target we've been using on a regular basis,
7 they find that clinically effective. And I do
8 believe -- and you might be able to address this as
9 well -- that if you can get that with 2 or 6 pills
10 a day, as opposed to 10 or 12, you would find that
11 a much more easy and practical approach in order to
12 control your serum phosphate and would consider
13 that clinically effective.

14 Is that how you wanted the question I
15 understood.

16 MR. CONWAY: Yes, it is.

17 Dr. Lewis, I have one quick follow-up, which
18 is this. On the quality-of-life data that you
19 folks did -- I think you had presented it on
20 slide 28 -- how was that used by FDA, from your
21 perspective.

22 DR. WILLIAMS: I'm sorry. Is that a

1 question to the sponsor or is that a question --

2 MR. CONWAY: It's a question to the sponsor.
3 No, it's a question to the sponsor.

4 DR. WILLIAMS: In terms of how --

5 DR. LEWIS: I think he's asking if the FDA
6 took into consideration your optimized trial, where
7 the quality-of-life data was.

8 MR. CONWAY: That's correct. Thanks,
9 Dr. Lewis.

10 DR. WILLIAMS: Yes. And if you're asking
11 this to sponsor, that information certainly is
12 included in our dossier, and I'm sure the agency
13 has considered the data.

14 MR. CONWAY: Okay. Thank you.

15 That's all, Dr. Lewis.

16 DR. LEWIS: Thank you, Mr. Conway.

17 Dr. Soergel?

18 DR. SOERGEL: Thanks, Dr. Lewis.

19 Along the same theme as Mr. Conway was
20 touching on, FDA and the sponsor I think both
21 agreed that decreasing pill burden could be an
22 important treatment goal. So I'm curious. If you

1 look at -- and then Dr. Sprague introduced an
2 interesting clinical scenario where you have
3 somebody with a more modestly elevated serum
4 phosphate and trying to get them to their treatment
5 goal of 5.5 or below with fewer pills could be an
6 advantage to the patient.

7 So I'm curious. If you look at CO-44, where
8 you show a proportion of individuals who achieved
9 less and 5.5, do you have that by baseline serum
10 phosphate? Could you show that individuals with
11 more modestly elevated serum phosphates, a higher
12 proportion of those individuals actually achieved
13 that less than 5.5? Thank you.

14 DR. WILLIAMS: Dr. Spiegel?

15 DR. SPIEGEL: We're working to see if we
16 have that data by baseline serum phosphate. But
17 again, to get into Study 202, all the patients had
18 to be poorly controlled, both at screening and
19 study entry. So they all had serum phosphates
20 above 5.5 at baseline, and many of them
21 significantly higher than that, but I don't know if
22 you have a specific breakout by how high they were.

1 But I think your concern is, were patients going
2 from 5.6 to 5.4? So no; these patients had
3 significant reductions in their serum phosphate in
4 Study 202.

5 DR. LEWIS: Dr. Soergel, does that answer
6 your question?

7 DR. SOERGEL: Well, partially. I'm
8 interested if you have baseline serum phosphate by
9 category; for example 5.5 to 6, and you can show
10 that tenapanor -- even in the randomized treatment
11 period or in the randomized withdrawal period, that
12 more patients can achieve their treatment goals and
13 sustain it.

14 Again, I was curious from Dr. Sprague's sort
15 of vignette of a patient who had a modestly
16 elevated serum phosphate. Can you get a patient to
17 their treatment goal with a much lower pill burden
18 than you can with the current phosphate binders
19 that are available?

20 DR. WILLIAMS: Dr. Spiegel?

21 DR. SPIEGEL: Yes. We're trying to pull up
22 the data for 202. But I can tell you for

1 Study 301, which was a larger study, which didn't
2 have as strict entry criteria, that about
3 49 percent of the patients had a serum phosphate
4 greater than or equal to 7.5, and that study entry
5 criteria was obviously not as high as Study 202.
6 So while I don't have the data at hand, I suspect a
7 significant proportion of patients in Study 202
8 also had serum phosphates greater than or equal to
9 7.5 at study entry. And as I say, we can try to
10 get you that data specifically after the break.

11 DR. SOERGEL: Yes. I mean, I'm actually
12 asking the other question, which is, the people
13 with lower serum phosphates, can you treat them
14 with tenapanor with fewer pills and actually get
15 them to their treatment goal much more effectively
16 than you could with multiple pills with a binder?

17 So it's a slightly different question. I'm
18 trying to see if there's a less aggressive approach
19 that you could take with respect to the number of
20 pills you'd administer, and get patients to their
21 treatment goals more effectively.

22 DR. WILLIAMS: Yes. I'm going to ask

1 Dr. Chertow to try and address your question.

2 DR. CHERTOW: Glenn Chertow, Stanford
3 University. Thank you for your question.

4 I think it speaks to the flexibility that we
5 need as providers. There's more than one way to
6 skin a cat as it were, and right now we have four
7 categories of binders, as you've heard, but all of
8 the options we have for treating hyperphosphatemia
9 are binder options. And whether a patient has mild
10 elevations of serum phosphate and might benefit by
11 having fewer pills, and doesn't have an enormous
12 burden of hyperphosphatemia, or patients who have a
13 greater burden of hyperphosphatemia who might need
14 combination therapy, having a new therapeutic
15 approach with a different mechanism of action, and
16 a complementary mechanism of action, gives us more
17 flexibility as clinicians.

18 DR. SOERGEL: Okay. Thank you.

19 DR. SPRAGUE: Well --

20 DR. LEWIS: Thank you.

21 Dr. O'Connor? I'm sorry.

22 DR. SPRAGUE: It was Stuart Sprague. I just

1 wanted to make another comment.

2 Again, for those of us who care for these
3 patients, and at least the patient on the panel, if
4 someone's taking 9 pills a day with each meal and
5 have to carry them around, and they can be
6 controlled with 2 pills a day, albeit maybe taking
7 it before breakfast and dinner, I think they would
8 be much happier and pleased with that type of
9 regimen, and I do believe the studies show that
10 there are patients that transition that way. So I
11 do believe that's a very important thing for
12 patients' quality of life and their overall
13 adherence, not just with phosphate binders, but
14 with other medications, when they could cut their
15 pill burden down.

16 DR. LEWIS: Dr. O'Connor?

17 DR. O'CONNOR: Hi. Dr. O'Connor here.

18 Two quick questions. One, I assume we're at
19 the top of the dose-response curve because of the
20 side effects of diarrhea, so if you could just
21 articulate how the rate of diarrhea increases, or
22 decreases, by dose, just in some general terms.

1 Then I have a question specifically on
2 CO-49, which is the study comparing the drugs here.
3 What was the difference in the pills administered
4 to the patients here? Because it looks like the
5 patients on the sevelamer got an adequate response
6 and change in phosphorus, and I'm just curious how
7 many pills it took, and it appears like they may
8 have been adherent to what that pill management
9 strategy was.

10 DR. WILLIAMS: Yes. I'm going to address
11 your second question first, since that slide is up,
12 CO-49. You're correct. In terms of the difference
13 in pill burden, for tenapanor, the result that you
14 see here is based on taking two small pills a day,
15 so one small pill twice a day. Then for sevelamer,
16 the median dose increased from initially 6 pills,
17 or a median dose of 6 pills per day, to 9 pills per
18 day at the end of the study. So that's the
19 response to your second question.

20 For your first question, just in terms of
21 dose response as it relates to the adverse events
22 profile, I'm showing you here data from the 4-week

1 phase 2b study because there's a placebo arm there,
2 so you can sort of see those. Certainly, there is
3 a dose response in terms of AEs, particularly when
4 you get to the 30-milligram BID dose compared to
5 the lower doses of 1 and 3 milligrams BID. So in
6 this study, again, we studied doses from 1 to
7 30 milligrams BID and 3 and 30 milligrams QD. And
8 you are correct in terms of the dose responsiveness
9 as it relates to safety, and most of that --

10 DR. O'CONNOR: Thank you.

11 DR. WILLIAMS: Yes. Okay.

12 DR. LEWIS: Thank you.

13 Dr. Fried?

14 And would those of you who don't have
15 another question lower your hands, please?

16 DR. FRIED: Hi. My question is actually a
17 follow-up question to that.

18 Given that the side effects of diarrhea are
19 dose response, often with drugs that have known GI
20 side effects you start lower than titrate, but I
21 noticed your study starts higher and drops. But in
22 study, I believe it's 32, you still have, in your

1 2b study, a fairly significant drop in phosphorus.
2 So I was wondering about the dosing regimens, from
3 a tolerability point of view, why start high and go
4 down rather than start low and go up as tolerated?

5 DR. WILLIAMS: A good question. Again, the
6 results that I showed you from the phase 2b study
7 give us a placebo-adjusted dose response, with the
8 greatest reduction occurring at 30-milligram BID
9 dose. So what we were trying to do, obviously, is
10 balance the tolerability that we saw with diarrhea
11 and the efficacy that we got with the higher dose.

12 So certainly, starting low and titrating up
13 is one way to do it. Starting at the most
14 efficacious dose and titrating down, if patients
15 had tolerability issues, is another way, and that
16 was the reason we chose it, again, because we were
17 targeting efficacy in a setting where we could
18 truly balance the tolerability that we saw with
19 diarrhea.

20 DR. FRIED: So just one follow-up question.
21 Is the side effect of diarrhea something that wanes
22 over time, or if you have diarrhea, it continues?

1 DR. WILLIAMS: Yes. It generally wanes over
2 time. As we noted before, the median duration of
3 diarrhea was about 14 days, and most patients
4 actually had a single episode. Eighty percent of
5 patients actually had a single episode. So it
6 happens early, it's generally mild to moderate in
7 intensity, and resolves relatively quickly.

8 DR. LEWIS: Thank you.

9 Dr. Nachman?

10 DR. NACHMAN: Yes. Thank you. Patrick
11 Nachman. Several of us have asked similar
12 questions in different ways. If we look at the EAS
13 of the randomized withdrawal protocol, or phase,
14 the placebo-corrected effect of tenapanor seems to
15 be somewhere between minus 0.7 and minus 1.4, based
16 on Studies 201, 301, and 202, and much has been
17 made or said about the pill burden.

18 If you take a patient who has a baseline
19 phosphorus of about 6.5, and you decrease their
20 phosphorus, placebo-corrected, by about 1, then you
21 will achieve your target with the 2 tablets of
22 tenapanor. What would be the pill burden on

1 sevelamer for that patient?

2 The converse story is if you start with
3 somebody with severe hyperphosphatemia, let's say
4 about 8, it seems to me that it's very unlikely
5 that they would achieve target with just the
6 2 tablets of tenapanor, so when we're comparing
7 pill burden, I think we need to compare it based on
8 the baseline hyperphosphatemia.

9 In the optimized study that is described on
10 page 90 of Ardelyx's brief, if I read this
11 paragraph correctly, the difference in pill burden
12 between the phosphate binder and tenapanor was
13 somewhere between 2 and 3 tablets total daily.
14 It's not 2 versus 10 or 2 versus 9. Can you
15 comment on this?

16 The final summary of my long question is the
17 following. When we're going to be asked to discuss
18 whether we're supporting monotherapy versus not, I
19 would want to know what is the profile of the
20 patients in whom you think that tenapanor as
21 monotherapy will achieve goal as monotherapy, not
22 in addition to nine other tablets of sevelamer, for

1 example. Thank you.

2 DR. WILLIAMS: Yes. Oh, I'm sorry.

3 I understand your question, and I'm going to
4 ask Dr. Spiegel to address that, as we did look at
5 patients separated out by their baseline serum
6 phosphorus levels, those that were less than 7 and
7 a half and similar to the one that you just
8 described with serum phosphate levels of 8. So we
9 separated them out, and we'd like to share that
10 data.

11 Dr. Spiegel?

12 DR. SPIEGEL: David Spiegel. This slide
13 shows those patients who had serum phosphorus at
14 study baseline and greater than 7 and a half for
15 tenapanor versus sevelamer. The top group of bars
16 is for the 26-week completers and the bottom is for
17 the 52-week completers, and it's broken out by
18 achievement of different serum phosphate reductions
19 or the targeting goal of less than 5.5.

20 What you can see is obviously in the
21 completer populations, the results are pretty
22 similar between tenapanor and sevelamer. Now, the

1 tenapanor dose we know is one tablet twice a day.
2 I don't know that for each of these bars we know
3 the sevelamer dose, but I can guarantee you, it's
4 significantly higher; yes, 6 to 7 tablets per day,
5 at least, for those groups.

6 DR. LEWIS: Thank you.

7 Dr. Butler?

8 DR. BUTLER: Thank you, Dr. Lewis. This is
9 Javed Butler. My question is for Dr. Chertow, and
10 this is a disease state question.

11 Did I get this right, that patients with
12 high levels are associated with adverse clinical
13 outcomes, and that there are no randomized-
14 controlled trials that today guide us on how much
15 the levels should be lowered in terms of a
16 well-conducted outcomes of study? And if this
17 understanding is correct, despite the limitations
18 of observational data and biases, are there any
19 real-world evidence data that the thresholds that
20 we're talking about here -- 1.5, or 1.2, or less
21 than 5.5 -- when they're achieved, they're
22 associated with improved outcomes for these

1 patients? Thank you.

2 DR. WILLIAMS: Dr. Chertow?

3 DR. CHERTOW: Glenn Chertow, Stanford
4 University.

5 Thank you, Dr. Butler. You are absolutely
6 correct with your first statement. And with
7 respect to your second question and comment, there
8 are a number of observational data linking higher
9 levels, higher serum concentrations, of phosphate
10 with adverse clinical outcomes. I showed one
11 during my presentation.

12 This is data from one of the two large
13 dialysis organizations showing adjusted risks of
14 death. Very similar data were published a year or
15 two later from the second of two large dialysis
16 organizations by a separate group, and these data
17 have been consistently demonstrated from the
18 Dialysis Outcomes and Practice Patterns study and
19 in studies not only in the United States but
20 overseas.

21 There are also a number of other studies
22 which have linked hyperphosphatemia with other

1 cardiovascular complications, including
2 cardiovascular calcification, cardiovascular
3 events, and fractures. We are disappointed that
4 there aren't the same levels of randomized clinical
5 trials that we've become accustomed to seeing in
6 cardiovascular medicine, but the observational data
7 are consistent, and repeatable, and have been
8 present for years.

9 DR. BUTLER: If I may follow up.

10 Thank you very much for that, but my
11 question was, are there any observational data from
12 large dialysis databases, that if you take a person
13 whose level is 9 and lower it by 1.5, or whose
14 level is 6.5 and lower it to less than 5 .5, that
15 those patients that achieved those thresholds end
16 up doing better than those patients who don't
17 achieve those thresholds, realizing that there are
18 a lot of confounders who might and might not
19 confound. But still, just to get a sense, are
20 there any observational data that lowering levels
21 to these thresholds improve outcomes?

22 DR. CHERTOW: So I think your observation

1 and your statement is absolutely right. There are
2 data, as there are for other targets that we use in
3 dialysis practice, including metrics with which the
4 nephrologists on the panel will no doubt be
5 familiar, like Kt/V, a metric of dialysis
6 efficiency. It's been described as a dose
7 targeting bias. They're very difficult -- I'd
8 argue impossible -- to disentangle some of the
9 confounding from being able to achieve targets and
10 the benefit of achieving targets. We've seen that
11 to a large degree in the evaluation of anemia in
12 this population, where patients who achieve higher
13 hemoglobin concentrations do better, although we
14 don't have strong evidence that increasing
15 hemoglobin concentrations improves outcomes. So we
16 don't have the data, but the observational data are
17 compelling, biologically plausible, and consistent.

18 DR. BUTLER: Thank you very much.

19 DR. LEWIS: Thank you.

20 Dr. de Boer? Unmute.

21 DR. DE BOER: Yes. Thank you, Dr. Lewis.

22 Ian de Boer, University of Washington. I

1 appreciated the question about quality of life I
2 think from Dr. Fried earlier, and I was wondering
3 whether we could revisit the data that were shown
4 in response. I'd like a little more context on
5 what study this came from, what was the time frame
6 evaluation, was there a comparator group,
7 et cetera.

8 DR. WILLIAMS: Yes. You are referring to
9 the data that we showed from Study 402, which was
10 the questionnaire as it relates to patient-reported
11 outcomes on treatment satisfaction?

12 DR. DE BOER: Yes, please.

13 DR. WILLIAMS: Alright. I'm pulling it up,
14 and -- I'm sorry. That's a different one. Just
15 give me one minute. Here we go.

16 Now, can you again repeat your question as
17 it relates to this?

18 DR. DE BOER: Sure. This is Study 402.
19 That was the first part of my question. Can you
20 remind me the design of 402 and what was the time
21 frame when these questions were asked, and was
22 there a comparator group for the questions and

1 responses?

2 DR. WILLIAMS: Yes. I'll take this one
3 down, and we'll pull up the study design, and I'll
4 have -- actually, let me just ask Dr. Spiegel to
5 walk you through the design for Study 402.

6 DR. SPIEGEL: David Spiegel. This was an
7 open-label study, so patients entered who were on
8 phosphate binders who had baseline serum phosphorus
9 at 5.5 to 10 on a stable dose of phosphate binders.
10 And then they were randomized to one of two
11 different cohorts that were relative to the
12 questionnaire, either cohort 1, where they had a
13 straight switch, they came off of their binders,
14 and they went on tenapanor 30 milligrams BID, or
15 cohort 2, where they had the binder dose decreased
16 by at least 50 percent, and then had tenapanor
17 added to that regimen, and then it could be some
18 adjustments to binders after the first couple of
19 weeks.

20 The questionnaire was done in this part A,
21 which was a 10-week study, so it was done at the
22 baseline while they were on their binders, and then

1 at the end of the 10-week period of time. So there
2 was no control arm per se. The patients served as
3 their own control from baseline to the end of
4 part A of that study. Hopefully that answered your
5 question.

6 DR. DE BOER: It does. It's on a 10-week
7 before or after comparison of switching from
8 phosphate binders to tenapanor. Do I have that
9 correct?

10 DR. SPIEGEL: Right, either switching or
11 having a dose reduction, and that be added into
12 their -- correct.

13 DR. DE BOER: Thank you.

14 DR. LEWIS: Thank you.

15 Dr. Emerson, I apologize. I'm going to try
16 to work your question in later, but I think we all
17 need at least a five-minute break.

18 So we will take a quick five-minute break.
19 Panel members, please remember that there should be
20 no chatting or discussion of the meeting topics
21 with other panel members during the break. We will
22 reconvene at 11:45 AM Eastern Time.

1 (Whereupon, at 11:40 a.m., a recess was
2 taken.)

3 DR. LEWIS: We will now proceed with the FDA
4 presentation, starting with Dr. Aliza Thompson.

5 Dr. Thompson?

6 **FDA Presentation - Aliza Thompson**

7 DR. THOMPSON: Hello. My name is Aliza
8 Thompson, and I, along with my colleagues Ling-Wan
9 Chen and Selena DeConti, will be giving FDA's
10 presentation on tenapanor's efficacy and safety.

11 Over the next 45 minutes or so, we will
12 touch upon serum phosphorus as a surrogate for
13 clinical outcomes in patients with chronic kidney
14 disease on dialysis, including the regulatory
15 framework in which we have thought about serum
16 phosphorus as a surrogate. We will also discuss
17 tenapanor's efficacy and safety.

18 As I noted in my opening comment, FDA
19 accepts effects on serum phosphorus as a valid
20 surrogate endpoint and basis for approval of
21 products intended to treat hyperphosphatemia in
22 patients with chronic kidney disease on dialysis,

1 and as agreed with the FDA, the development program
2 for tenapanor was designed to demonstrate efficacy
3 in lowering serum phosphorus in patients with
4 chronic kidney disease on dialysis.

5 To date, four major classes of agents have
6 been approved in the United States to control serum
7 phosphorus levels in adults with chronic kidney
8 disease on dialysis: calcium-based binders;
9 sevelamer-based products; lanthanum carbonate, and
10 iron-based binding agents. These agents were
11 approved based on effects on serum phosphorus. In
12 studies that established the efficacy and safety of
13 these agents, the therapies lowered serum
14 phosphorus by approximately 1.5 to 2.2 milligrams
15 per deciliter.

16 So why does FDA accept serum phosphorus as a
17 surrogate endpoint? As previously noted, in
18 epidemiologic studies, elevated serum phosphorus
19 levels have been associated with an increased risk
20 of secondary hyperparathyroidism, vascular,
21 valvular, and other soft-tissue calcification and
22 cardiovascular disease in patients with chronic

1 kidney disease. And as you saw earlier today, in
2 patients on dialysis, higher serum phosphorus
3 levels have also been associated with increased
4 mortality.

5 Such epidemiologic data, as well as biologic
6 plausibility, suggests that treating
7 hyperphosphatemia will improve patient outcomes.
8 However, as you've already heard, data from
9 randomized-controlled trials, demonstrating that
10 treatments that lower serum phosphorus improves
11 patient outcomes, are currently lacking.

12 Given that we have accepted serum phosphorus
13 as a surrogate endpoint and basis for drug
14 approval, how should we think about the size of the
15 treatment's effect on serum phosphorus? Is any
16 magnitude of an effect sufficient? What
17 constitutes a clinically meaningful treatment
18 effect? This is a question we have struggled with,
19 and one that we are asking you, the committee, to
20 address.

21 In some diseases, we have data from
22 interventional trials that can be used to

1 understand the quantitative relationship between
2 treatment-induced changes in the surrogate endpoint
3 and changes in clinical outcomes. In this disease
4 state, we do not. To date, the Division of
5 Cardiology and Nephrology has not stipulated that
6 applicants demonstrate a treatment effect larger
7 than some threshold; however, we have indicated
8 that, one, the magnitude of the treatment effect
9 should be clinically relevant and, two, if the size
10 of the effect on serum phosphorus is significantly
11 smaller than the size of the effect of currently
12 approved phosphate binders, then applicants should
13 address the clinical relevance of the effect size.

14 What about comparative effectiveness? What
15 role does that play in our decision about whether a
16 product should be approved for the control of serum
17 phosphorus in patients with chronic kidney disease
18 on dialysis?

19 I want to emphasize that there is no
20 comparative effectiveness requirement for drug
21 approval, however, in considering what might
22 constitute a clinically relevant treatment effect

1 on serum phosphorus, we have considered the
2 precedent set by previously approved treatments, as
3 well as the existing data, both the strengths and
4 limitations of those data, supporting the use of
5 serum phosphorus as a surrogate endpoint. The
6 division also believes that being much less
7 effective than existing therapy means that a drug
8 could delay or possibly prevent patients from
9 reaching their target serum phosphorus levels.

10 Benefit-risk assessment is an integral part
11 of FDA's review of marketing applications for new
12 drugs. As part of this assessment, we consider
13 both the evidence and also the uncertainty. Based
14 on our review of the data included in the
15 applicant's marketing application, the division
16 concluded that tenapanor is effective in reducing
17 serum phosphorus when used as monotherapy or in
18 combination with existing agents in patients with
19 chronic kidney disease on dialysis.

20 However, we also noted sources of
21 uncertainty as it relates to tenapanor's benefits.
22 These include, one, whether the magnitude of

1 tenapanor's effect on serum phosphorus is
2 clinically meaningful when administered as
3 monotherapy and in combination with existing
4 agents; and two, whether it is possible to use a
5 patient's early response to treatment to identify
6 patients who are responders; in other words, assess
7 for response in a patient at some early time point
8 and discontinue treatment in patients who do not
9 appear to have an adequate response.

10 With that as background, I will turn the
11 presentation over to my colleague, Dr. Ling-Wan
12 Chen.

13 **FDA Presentation - Ling-Wan Chen**

14 DR. CHEN: Good morning, committee members
15 and guests. I am Dr. Ling-Wan Chen, the
16 statistical reviewer in the Division of
17 Biometrics II at the FDA. I will present the
18 efficacy reviewed in three studies in tenapanor.

19 There were two trials to support use as
20 monotherapy, Studies TEN-02-201 and TEN-02-301. In
21 this presentation, I will simply refer to them as
22 Study 201 and Study 301. Study 201 included an

1 8-week initial treatment period followed by a
2 4-week, placebo-controlled randomized withdrawal
3 period. Patients in Study 201 would have 8 weeks
4 of tenapanor treatment during the so-called
5 randomized treatment period, where patients
6 received different doses of tenapanor. Those who
7 completed the 8 weeks of tenapanor treatment would
8 enter the randomized withdrawal period and be
9 randomized to either stay on the tenapanor
10 treatment or placebo.

11 Study 301 was a phase 3 study that included
12 a 26-week, open-label treatment period, with a
13 12-week, critical control, and randomized
14 withdrawal period. Patients in Study 301 would
15 have 26 weeks of tenapanor treatment first. Those
16 who completed 26 weeks of tenapanor treatment would
17 enter the randomized withdrawal period and be
18 randomized to either tenapanor arm or placebo arm.
19 Note that the trial also included an active control
20 sevelamer arm for the purpose of safety comparison.

21 The primary analysis focused on the
22 randomized withdrawal period marked in red, where

1 the primary endpoint for both studies was the
2 change in serum phosphorus from the end of the
3 randomized treatment period to the last visit with
4 a serum phosphorus assessment during the randomized
5 withdrawal period.

6 Study TEN-02-202 was a 4-week randomized,
7 double-blind, placebo-controlled trial to support
8 use in combination with existing phosphate binder
9 treatment. In this presentation, I will refer to
10 this study as Study 202. The primary endpoint was
11 the change in serum phosphorus from baseline to
12 week 4.

13 Here are the key inclusion criteria for
14 Studies 301 and 202. In both studies, the patient
15 should take at least 3 doses of phosphate binder
16 per day, and the prescribed dose remains the same
17 during last 3 or 4 weeks prior to screening. For
18 Study 301, patient's serum phosphate levels should
19 be between 4 and 8 milligrams per deciliter in
20 screening. Analyzed serum phosphorus levels should
21 be between 6 and 10 with an increase of at least
22 1.5 in serum phosphorus after washout for the

1 enrollment. For Study 202, participants' serum
2 phosphorus levels should be within 5.5 to 10 at
3 screening and also at the end of the run-in period.

4 For the administration of tenapanor,
5 participants randomized to tenapanor would be
6 initiated 30 milligrams taken twice daily just
7 prior to breakfast and dinner. In the study,
8 tenapanor was supplied as 10-milligram tablets, and
9 the dose could be down titrated or up titrated to a
10 maximum of 30 milligrams twice a day. Therefore,
11 participants would take 1 to 3 tablets twice a day
12 to achieve the total daily doses of tenapanor.

13 On dialysis days, patients on hemodialysis
14 were instructed not to take study drug at the meal
15 prior to dialysis, and instead to take it before
16 another meal. If patients skipped a meal, they
17 should take study drug with another meal during the
18 day or at around the time that the meal would have
19 been consumed.

20 I will now describe the key data sets used
21 in three studies. For Studies 201 and 301, the key
22 data sets defined by the applicant in the protocol

1 and SAP [indiscernible] were in the intent to
2 treat, ITT, population, and the efficacy analysis
3 set.

4 The ITT population defined by the sponsor
5 includes the patients who met the study entry
6 inclusion and exclusion criteria; completed the
7 randomized treatment period and entered the
8 randomized withdrawal period, and received at least
9 one dose of study drug during the randomized
10 withdrawal period; and had at least one
11 post-treatment serum phosphorus measurement during
12 the randomized withdrawal period. Although this is
13 not how an ITT population is typically defined, in
14 this presentation, we will follow the sponsor's
15 naming convention and refer to this population as
16 the ITT population.

17 The efficacy analysis set was a subset of
18 the ITT population. Specifically, the efficacy
19 analysis set only includes patients, while the ITT
20 population achieved a reduction greater or equal to
21 1.2 in serum phosphorus levels at the end of the
22 randomized treatment period. This efficacy

1 analysis set is the sponsor's predefined primary
2 analysis set, it [indiscernible], a subset of which
3 a good response during the initial treatment period
4 would likely show treatment effect in the
5 randomized withdrawal period.

6 For Study 202, the key data sets defined by
7 the applicant for the primary efficacy analysis was
8 the full analysis set, which included subjects who
9 had at least one post-baseline serum phosphate
10 measurement during the study.

11 Next, I'll explain the subject disposition.
12 For Studies 201 and 301, the blue bar represents
13 the number of subjects initially randomized to the
14 tenapanor treatment in the study. The red bar
15 represents the number of subjects in the ITT
16 population in the randomized withdrawal period, and
17 the purple bar indicates the efficacy analysis set,
18 which includes only subjects in the ITT population
19 who had a baseline reduction of 1.2 in serum
20 phosphorus during the randomized treatment period.

21 The number on the top of the bar shows the
22 number of subjects in each category. For

1 Studies 201 and 301, the efficacy analysis set only
2 includes about half of subjects who are in the ITT
3 population of the randomized withdrawal period. Of
4 those subjects who are initially on tenapanor at
5 the start of the trial, 30 percent of them were
6 included in the efficacy analysis set for Study 201
7 and 31 percent were included in the efficacy
8 analysis set for Study 301.

9 For Study 202, the green bar represents the
10 number of subjects randomized to the study, and the
11 full analysis set was shown in orange. Only one
12 patient who was randomized to the study was
13 excluded in the full analysis set.

14 Here I will present the three primary
15 efficacy results for three studies, and the one
16 highlighted in purple on the prespecified primary
17 analysis for Studies 201 and 301. In Study 201,
18 the mean treatment difference between tenapanor and
19 the placebo was negative 0.8 in the efficacy
20 analysis set and negative 0.7 based on the ITT
21 population. The efficacy analysis set in Study 201
22 did not show a fatal treatment effect than the ITT

1 population.

2 For Study 301, the least square mean
3 difference between the tenapanor and the placebo
4 arm was negative 1.4 in the efficacy analysis set,
5 which was the largest mean treatment effect
6 observed among three trials. If the ITT population
7 was used, the treatment effect was only negative
8 0.7 and more modest in reduction. The sensitivity
9 analyses using a mixed model [indiscernible] a
10 major mixed model [indiscernible] primary approach.
11 For Study 202, which was intended to support use in
12 combination with existing phosphate binder
13 treatment, the treatment effect was negative 0.7.

14 In conclusion, although the estimate of the
15 average treatment effect in the two studies was
16 similar in the ITT population, negative 0.7, the
17 average treatment effect differs in the efficacy
18 analysis set, negative 0.8 in Study 201 and
19 negative 1.4 in Study 301.

20 In addition, the efficacy analysis set only
21 included about 31 to 37 percent of the subjects who
22 initially started with tenapanor. Therefore, the

1 analysis of the ITT population perhaps provides the
2 best estimate of the average treatment effect in
3 the subset of patients who are likely to tolerate
4 tenapanor and remain on this therapy. Tenapanor's
5 average treatment effect on serum phosphorus, when
6 used in patients who tolerate and remain on the
7 therapy, is about negative 0.7.

8 Today, four major classes of agents have
9 been approved for the proposed indication in the
10 United States. The product [indiscernible]
11 approved for the control of serum phosphorus
12 lowered the serum phosphorus levels by 1.5 to 2.2.
13 Therefore, the magnitude of the mean tenapanor
14 effect appears to be less than that observed with
15 approved agents.

16 During the review process, one question was
17 raised. Focusing on the main treatment effect
18 ignores the fact that some patients may have a
19 larger clinical relevant response to treatment. We
20 explored whether it might be possible to use a
21 patients' early response to treatment to identify
22 patients who are responders.

1 Ideally, the strategy used to identify
2 patients with a meaningful response to tenapanor
3 would identify these patients early in the course
4 of treatment so that patients with a poor response
5 can switch to a more effective therapy. To assess
6 whether it might be possible to identify patients
7 who are responders, based on the patients and their
8 response to tenapanor, we explored this issue from
9 several perspectives.

10 The first one is whether the strategy
11 defined in Studies 201 and 301 can identify
12 patients with a meaningful response to tenapanor.
13 We also conducted several exploratory analyses to
14 assess whether patients who responded to tenapanor
15 well in the early weeks would also likely respond
16 well in the later weeks.

17 As presented previously, the predefined
18 strategy used in Studies 301 and 201 focused on
19 subjects who achieved a reduction at least greater
20 or equal to 1.2 in serum phosphorus levels in the
21 randomized treatment period, prior to the
22 randomized withdrawal period.

1 Please note that there was a 26-week
2 randomized treatment period in Study 301, which was
3 more longer than the 8-week randomized treatment
4 period in Study 201. This was expected to identify
5 patients with a meaningful response to tenapanor in
6 the primary analysis; however, the strategy seems
7 effective in Study 301 but not so in Study 201.

8 The treatment effect in this subset of
9 population was negative 0.8 and negative 1.4 for
10 Studies 201 and 301, respectively. Therefore
11 restricting the primary analysis set
12 [indiscernible] to subjects who had at least a
13 reduction of 1.2 during the treatment period did
14 not appear to reliably identify patients who would
15 have a larger treatment response with tenapanor.

16 In principle, it may be possible to
17 individualize treatment based on the patient's
18 early response to treatment. Here we conduct the
19 post hoc analysis on the 26-week randomized
20 treatment period data in Study 301. In this
21 exploratory analysis, we focused on subjects who
22 achieved serum phosphorus reduction in at least 1.2

1 in the early weeks such as week 1 or week 2.

2 The table here shows about 45 percent of
3 subjects reached a serum phosphorus greater or
4 equal to 1.2 at the early weeks and also maintained
5 a serum phosphorus reduction level at week 26, and
6 less than 30 percent of these subjects reached a
7 serum phosphorus level less than 5.5 in week 26.
8 Please note that the data, based on a 26-week
9 treatment period, did not have a placebo control
10 arm.

11 The figure here displays the distribution of
12 serum phosphorus levels at week 26 for the subjects
13 who had a good serum phosphorus reduction greater
14 than or equal to 1.2 in the early weeks. The left
15 figure is for subjects who had a response in week 1
16 or week 2. The right figure is for subjects who
17 had a response in week 2 or week 4.

18 In [indiscernible] guidelines, one suggested
19 treatment goal for the dialysis patients was that
20 the serum level of phosphates should be lowered to
21 5.1. The figure of distributions of serum
22 phosphorus levels at week 26 is wide, and a

1 considerable proportion of subjects had a serum
2 phosphorus level above 5.5 at week 26. This
3 expects that [indiscernible] the subjects had a
4 good phosphorus reduction in the early weeks.

5 Here are the distributions of serum
6 phosphorus levels at week 26 for the subjects who
7 reached a serum phosphorus level less than 5.5 in
8 the early weeks. The plot shows similar wide
9 distributions of serum phosphorus in these subjects
10 at week 26 even though they had a relative low
11 serum phosphorus level in the early weeks.

12 We also conducted an exploratory analysis of
13 the randomized placebo-controlled period of
14 Study 201 to assess whether patients with a serum
15 phosphorus level less than 5.5 at an early week
16 could consistently maintain serum phosphorus below
17 these levels in later weeks.

18 Here we focused on patients with a serum
19 phosphorus level less than 5.5 at week 1 of the
20 randomized placebo-controlled period. The left
21 figure is for the tenapanor group and the right
22 figure is for the placebo group. The top of the

1 blue bar represents the number of subjects who
2 reached a serum phosphorus below 5.5 in each week.
3 For example, at week 1, 56 subjects in the
4 tenapanor group had a serum phosphorus level below
5 5.5. The top of the pink bar represents the number
6 of subjects who had a serum phosphorus level less
7 than 5.5 at week 1 and also at a particular
8 follow-up week. For example, among these
9 56 tenapanor subjects who had a serum phosphorus
10 level below 5.5 at week 1, 26 of them also had a
11 serum phosphorus below this level at week 4.

12 The triangles with dashed lines indicate the
13 number of subjects who had a serum phosphorus level
14 less than 5.5 consistently in all the prior weeks.
15 For instance, at week 1, of the 56 tenapanor
16 subjects who had a serum phosphorus less than 5.5,
17 33 of them were able to maintain their serum
18 phosphorus below this level by week 2, and 27 of
19 them were able to maintain their serum phosphorus
20 level throughout week 3. By week 4, 17 of these
21 subjects could maintain their serum phosphorus
22 level in all 4 weeks.

1 As shown in the left figure, 30 percent of
2 the tenapanor responders at week 1 maintained their
3 serum phosphorus level below 5.5 throughout the
4 4 weeks. Similarly, it was about 23 percent for
5 the placebo group on the left figure. In essence,
6 there is a fair amount of variability in serum
7 phosphorus measurements, and the statisticians may
8 not be able to easily discern.

9 In conclusion, these exploratory results
10 suggest that it may be possible to individualize
11 therapy based on a patient's early response to
12 tenapanor, but further data are needed to support
13 the efficacy of a specific strategy. If such a
14 strategy were to be implemented, it would need to
15 take into consideration the variability in serum
16 phosphorus measurements.

17 Now I will hand over to Dr. Selena DeConti,
18 who will discuss the clinical safety overview.

19 **FDA Presentation - Selena DeConti**

20 DR. DeCONTI: Good morning. I'm Selena
21 DeConti, and I'll present a brief overview of the
22 safety analysis for this risk that we've

1 identified with the use of tenapanor.

2 Tenapanor is designed to act locally in the
3 GI tract and is minimally absorbed. It's already
4 approved in the U.S. at a dose of 50 milligrams
5 twice daily in adults with irritable bowel syndrome
6 with constipation, and this product has a labeled
7 warning for severe diarrhea.

8 Overall, our safety analysis did not
9 identify significant safety concerns for the
10 chronic kidney disease patient population, and this
11 was other than the expected adverse reaction of
12 diarrhea, which is our safety topic of today.

13 The safety analysis for diarrhea focused on
14 the initial treatment periods of the studies, and
15 this was because of the high incidence of early
16 withdrawal primarily for the diarrhea, which
17 limited the interpretability of the safety data
18 collected later in the trials. Also important to
19 note about the initial treatment periods, there
20 were no blinded initial treatment periods,
21 comparing tenapanor monotherapy to placebo.

22 For diarrhea to be reported as an adverse

1 event, the patient had to consider it bothersome,
2 and diarrhea was then classified by investigators
3 as mild, moderate, or severe, based on criteria.
4 Our analysis focused on those patients classified
5 with moderate to severe diarrhea.

6 As a reminder, for moderate cases, the
7 patient experienced discomfort enough to cause
8 interference with usual activity and/or required
9 specific treatment. Now, for severe cases, the
10 patient was incapacitated with the inability to
11 work or do usual activity and/or the diarrhea
12 required significant treatment measures. These
13 definitions are also included on the next slide.

14 This slide show the studies included in our
15 analysis for diarrhea and the key details for
16 severity and tolerability. The rates were reported
17 in the initial treatment periods of Studies 301 and
18 201, and in all 4 weeks of Study 202. Tenapanor is
19 presented here in blue and the comparator is
20 presented in gray.

21 As you can see, all studies confirm that
22 tenapanor can cause significant rates of diarrhea,

1 whether with monotherapy in Study 301 or in
2 combination with existing phosphate binders in 202.
3 I'll focus on the findings in 301, which
4 represented the majority of the study population
5 and had the longest initial treatment period, and
6 you can find further analysis of these diarrhea
7 events in the FDA briefing document.

8 In Study 301, diarrhea was reported in
9 54 percent of tenapanor-treated patients compared
10 to 8 percent of the sevelamer-treated patients.
11 For severity, moderate or severe diarrhea,
12 presented on the second row, was reported in
13 39 percent of the tenapanor-treated patients in
14 Study 301 versus 3 percent of the sevelamer arm.

15 As an assessment of tenapanor's
16 tolerability, we analyzed rates of dose decreases
17 and discontinuation. As shown on the third row,
18 dose reductions were reported in 32 percent of the
19 tenapanor-treated patients in Study 301 versus none
20 in the sevelamer arm, then as shown on the last
21 row, tenapanor was discontinued in 16 percent of
22 patients in Study 301 versus 1 percent in the

1 sevelamer arm. When you add the dose reductions in
2 32 percent and the discontinuation in 16 percent,
3 you add these two together, this equals 48 percent;
4 so almost half of the tenapanor arm required
5 modification of treatment for tolerability.

6 This slide provides some additional details
7 on the diarrhea. Most moderate to severe cases
8 were reported within the first week, with the
9 majority within the first day or two. In the
10 moderate to severe cases, the diarrhea continued
11 for a mean duration of 43 days once it started,
12 with over 30 percent of patients experiencing
13 moderate to severe diarrhea for more than 30 days.
14 Diarrhea was recurrent, meaning two or more
15 episodes were reported for a patient in 14 percent
16 of tenapanor cases versus 2 percent of the
17 sevelamer cases in Study 301.

18 There were serious cases that included
19 intractable diarrhea and dehydration, which
20 resulted in hospitalizations and study
21 discontinuation. The majority of the cases
22 resolved after dose modification or discontinuation

1 of tenapanor. We analyzed various subgroups to
2 determine whether certain baseline characteristics
3 could help identify patients at risk for diarrhea.
4 We could not identify predictive factors for
5 severity such as age or weight.

6 In conclusion, tenapanor causes moderate to
7 severe diarrhea in this patient population. In
8 addition, diarrhea is associated with significant
9 dose modification and discontinuation of tenapanor
10 monotherapy.

11 Uncertainties include whether the safety
12 profile observed in the studies underestimates this
13 magnitude and severity of the clinical effects of
14 diarrhea or are we looking at electrolyte
15 abnormalities; dehydration; hypotension; dizziness;
16 potentially falls in the real-world setting; and
17 whether the impact of diarrhea on tolerability will
18 limit adherence to long-term treatment. Thus, the
19 safety of tenapanor must be weighed against the
20 clinical benefit.

21 Thank you for your attention, and that's the
22 conclusion of the FDA presentation.

Clarifying Questions

DR. LEWIS: Thank you.

Dr. de Boer, Dr. Butler, and Dr. Emerson, your hands were up from the previous session. If you could put them down and then put them up again for this session.

We will now take clarifying questions for the FDA. Please use the raise-hand icon to indicate that you have a question, and remember to lower your hand by clicking the raise-hand icon again after you have asked your question. When acknowledged, please remember to state your name for the record before you speak and direct your question to a specific presenter, if you can.

If you wish for a specific slide to be displayed, please let us know the slide number, if possible. Finally, it would be helpful to acknowledge the end of your question with a thank you, and the end of your follow-up question with, "That is all for my questions," so we can move on to the next panel member.

I, Dr. Julia Lewis, will begin with one

1 question.

2 I think I have not seen, in either the
3 briefing documents, the way my patients might think
4 of this, and I wonder if the FDA looked at this.
5 So if you think that 8 ferric citrate tablets
6 lowered the phosphorus by an average of minus 2.2,
7 that means 2 ferric citrate tablets would lower it
8 by a minus 0.55, and the 2 tenapanor tablets do
9 that even in the ITT population better than that,
10 and they're much smaller. So if I were to ask my
11 patients, would you want to take two small pills
12 and substitute that for 3 or 4 of those big horse
13 pills we're giving you, I'm pretty sure they'd like
14 that.

15 Did you guys analyze the efficacy per pill
16 for all the available drugs, based on minus 1.5 or
17 minus 1.8, or whatever it was?

18 DR. THOMPSON: This is Aliza Thompson for
19 FDA. I think in order to answer a question like
20 that, you'd need a different trial design. You'd
21 actually need to take patients and randomize them
22 to a set dose of one therapy, versus a set dose of

1 another therapy, versus a set dose of the same
2 therapy with somewhat more pills. So I don't think
3 we can actually get at that issue from the trial
4 that was conducted.

5 Does that answer your question?

6 DR. LEWIS: Well, it argues that my question
7 isn't relevant. But, yes, I was just wondering if
8 you had a table that did the math that I did,
9 because I think it's pretty safe to say that if it
10 took 8 ferric citrate to do a minus 2.5, less
11 ferric citrate would have done less, or sevelamer,
12 or any of them. So I'm not as worried about doing
13 the math, but if you haven't looked at it that way,
14 that's fine.

15 DR. THOMPSON: Dr. Lewis, maybe just also a
16 quick clarification as well. The study that was
17 301, all the patients are on sevelamer. And in
18 terms of the instructions that were given for how
19 sevelamer would be given, just bear in mind that
20 the only entry criteria for the study was that you
21 had to be on at least, I guess, 3 pills a day of a
22 medication, and they were just told to sort of

1 follow the package insert.

2 So again, I think, at least based on 301,
3 we'd be limited in our ability to do that, as well,
4 if that helps.

5 DR. LEWIS: But they were 9 to 2, right?
6 Yes, it helps some, but they were 9 to 2. But in
7 any case, thank you.

8 Dr. Fried?

9 (No response.)

10 DR. LEWIS: Dr. Fried, you're muted.

11 DR. FRIED: Sorry. I had to unmute myself.
12 This is Linda Fried from Pittsburgh, and thank you.

13 My question is for Dr. Chen. You're
14 indicating that the study design affects an
15 estimate of the effect size. In understanding the
16 1.5 to 2.2 with the other phosphorus binder
17 studies, were those also intent to treat? If we
18 look at the intent-to-treat analysis that you
19 conducted, in the 1.5 to 2.2, are we comparing
20 intent to treat to intent to treat? Thank you.

21 DR. THOMPSON: Thank you. This is the Aliza
22 Thompson, and I'm actually going to take that

1 question.

2 In terms of that reference, 1.5 to 2.2, and
3 how did we derive that, in essence, if you look
4 across the trials that were done to support
5 approval of the phosphate binders, a number of
6 different designs were used: dose ranging designs;
7 crossover designs; some of them used randomized
8 withdrawal designs; and some included also
9 randomized withdrawal with a responder population.
10 But if you look at the entire data package from
11 those trials, they give you an estimate, or we
12 believe they give you an estimate, of about 1.5 to
13 2.2.

14 Our sense of the treatment effect size with
15 this program is that if you look across the trials,
16 that, on average, what you would expect here in
17 patients who tolerate the therapy is the treatment
18 effect of 0.7 milligrams per deciliter. All of the
19 trials that were done across all these programs,
20 all of them were somewhat different, so I think it
21 is hard at least to take one trial and compare it
22 directly just to another trial.

1 Does that answer your question?

2 DR. FRIED: Yes, it does. Thank you.

3 DR. LEWIS: Dr. Emerson?

4 DR. EMERSON: Yes. Thank you. This is
5 Scott Emerson.

6 Back to the efficacy and what would be a
7 meaningful difference, I'd be interested in the
8 FDA's opinion of the observational data that was
9 presented by Dr. Chertow about the observational
10 data and the effects. I'm going to presume those
11 measurements that were there were what the
12 measurements tended to be on some level of
13 phosphate binders, but maybe not optimal levels.

14 But as I look at that and do my quick
15 back-of-the-envelope calculation, I'm getting about
16 a 20 percent increase in mortality as associated
17 with a 1-milligram difference in the serum
18 phosphate, which would then, if I took the 0.7 or
19 0.8, translate to roughly a 15 percent increase per
20 difference if we believed that the treatment would
21 achieve that same difference.

22 How would you view that in terms of clinical

1 importance?

2 DR. THOMPSON: Hi. This is Aliza Thompson,
3 and thanks for that question. I think that when we
4 look at observational data, it's challenging
5 because it's difficult to differentiate causation
6 from just association that could be due to
7 confounding factors, so I think it's very
8 challenging.

9 On the one hand, I think it's probably
10 reasonable to believe that large treatment effects
11 on serum phosphorus, in a population with very high
12 levels, such as the dialysis population, conclude
13 that those will lead to improved outcomes, but I do
14 worry about using those data and not discounting
15 them for the fact that there is likely confounding
16 in the relationship.

17 I do worry as well that when you get down to
18 smaller treatment effect sizes, especially in the
19 setting of very complex diseases and complex
20 pathways, that the relationship may not hold as
21 well in terms of the ability of treatment effects
22 on the surrogate to translate into treatment

1 effects on an outcome.

2 Does that answer your question?

3 DR. EMERSON: First, I'll say, number one,
4 I'm the first not to believe observational data.
5 Unfortunately, I think, as you predicated all of
6 this, we're in that world; so we're in the world of
7 saying that the FDA is accepting this as a
8 surrogate, based on plausibility, and I don't have
9 an argument against that. I think just the whole
10 calcium deposition and phosphate levels would make
11 a big argument for that. But the idea that when
12 we're in that level, I was actually quite impressed
13 with the data that was presented by Dr. Chertow of
14 a consistent effect of roughly a relative risk of
15 1.2 for each 1-milligram difference, starting out
16 at the 5.5, say, level, on up into the 9 or 10.

17 So yes, discounting it, I would do that.
18 There's also the frailty of the patients and things
19 like that. But again, just as we're looking at
20 that, is there a really, really good reason for you
21 to say that the low range isn't as believable as in
22 the upper range, given that data?

1 DR. THOMPSON: And are you making a comment
2 or asking --

3 DR. EMERSON: Again, I'm trying to
4 understand where we're drawing the line. Safety is
5 a separate thing. I'm just looking at the efficacy
6 and the magnitude of the effect right now.

7 DR. THOMPSON: Yes, and I think in many ways
8 that's why we're here today for the discussion with
9 the advisory committee meeting, because of the
10 limitations of the data. I don't know, beyond the
11 concerns that I've conveyed, about the
12 observational data in terms of interpreting smaller
13 sizes of treatment effects, but also note -- and I
14 believe Dr. Mendley could speak to this -- that
15 there is an ongoing trial attempting to generate
16 additional data that will inform understanding of
17 the benefits of phosphate lowering.

18 DR. EMERSON: Okay. On the other side, the
19 safety question, where we're worried about the
20 diarrhea, the sponsor made the claim that by
21 selecting for patients who are already on phosphate
22 binders, and then removing those phosphate binders,

1 and then reapplying them in the randomization, or
2 for half of the population and so on, that we
3 should expect that we are selecting for a tolerance
4 among the phosphate binders.

5 This was certainly an issue that we
6 considered very much in the missing data in the
7 clinical trials oversight committee that worried a
8 lot about this, and the sponsor did not present it
9 in terms of the graded severity of the diarrhea,
10 but they did present on terms of the label for the
11 sevelamer.

12 Do you have a comment on that, whether you
13 believe that the mechanism of action for causing
14 diarrhea on sevelamer and the tenapanor would be
15 similar enough that this doesn't hold, or whether
16 you really believe this could be quite different
17 mechanisms of action for the safety endpoint?

18 DR. THOMPSON: Thank you for that question.

19 Selena, do you want to offer a response?

20 And if you need further clarification on the
21 questions, please ask it.

22 DR. DeCONTI: Yes. I guess I would go ahead

1 and repeat that question. What's your specific
2 question? This is Selena DeConti.

3 DR. EMERSON: Well, across these studies, we
4 have very different patient populations in terms of
5 what their prior treatment was and the selection
6 pressure there might be, and the sponsor tried to
7 invoke that this was a major issue in the much
8 higher diarrhea seen on the tenapanor relative to
9 the sevelamer in 301 during the randomized
10 treatment period, and, a priori, I believe in such
11 selection pressure unless the mechanism of action
12 for the adverse effect might be very different.

13 So what is your feeling as we try to judge
14 that concept and the patients, if you will,
15 self-selecting by stopping the treatment if they
16 run into very much diarrhea? Are we really seeing
17 it greatly increased in the same patients or is
18 this an aspect of what the selection pressure might
19 be?

20 DR. DeCONTI: This is Selena DeConti. I
21 believe that we're really seeing a significant
22 increase in the diarrhea. I note that almost half

1 the patient population was sevelamer naïve, so,
2 yes, some have pre-phosphate binder use prior to
3 studies, but that doesn't account for all of the
4 tolerability difference, in my opinion.

5 DR. EMERSON: Okay. Thank you

6 DR. DeCONTI: Does that answer your
7 question?

8 DR. EMERSON: Yes.

9 DR. THOMPSON: This is Dr. Thompson; just a
10 follow-up comment. I don't think there's any
11 reason to think that the mechanism of action for a
12 phosphate binder causing diarrhea is the same as
13 for this product. The mechanism of action of this
14 product is responsible, in part -- that both
15 contribute to the phosphate lowering is also
16 playing a role, my understanding, is in the
17 diarrhea.

18 So if your question was related to the
19 mechanisms of action being different between
20 sevelamer and this product for the diarrhea, I
21 don't think that's the case.

22 DR. EMERSON: Thank you.

1 DR. LEWIS: Dr. O'Connor?

2 DR. O'CONNOR: Yes. Chris O'Connor.

3 Question for Dr. Chen and Dr. Thompson.

4 On slide 16, the EAS data sets for the
5 primary efficacy endpoints -- and obviously it
6 looks like there's uncoupling between 201 and 301,
7 and I don't know if you want to bring that slide
8 up. I wonder if you have an explanation for that
9 uncoupling between the ITT and EAS. Should we
10 weight 301 greater because of the greater sample
11 size, and was the EAS the primary efficacy endpoint
12 proposed at the beginning of trial, or were these
13 moved up during the trial to be the primary
14 endpoints? Thank you.

15 DR. THOMPSON: This is Dr. Thompson. I
16 think you had two questions, if I understood
17 correctly. I think the second question was whether
18 the EAS was not the initial analysis population; is
19 that correct?

20 DR. O'CONNOR: Yes, that's one of the
21 questions.

22 DR. THOMPSON: And then the other question

1 was pertaining to understanding the inconsistent
2 results as it relates to the effect of the EAS,
3 using the EAS versus the ITT strategy?

4 DR. O'CONNOR: It uncoupled coupled between
5 201 and 301, [indiscernible -- audio breaks].

6 DR. THOMPSON: Thank you for clarifying.

7 DR. O'CONNOR: And the point, should we as
8 committee members weight 301 greater because of the
9 greater sample size?

10 DR. THOMPSON: Right.

11 Ling-Wan, do you want to address those
12 questions? Maybe start with whether the EAS was
13 the initial analysis population?

14 DR. CHEN: This is Ling-Wan Chen, the
15 statistical reviewer. In the study design, we
16 agree that the EAS will be in the primary defined
17 analysis set.

18 The second question is about if we should
19 weight more on Study 301 into the sample size. I
20 think because the two studies are using the
21 randomized withdrawal period and using the standard
22 strategy in two studies, we should weight equally

1 to see consistent results based on the predefined
2 strategy.

3 Does that answer your question?

4 DR. O'CONNOR: Yes. Thank you.

5 (NO response.)

6 DR. O'CONNOR: No further questions,

7 Dr. Lewis.

8 (Pause.)

9 DR. DE BOER: Dr. Lewis, we can't hear you.

10 It's Ian de Boer here. I'm happy to take the next
11 question if you're --

12 DR. LEWIS: Oh, sorry. That's my fault.

13 Dr. Mendley is actually the next one.

14 Thank you, Dr. Mendley.

15 Thanks, Dr. de Boer.

16 DR. MENDLEY: Susan Mendley from NIDDK. I
17 was interested in taking a look at slide 26 and 27
18 again because it was an unusual presentation of the
19 data.

20 So you're showing us a histogram of the
21 distribution of serum phosphorus at different weeks
22 among the responder set, and your presentation

1 suggests that you thought that tenapanor would have
2 changed the population distribution of phosphorus,
3 and I'm a a little confused. Is that a reasonable
4 expectation of the trial, that the distribution of
5 phosphorus would have changed between -- and the
6 same on slide 27.

7 DR. THOMPSON: This is Aliza Thompson.
8 Thank you for that question.

9 Ling-Wan, can you clarify what the slide is
10 actually showing?

11 DR CHEN: Hi. This is Ling-Wan Chen, the
12 statistical reviewer for this application.
13 Slide 26 is showing the actual serum phosphorus
14 labeled at week 26. The goal here is we want to
15 see the distribution of the serum phosphorus level
16 at week 26 among those early responders. From
17 these two graphs, we observe that even though the
18 early responders had a good reduction in the early
19 weeks, they could not reach a good serum phosphorus
20 level at the end of the randomized treatment
21 period.

22 DR. MENDLEY: They look like

1 [indiscernible].

2 DR. CHEN: In this graph, we only observe
3 the data, so it will be slightly biased. The
4 missing data was not included in these two figures.

5 DR. THOMPSON: This is Aliza Thompson.
6 Maybe just to add a comment -- and Ling-Wan, you
7 can perhaps correct me if I'm wrong as well -- I
8 think one of the questions that comes up is if you
9 just say, okay, I'm going to look at subjects with
10 a serum phosphorus reduction greater than 1.2 at
11 week 1 or 2, and whether they hit or kept their
12 serum phosphorus below 5.5 at the later time point,
13 you can always raise the issue, well, even if you
14 didn't have a lot of people below the threshold,
15 they could have been really near the threshold.

16 So I think part of what is being shown here
17 is just how wide the distribution is, meaning that
18 this was not about patients just missing the 5.5.
19 You could have patients who actually had serum
20 phosphorus levels of 7, and some actually quite
21 low.

22 I'm going to stop because I think Jialu may

1 also have a comment.

2 DR. ZHANG: Yes. This is Jialu Zhang, the
3 lead statistician. Like Dr. Thompson mentioned,
4 this is to show the variability of the
5 measurements. I also wanted to point out that this
6 is based on a single visit at week 26. What
7 sponsor had shown was their late responder based on
8 3 visits, but in order to define the late
9 responder, it's only the patient who achieved the
10 certain goal in 2 of 3 weeks, which we call the
11 late responder. So both the sponsor's analysis and
12 our analysis showed the variability of this serum
13 phosphorus level measurement.

14 DR. MENDLEY: Thank you. So we're seeing a
15 wide range of phosphorus values; am I correct?

16 DR. THOMPSON: Yes, you're seeing a wide
17 range at week 26 in patients who achieved the
18 desired reduction at week 1 or 2 that's on the
19 left, and then the same analysis is repeated using
20 the reduction in serum phosphorus at week 2 or 4.

21 DR. MENDLEY: Thank you. That's clear now.

22 DR. LEWIS: Thank you.

1 Mr. Conway?

2 MR. CONWAY: Thanks, Dr. Lewis, and I guess
3 this to Dr. Thompson.

4 At the start of the day, FDA's initial
5 comments talked about, medically, the desire to
6 show or that we were examining magnitude of effect,
7 and you also referenced the ideal, which is one of
8 the ideals, but by name, an ideal of less pill
9 burden. So here's my question.

10 How is FDA using, in this division,
11 quality-of-life data as presented in the analysis
12 on efficacy and magnitude of effect? Because I'm a
13 little bit confused about this. It seems to me
14 there's a lot of data that's being presented, but
15 the pivot points that were laid out at the start,
16 it's not wrapping back to what the practical impact
17 is on patients or how that patient's experience is
18 informing what's being recommended by FDA or in the
19 FDA analysis here. Thanks.

20 DR. THOMPSON: Yes. This is Dr. Thompson.
21 Thanks for that question. I very much agree that
22 patient experience data is very important, and

1 maybe we could circle back to the applicant. I
2 don't believe the data that they described from
3 Study 402 were actually included in the marketing
4 application that was initially submitted to the
5 agency, so if they could clarify, I just want to
6 say that, generally speaking, these types of
7 studies can be really challenging to design, and I
8 think we would need our internal experts to perform
9 a comprehensive review of the study to really
10 comment further.

11 I do know that they did collect some data
12 from the Kidney Dialysis Quality of Life Survey and
13 the Dialysis Symptom Index Survey in Study 301, and
14 I don't believe it showed any different or
15 meaningful treatment effect, but maybe the sponsor
16 could clarify.

17 DR. WILLIAMS: Yes, certainly. You are
18 correct. In my response earlier, I noted that the
19 402 data was the NDA, and that is incorrect. It's
20 actually published data that is available based
21 on -- and it's exactly what we shared this morning.

22 As it relates to the quality-of-life data in

1 Study 301, I think it's important to note that
2 while the manifestations of persistent
3 hyperphosphatemia that Dr. Chertow alluded to are
4 definitely true, hyperphosphatemia itself, it's
5 asymptomatic. It doesn't have any symptoms whereby
6 you ask a questionnaire from a quality-of-life
7 standpoint that shows benefit. So the patient
8 satisfaction data that we collected in Study 402,
9 for that reason, we thought that was really, really
10 important. And again, that data is published and
11 it aligns exactly with what we shared this morning.

12 MR. O'CONNOR: Great. Thank you, Dr. Lewis.
13 That's it for me.

14 DR. LEWIS: Thank you.

15 Dr. de Boer?

16 DR. DE BOER: Thanks, Dr. Lewis. I had
17 lowered my hand. My question has been, in part,
18 addressed by --

19 DR. LEWIS: Could you say your name just for
20 the record, even though I said it?

21 DR. DE BOER: Ian de Boer, University of
22 Washington. I'll withdraw my question for now. It

1 was largely covered by Mr. Conway. Thank you.

2 DR. LEWIS: Okay.

3 Dr. Soergel?

4 DR. SOERGEL: Thanks, Dr. Lewis. David
5 Soergel, industry rep. I have two questions;
6 either this slide, slide 26, or slide 25, on the
7 responder analysis.

8 I think the earlier comment was made that
9 there's a difference in the analysis that the FDA
10 has done and the sponsor's done. On the sponsor's
11 slide with the scattergram -- I think it's on
12 slide 53 of the sponsor presentation -- they show
13 about a 79 percent concordance between early
14 responders and late responders, which would seem to
15 be a pretty robust level of predictiveness.

16 So the first question is to the agency about
17 how they view the sponsor's data, considering that
18 concept of being able to predict responsiveness
19 later, recognizing that multiple measurements will
20 allow for a reduction in variability in the
21 measure.

22 The second question is for Dr. DeConti. On

1 slide 33, you made the comment that if you add the
2 number of individuals who required dose reduction
3 and had discontinuation, you get about half the
4 patients. But I believe in the study design
5 itself, titration was part of the study design, so
6 there's a recognition that one might need to
7 titrate this medicine to the appropriate level of
8 efficacy and tolerability. So I wonder if making
9 that addition in that context that you provided is
10 appropriate, and maybe ask for some more
11 clarification on how you view that. Thank you.

12 DR. THOMPSON: Thank you. If I understand,
13 the first question was about the 79 percent
14 concordance reported by the sponsor in their
15 post hoc analysis.

16 Ling-Wan, do you want to address our
17 impression of that analysis?

18 DR. CHEN: Sure. This is Ling-Wan Chen, the
19 statistical reviewer for this application. First,
20 I would like to explain the differences between our
21 analysis and the sponsor's analysis, and I will
22 explain this in two parts.

1 The first, the sponsor and the FDA used
2 different definitions of the response in the early
3 weeks and the later weeks. For example, we define
4 the later response as the patients who had a
5 reduction greater or equal to 1.2 at week 26, but
6 the sponsor defines the later response as the
7 patient who had at least 2 serum phosphorus
8 reductions greater than or equal to 1.2, 8 weeks,
9 17, 22, and 26 measurements.

10 Second, when you calculate the late response
11 rate among the early response patients, the
12 applicant only focused on those patients who had
13 observed the value in later weeks. In their case,
14 there were 50 patients who were early response but
15 did not have observation in later weeks, or that
16 they saw [indiscernible] the denominator of their
17 response rate is all patients who had observations
18 in the later weeks. The fair prediction rate was
19 79 percent.

20 In all cases, we compute the late response
21 rate among all the early responders. In this case,
22 the missing rate at week 26 among all the early

1 responders in tenapanor was about 33 to 35 percent,
2 while 79 percent early response was considered late
3 response according to sponsor's definition. One
4 only needs two out of the three visits to maintain
5 serum phosphorus levels to be late responders, so
6 conditionally, the sponsor's calculation and our
7 calculation are not much different.

8 I believe that the question here is whether
9 the physician feels comfortable to prescribe the
10 drug to patients, based on the sponsor's definition
11 and the results, which require a long treatment
12 period and observations while considering the
13 variability of serum phosphorus measurements. This
14 is my answer for the first question.

15 DR. THOMPSON: Thank you. And I do want to
16 stress that the applicant's analysis, essentially
17 that denominator that was noted doesn't consider
18 the patients who did not follow up measurements,
19 who may not have them because of inadequate
20 efficacy or perhaps tolerability issues that led
21 them to discontinue the therapy.

22 Do you have a follow-up question related to

1 that or should we move to your other question?

2 DR. SOERGEL: No, no. Thank you for that
3 response. I think it would be helpful to know, I
4 guess, of the difference in the denominators, how
5 many patients were withdrawn because of efficacy,
6 because for those individuals who were withdrawn
7 for tolerability reasons, obviously you would
8 consider those later measurements differently, I
9 would think. So either FDA or sponsor, it'd be
10 helpful if the majority of the patients are missing
11 data because of withdrawal for poor efficacy. I
12 think it's a different situation than if you're
13 having patients withdrawn for tolerability.

14 DR. THOMPSON: That's a fair point. I don't
15 believe we've done that analysis.

16 Ling-Wan?

17 DR. CHEN: Yes. I think we did not have
18 this analysis.

19 DR. LEWIS: So then moving on --

20 DR. SOERGEL: And --

21 DR. LEWIS: -- go ahead.

22 DR. SOERGEL: No. I was just going to ask,

1 maybe if we have time -- I don't know, Dr. Lewis,
2 if we have time. But maybe if the sponsor has
3 those data, that would be helpful.

4 DR. LEWIS: Sure. I doubt they have it
5 right away, but maybe they do.

6 If you have it right away, that would be
7 great, and you have the slide ready; otherwise,
8 we'll find time.

9 DR. WILLIAMS: Yes, not even a slide. I
10 think from an efficacy standpoint, very few
11 patients discontinue because of efficacy; actually,
12 only seven. So most of the discontinuations that
13 happened were related to diarrhea.

14 DR. LEWIS: Thank you.

15 Dr. Soergel, does that --

16 DR. THOMPSON: Actually -- this is
17 Dr. Thompson -- we may just -- and I may need just
18 a clarification there. Are we talking about
19 Study 301?

20 DR. SOERGEL: I was talking about Study 301,
21 yes.

22 DR. THOMPSON: Yes. I think maybe we can

1 circle back after the meeting just in terms of the
2 number of people who discontinued during the
3 randomized treatment period for efficacy reasons.
4 And maybe I'm confused, but do you want to take a
5 second look at that?

6 Dr. Lewis, I just wanted to make a quick
7 question for you. I think we wanted to have an
8 opportunity as well to just clarify some of the
9 statements that were made during the applicant's
10 presentation. I don't know when would be -- and I
11 don't know if "clarify" is the correct term, but
12 just to show some analyses that perhaps speak to
13 some of the analyses the sponsor presented.

14 Will be there an opportunity, or could there
15 be an opportunity to do so?

16 DR. LEWIS: You know, let me hold on the
17 question. I'm going to get Commander Bonner's
18 input into that. I think kind of a rebuttal is not
19 a typical thing asked, if the committee members
20 don't ask you specific questions that would allow
21 you to do that. But let me see what Dr. Bonner
22 says the rules are.

1 Dr. Butler? I mean, Commander Bonner.

2 DR. BUTLER: Thank you, Dr. Lewis. Javed
3 Butler. My question is to either the FDA or the
4 sponsor.

5 I'm really struggling to understand the
6 value add for this new therapy in terms of the pill
7 burden on which there has been a lot of discussion
8 that has occurred today. Why I understand the
9 importance of pill burden, we are discussing an
10 idealized scenario that you require 9 pills with
11 one strategy and 2 pills with the other strategy.

12 However, the reality is that you have
13 non-responders who will require more different
14 pills; you have responders who do not have
15 sustained response, and over time may require more
16 therapy; then you have responders, but they are
17 already borderline, so they went from 6 to 5.4, in
18 which case the standard therapy will not be
19 9 pills; and then finally you have those patients
20 who discontinue because of tolerability.

21 With all the data that we have, do we
22 actually have the number of patients and their

1 distribution across the ranges of baseline levels
2 in terms of efficacy and safety? What exactly is
3 the pill burden value from the data that we have?

4 DR. THOMPSON: This is Dr. Thompson. I do
5 want to point out, as well, that in the trials,
6 actually, I think as was noted, patients
7 were -- for example, if you were taking a total
8 60 milligrams per day of tenapanor, you actually
9 took 6 pills. So I think it's a little challenging
10 there, but I do want to emphasize that I think a
11 key issue here, even before one talks about pill
12 burden, is efficacy. And in terms of the average
13 size of the treatment effect, based on the data
14 that we're seeing in these trials, we think the
15 average size of that treatment effect is
16 0.7 milligrams per deciliter, relative to what we
17 saw in the other development programs.

18 DR. BUTLER: But we don't --

19 DR. LEWIS: But in fairness, the pill burden
20 could be two, not six. They did the 10-milligram
21 pill, and they have a 30-milligram pill, and that's
22 what they're asking. The 10-milligram pill was so

1 they could titrate, right?

2 DR. THOMPSON: The pill burden, or rather
3 how the trial was done, presumably was to allow for
4 titration, but the sponsor should answer that.

5 DR. LEWIS: But if they have a 30-milligram
6 pill, nobody would keep anyone on three 10's, I
7 would imagine.

8 Anyhow, Dr. Butler, did that get at your
9 question?

10 DR. BUTLER: Sort of indirectly, but I still
11 don't know what actually happened to the patient in
12 the data that we actually have in terms of the pill
13 burden across the spectrum of baseline levels, but
14 perhaps those data are not there. Thank you very
15 much.

16 DR. LEWIS: Dr. Nachman?

17 DR. NACHMAN: Yes. Thank you, Dr. Lewis.
18 Patrick Nachman.

19 In 2016, there was a network-based
20 meta-analysis of all the trials that have looked at
21 phosphate binders of any kind, and I'm looking at
22 the paper here. There were a total of

1 77 randomized-controlled trials, including
2 something like a total of 12,000 patients or so.
3 In none of the categories of phosphate binder,
4 there was an association between treatments and
5 decreased mortality.

6 There has been a lot of discussion today
7 that we don't know how to measure efficacy. We
8 don't know if a small decrease or a larger decrease
9 in phosphorus level is associated with benefit. We
10 acknowledge the fact that we believe there is an
11 association based on the reverse, that if
12 phosphorus is high, therefore outcomes are worse,
13 but we don't know that bringing it down is
14 beneficial.

15 Dr. Thompson, at the beginning of your
16 presentation this morning, you instructed us not to
17 think about this as a re-evaluation of surrogate
18 endpoint, but I'm having a very hard time defining
19 what is benefit here. I mean, to make my case at
20 the extreme, taking fewer non-effective M&Ms is not
21 a benefit if it's just M&Ms. So we do need to have
22 a better understanding of what is benefit. How

1 would you suggest we separate the two issues, or
2 how are you separating the two issues in your
3 evaluation? Thank you.

4 DR. THOMPSON: This is Dr. Thompson. I
5 think that's a very challenging question; in fact,
6 in part why we're here today. The only thing that
7 I can offer is that -- I can't remember if it was
8 about 10 or 15 years ago -- we took a general
9 matter to an advisory committee. At the time, we
10 were trying to understand whether we should accept
11 serum phosphorus as a surrogate endpoint in the
12 pre-dialysis population; that was part of the
13 focus.

14 The response we got back from the advisory
15 committee, at least as it relates to the data
16 supporting the use of serum phosphorus as a
17 surrogate endpoint, was focused on the biologic
18 plausibility, as well as a sense that within a
19 larger strategy of controlling the abnormalities
20 associated that we see in these patients, and other
21 therapies as well that we give to treat secondary
22 hyperparathyroidism, that this as part of a larger

1 strategy was leading and would result in improved
2 outcomes, particularly as it relates to bone
3 health.

4 So unfortunately, the data are what they
5 are, but I do think it makes it very challenging
6 when you start talking about treatment effect sizes
7 that are much smaller than existing therapies, to
8 really understand the benefits and weigh them
9 against the risks of a product. I think that
10 doesn't answer your question, but that's the best I
11 can do.

12 DR. LEWIS: Dr. Nachman, do you have any
13 follow-up questions or is that ok?

14 DR. THOMPSON: No. Thank you. Thank you
15 very much. That answers my question. I just want
16 to state that I'm not paid by M&Ms. I didn't need
17 to advertise for them.

18 (Laughter.)

19 DR. LEWIS: Okay. I really like them, so I
20 was happy that you even mentioned them.

21 Ms. Alikhaani?

22 MS. ALIKHAANI: [Inaudible]. Yes --

1 DR. LEWIS: Ms. Alikhaani, I'm having
2 trouble -- there you go.

3 MS. ALIKHAANI: Yes. I am very concerned
4 about older patients who already have a lot of
5 different medical problems and are typically in a
6 very fragile condition. I'm concerned because in
7 the 301 study, over half of the patients in the
8 tenapanor group had adverse events of diarrhea
9 compared to the 2 percent of patients in the
10 sevelamer group.

11 Because diarrhea has a serious potential
12 outcome relating to dehydration and also the
13 cardiovascular issues -- ischemia, hypotension, and
14 also falls -- I think that in a real-world setting
15 that there are a lot of potential problems with
16 older people that can have deadly outcomes, and I'm
17 concerned about that. Also, in the FDA
18 presentations, it was pointed out that it was
19 unclear whether healthcare providers would be able
20 to identify in clinical practice whether a patient
21 is benefiting from tenapanor, given the variability
22 with the serum phosphorus levels.

1 So I'm wondering what could be done, or what
2 would be recommended as a way to mitigate this
3 issue with the healthcare providers being able to
4 identify in their offices whether a patient is
5 really benefiting, because if you can't tell if the
6 patient is doing any better, I don't know what the
7 point is. It can't just be about how many pills
8 you take, but there are other outcomes associated
9 with the risk factors that are also very serious
10 and I think have to be looked at very closely.

11 DR. LEWIS: Thank you, Ms. Alikhaani. I
12 don't think this is the question. This is maybe a
13 comment that we can bring back up in our discussion
14 time.

15 MS. ALIKHAANI: Well, I thought --

16 DR. LEWIS: Oh, go ahead. I thought there
17 was a question there, but maybe I'm --

18 DR. LEWIS: Yes --

19 (Crosstalk.)

20 DR. LEWIS: -- go ahead. I might have
21 missed it.

22 MS. ALIKHAANI: Yes, There is a question.

1 What could be done to help mitigate the fact
2 that those uncertainties -- there's lack of clarity
3 on whether healthcare providers will be able to
4 identify in clinical practice whether a patient is
5 benefiting from tenapanor because of the
6 variabilities in the serum phosphorus levels. What
7 could be done to help mitigate that?

8 DR. THOMPSON: This is Dr. Thompson. I
9 think the idea here is, given the data that we've
10 seen thus far, we want the sponsor to do a
11 prospective study that actually tests a strategy
12 for giving this, and show that you can effectively
13 identify patients early on who are having the
14 optimal response. That was our proposal at the
15 time. We did not approve the application because
16 we didn't think the available data were sufficient
17 to ensure that clinicians could easily discern
18 which patients were actually receiving the benefit.

19 Does that answer your question?

20 MS. ALIKHAANI: Yes, and I think that what
21 you're recommending is very reasonable. I think we
22 need to have that additional information because

1 the providers have to be able to clearly ascertain
2 whether the drug is helping the patient or not, to
3 decide whether or not to continue and take further
4 risks possibly. I think that especially family
5 members and caregivers of the patient, outside of
6 the doctor's office, would also want to see
7 something like this. I don't know if there was an
8 advisory committee helping to lead the trial that
9 consisted of these family members and caregivers,
10 particularly when it comes to these older patients
11 who are at higher risk, I think, of potential
12 really bad outcomes, especially regarding
13 cardiovascular disease related to dehydration.

14 So I think that what you're recommending is
15 a really good idea, and it seems reasonable to me.

16 DR. LEWIS: Okay. I'm going to cut lunch a
17 bit, but we've only got a few minutes.

18 Dr. de Boer?

19 DR. DE BOER: Thank you. Ian de Boer,
20 University of Washington, and this is following up
21 on the questions from Drs. Butler, and Nachman, and
22 this most recent one, too, a question for

1 Dr. Thompson. I do appreciate and recognize how
2 difficult this question about what the clinically
3 relevant change in serum phosphate is, and
4 soliciting the panel's input there.

5 We really are in a catch-22, in which we
6 have no high-quality data on clinically relevant
7 outcomes, either available or [indiscernible] to
8 address that issue. And we all are recognizing the
9 observational data here and in other intermediates
10 we've seen in the past, like hemoglobin, and Kt/V,
11 which Dr. Chertow referred to.

12 I guess my question is -- and maybe it's out
13 of scope here, but why are clinical outcomes not
14 being asked, either before or after approval of a
15 drug for phosphate lowering?

16 DR. THOMPSON: Sir, this is Dr. Thompson. I
17 think in the dialysis setting, at least
18 historically, I think one would respond -- and then
19 I very much credit the NIH for doing the study that
20 they're doing -- that doing such a trial
21 historically would not have been considered
22 ethical, potentially, but could be wrong. So I

1 think that that is one piece of it.

2 I think another piece of it is when we
3 talked about approving these agents for use in the
4 pre-dialysis population, which although some
5 patients have very, very high levels, you're also
6 talking about a much broader population with lower
7 levels, we did take the position that if a
8 pharmaceutical company wanted to get an indication
9 for treating hyperphosphatemia in patients who
10 weren't on dialysis, they would need to establish a
11 benefit beyond serum phosphorus lowering.

12 I don't know if that answers your question.

13 DR. LEWIS: Okay -- I'm sorry.

14 Dr. de Boer, does it answer your question?

15 DR. DE BOER: It's a tough question, but it
16 does in part. Thank you.

17 DR. LEWIS: Unfortunately, I apologize to
18 the people who still have questions; we're just
19 going to try to figure out how to fit them in
20 later. But we need to stop for our lunch break,
21 and also maybe to load slides the OPH.

22 So we will now break for lunch. We will

1 reconvene at 2:00 p.m. Eastern time. Panel
2 members, please remember that there should be no
3 chatting or discussion of the meeting topics with
4 other panel members during the lunch break.
5 Additionally, you should plan to rejoin at around
6 1:45 p.m. to ensure that you are connected before
7 we reconvene at 2. Thank you.

8 (Whereupon, at 1:15 p.m., a lunch recess was
9 taken.)
10
11
12
13
14
15
16
17
18
19
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(2:00 p.m.)

Open Public Hearing

DR. LEWIS: We now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationships that you may have with the applicant, its product, and if known, its direct competitors. For example, the financial information may include the applicant's payment of your travel, lodging, or other expenses in connection with your participation in the meeting.

1 Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 committee if you do not have any such financial
4 relationships. If you choose not to address the
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals for today is for this open public
16 hearing to be conducted in a fair and open way,
17 where every participant is listened to carefully
18 and treated with dignity, courtesy, and respect.
19 Therefore, please only speak when recognized by the
20 chairperson. Thank you for your cooperation.

21 Speaker number 1, your audio is connected
22 now. Will speaker number 1 begin and introduce

1 yourself? Please state your name and any
2 organization you are representing for the record.

3 DR. NIGWEKAR: Hi. My name is Dr. Sagar
4 Nigwekar. I'm a nephrologist at the Mass General
5 Hospital and an assistant professor of medicine at
6 the Harvard Medical School, and also a co-director
7 of the Kidney Research Center at the Mass General
8 Hospital. I do not have any financial interests.
9 I specialize in the management of patients with a
10 rare disease known as calciphylaxis, as well as
11 other conditions of calcification, including
12 vascular calcification and mineral bone disease
13 among patients with kidney disease.

14 Hyperphosphatemia, as I understand, is a
15 frustrating complication with significant unmet
16 need in the field of nephrology. To begin with,
17 there are robust epidemiological and experimental
18 data that support the role of excess inorganic
19 phosphate as a toxin to cardiovascular and other
20 organ systems.

21 In my group's previous work related to the
22 rare disease of calciphylaxis, which predominantly

1 afflicts patients with end-stage kidney disease, we
2 have noted that persistent hyperphosphatemia has a
3 significant risk factor. Patients with
4 calciphylaxis have a high burden of morbidity,
5 primarily related to non-healing and painful skin
6 lesions, and sadly suffer from high mortality, as
7 high as 50 percent to 80 percent at one-year
8 follow-up.

9 At present, there is no effective or
10 approved treatment for calciphylaxis, so focus is on
11 addressing and mitigating the influence of risk
12 factors such as hyperphosphatemia. The challenge
13 is that the currently available pharmacotherapeutic
14 approaches for hyperphosphatemia are either not
15 well tolerated or have limitations for their
16 efficacy. Some of the agents also impair the
17 absorption of micronutrients and vitamins that are
18 important to our dialysis patients, such as vitamin
19 K. In fact, our group's work has shown that
20 vitamin K deficiency is a major risk factor for
21 calciphylaxis.

22 So here we are in clinical medicine, trying

1 to treat hyperphosphatemia with an agent such as
2 sevelamer, which is one of the phosphate binders
3 that may inadvertently introduce vitamin K
4 deficiency. Furthermore, patients with
5 calciphylaxis frequently have nausea related to pain
6 and are not typically eating their meals at regular
7 times. This last point makes it challenging for
8 them to take phosphate binders, as they are tied to
9 the timing of meal intake.

10 Wouldn't it be great to expand our portfolio
11 of phosphate lowering agents and have an effective
12 agent that has a mechanism of action distinct from
13 phosphate binding, and also has an acceptable
14 tolerability --

15 DR. LEWIS: Thank you.

16 Thank you, Dr. Nigwekar. I'm sorry. We
17 stick to the three minutes to be fair.

18 DR. NIGWEKAR: Thank you.

19 DR. LEWIS: Thank you.

20 Speaker number 2, your audio is connected
21 now. Will speaker number 2 begin and introduce
22 yourself? Please state your name and any

1 organization you are representing for the record.

2 DR. TIETJEN: Good afternoon. I am
3 Dr. David Tietjen, a nephrologist in private
4 practice in Huntsville, Alabama. I have no
5 financial disclosures. I have been taking care of
6 chronic dialysis patients for over 35 years, which
7 incidentally is nearly three-quarters of the time
8 for which maintenance hemodialysis has been in
9 widespread use in the U.S.

10 You have undoubtedly seen the evidence that
11 overall mortality of dialysis patients is very
12 high, and that it has not improved to any great
13 extent over the decades. You have also seen that
14 uncontrolled hyperphosphatemia is strongly
15 associated with higher mortality. Efforts to
16 address this aspect of the bone mineral imbalance
17 so prevalent in ESRD have met with limited success.
18 While there are quite a few approved agents for
19 management of elevated serum phosphorus, all have a
20 common mode of action, namely phosphate binding,
21 and all require extreme dedication from the patient
22 insofar as administration with every meal, and even

1 snacks, is a concern for there to be any chance of
2 actually lowering phosphorus levels.

3 Given the track record of all the phosphate
4 binders developed and used during my career, it is
5 plain to me that a drug with an alternate novel
6 mode of action is much to be desired. Such an
7 agent exists, the subject of this hearing today.
8 Tenapanor is proven to be safe; indeed, it is
9 already FDA approved for another indication; and it
10 is effective at decreasing serum phosphorus levels,
11 alone or in combination with binders.

12 Its administration is only required twice
13 daily and not tied to food intake. Furthermore,
14 patients I have observed utilizing this drug during
15 clinical trials find it easy to take and highly
16 tolerable. Therefore, I submit this thought for
17 your consideration.

18 As long as thoroughly vetted clinical
19 guidelines and, very likely, future value-based
20 care benchmarks all include a target phosphorus
21 level, one that current drugs quite often fail to
22 achieve, then regulatory agencies must do their

1 part to provide clinicians such as myself the tools
2 with which to treat our patients to those goals.
3 Tenapanor is, in my opinion, an agent which would
4 be of tremendous help for such an effort in the
5 future.

6 In conclusion, I ask this committee to
7 unanimately recommend approval of tenapanor for
8 treatment of hyperphosphatemia in ESRD patients on
9 dialysis. Thank you.

10 DR. LEWIS: Thank you.

11 Speaker number 3, your audio is connected
12 now. Will speaker number 3 begin and introduce
13 yourself? Please state your name and any
14 organization you are representing for the record.

15 DR. WISH: Good afternoon. My name is
16 Dr. Jay Wish, and I've been an academic clinical
17 nephrologist for over 40 years. I have no
18 financial relationship with the applicant.

19 Controlling serum phosphorus with phosphate
20 binders in dialysis patients is one of the biggest
21 challenges that we as nephrologists face. What
22 currently available phosphate binders have in

1 common is GI side effects that limit adherence and
2 high pill burden or the need to chew and swallow
3 large pills that are distasteful. As a result,
4 adherence with phosphate binders is suboptimal,
5 with only 56 percent of dialysis patients in the
6 United States having serum phosphorus less than the
7 recommended upper limit of 5.5 in any given month.

8 When I went to school, 56 percent wasn't
9 asked [indiscernible]. This is not for lack of
10 trying. Nephrologists have frequent conversations
11 with patients regarding the importance of taking
12 their phosphate binders as prescribed to promote
13 bone and cardiovascular health. We ask patients
14 about barriers to adherence, including side effects
15 and pill burden, and we try to match each patient
16 with a phosphate binder that best aligns with them.

17 When ferris citrate was first approved as a
18 phosphate binder, I discussed with many of my
19 patients, who were complaining of constipation and
20 bloating from sevelamer, whether they'd be willing
21 to trade those symptoms for diarrhea that was
22 associated with a newer agent. Most of those

1 patients eagerly accepted the offer, and very few
2 have asked to switch back. There is no
3 one-size-fits-all approach to lowering serum
4 phosphorus levels. It has been said that the best
5 phosphate binder is the one the patient will take,
6 which underscores the adherence barriers associated
7 with these agents.

8 Tenapanor's unique mechanism of action
9 lowers serum phosphorus without the risk of metal
10 absorption or the constipation and bloating that
11 occur when sevelamer expands by absorbing water.
12 Perhaps more significantly, unlike phosphate
13 binders that must be taken with every meal and
14 require the patient to have the binder pills on
15 hand wherever that meal might occur, tenapanor is
16 taken twice daily in the morning and evening,
17 working around the clock to inhibit GI phosphate
18 absorption. This is a much more patient-friendly
19 approach to phosphate reduction, and patients
20 should have the option to determine if this therapy
21 is more suited to their lifestyle.

22 Our patients need choices of safe and

1 effective agents they can use to treat their
2 disorders. As nephrologists, we provide advice and
3 information about the risks and benefits of each
4 agent, allowing for informed decision making and
5 patient ownership of their care. We give context
6 to the decision-making process by individualizing
7 the advice to patient's unique clinical, economic,
8 and lifestyle situation.

9 In the dialysis setting, we see our patients
10 many times per month so drug side effects can be
11 properly evaluated and addressed. My patients
12 trust me to individualize treatment for multiple
13 complications of their kidney disease, including
14 hypertension, anemia, and hyperparathyroidism,
15 discussing the advantages and disadvantages of
16 various therapies, some of which are effectively
17 combined in a step-wise fashion due to differing
18 mechanisms of action.

19 Now we also have the opportunity in the
20 treatment of hyperphosphatemia to employ a
21 different mechanism of action and combination
22 therapy. I strongly believe that this is an

1 opportunity that should not be squandered. Thank
2 you.

3 DR. LEWIS: Thank you.

4 Speaker number 4, your audio is connected
5 now. Will speaker number 4 begin and introduce
6 yourself? Please state your name and any
7 organization you are representing for the record.

8 DR. MOE: My name is Dr. Sharon Moe, a
9 nephrologist and researcher in Indiana. I am the
10 chief of the Division of Nephrology and
11 Hypertension, the associate dean for Clinical and
12 Translational Science, and the medical director of
13 the Office of Clinical Research at the IU School of
14 Medicine. I have chaired the international and
15 U.S. clinical practice guidelines to help phosphate
16 control.

17 I have conducted research on the adverse
18 effects of phosphate on blood vessels and cardiac
19 function for 30 years. I use this work to explain
20 to patients the importance of lowering their
21 phosphate. I tell them that I take rat blood
22 vessels, put them in a dish, and add phosphate, and

1 the blood vessels turn into bone. The lower the
2 phosphate, the less calcification. This is
3 critical, as patients who lower their phosphorus
4 will have less arterial and heart calcification,
5 and that kills our patients with kidney disease.

6 What I also know due to my experience in
7 patient care, research, guideline committee work,
8 and emails from clinicians is that managing
9 hyperphosphatemia is frustrating for patients and
10 their care team. Why? Because phosphate binders
11 are large pills that must be taken with meals,
12 ruining what joy there might be in eating.

13 When I give talks about phosphate lowering
14 agents, I can compare and contrast all of the
15 available agents based on their trials, but I
16 always end with the slide that says, "The best
17 phosphate binder is the one that patients will
18 take." That is why we need multiple choices,
19 including tenapanor, that is a small pill that does
20 not need to be taken with meals. Having multiple
21 choices ensures finding one that works for that
22 patient, their diet, their lifestyle, and their

1 GI tract. This is the only way to improve
2 adherence.

3 Nephrologists like myself mix and match
4 phosphate binders to achieve the best drop in
5 phosphate with the least side effects for that
6 patient. This is not dissimilar to chemotherapy
7 and management of hypertension or rheumatoid
8 arthritis. You start with one of the multiple
9 medications approved, and then change based on
10 efficacy and side effects for that patient.
11 Sometimes you start specific medications because of
12 the so-called side effects.

13 Approving tenapanor will give us, and more
14 importantly the patients, a chance to have drugs
15 that work for them. To effectively do so will
16 require many different phosphate lowering agents
17 with different mechanisms of actions. Treatments
18 only work if the patients take them. Please
19 approve tenapanor to give us an entirely new
20 mechanism of action to add to our arsenal of
21 therapies. I don't want my patients' blood vessels
22 to turn to bone. Thank you.

1 DR. LEWIS: Thank you.

2 Speaker number 5, your audio is connected
3 now. Will speaker number 5 begin and introduce
4 yourself? Please state your name and any
5 organization you are representing for the record.

6 DR. SILVA: Good afternoon. I am Dr. Arnold
7 Silva, a nephrologist and director of clinical
8 research at Boise Kidney and Hypertension Institute
9 in Boise, Idaho, in conjunction with Frenova Renal
10 Research. I have served as a clinical investigator
11 on studies evaluating the safety and efficacy of
12 the phosphate blocker, tenapanor, to treat
13 hyperphosphatemia in patients with end-stage kidney
14 disease receiving dialysis. I am not financially
15 compensated for my time today.

16 Hyperphosphatemia significantly impacts the
17 clinical outcomes of patients with end-stage kidney
18 disease. In 26 observational studies conducted
19 over the last two decades, serum phosphate levels
20 greater than 5 are associated with an increase in
21 mortality and hospitalization for cardiovascular
22 events and can also affect the patient's

1 eligibility for a kidney transplant.

2 Maintaining phosphate in the target range
3 is, however, associated with a reduction in
4 cardiovascular morbidity and mortality. These
5 observations have resulted in the recommendation by
6 the Kidney Disease Improving Global Outcomes,
7 KDIGO, that serum phosphate be lowered to the
8 normal range of 2.5 to 4.5. Nevertheless, despite
9 the importance of maintaining phosphate in the
10 normal range, a serious unmet treatment need
11 remains.

12 Traditionally, hyperphosphatemia has been
13 managed with dietary phosphate restriction and
14 phosphate binders, however, 77 percent of dialysis
15 patients are unable to consistently achieve a
16 target phosphate level irrespective of the type of
17 phosphate binder used. I believe tenapanor can
18 significantly help more patients achieve target
19 phosphate levels.

20 Phosphate absorption by the gut occurs by
21 both paracellular and transcellular pathways.
22 Tenapanor is not a phosphate binder, but rather a

1 phosphate blocker that works via novel mechanisms
2 to block the primary paracellular pathway of
3 phosphate absorption. Studies with tenapanor used
4 alone or in combination with a phosphate binder
5 have shown that 47 percent of patients achieve a
6 phosphate level less than 4.5, which translates to
7 a 63 percent improvement versus the
8 standard-of-care outcomes reported in the June 2020
9 Dialysis Outcomes and Practice Patterns Study.
10 Tenapanor also markedly reduces the pill burden for
11 dialysis patients. Studies have shown that
12 lowering the number of pills taken by patients
13 significantly improves compliance with medical
14 treatment regardless of the disease state.

15 In summary, I enthusiastically recommend the
16 approval of tenapanor, a much needed additional
17 tool to better manage hyperphosphatemia, and would
18 like to thank the committee for the opportunity to
19 speak today. Your consideration is most
20 appreciated.

21 DR. LEWIS: Thank you.

22 Speaker number 6, your audio is connected

1 now. Will speaker number 6 begin and introduce
2 yourself? Please state your name and any
3 organization you are representing for the record.

4 MR. FORFANG: Yes. My name is Derek Forfang
5 from San Pablo, California. I'm a third-generation
6 diabetic with kidney failure, and I have no
7 financial disclosures for speaking today. I'm
8 representing myself.

9 When my kidneys failed in 1998, it was the
10 most difficult time in my life. I felt the disease
11 was a disease of losses. I lost my well-being, my
12 livelihood, and my freedom to eat well. I had
13 mastered a diabetic diet, but this was a whole
14 other thing when it came to eating a low phosphorus
15 diet. My dieticians gave me a few pages of high
16 phosphorus foods to avoid, and I stopped eating
17 those.

18 But that wasn't that simple. My phosphorus
19 was still high. I started taking binders and
20 quickly got up to 6 binders with each meal and
21 2 binders with each snack. Carrying binders around
22 every day was burdensome. I had Ziplocs of binders

1 that I would put in my pockets when I would go to a
2 friend or family member's house. When they would
3 prepare foods, either I didn't know what was in the
4 food or I knew it was something I shouldn't eat,
5 but I would still eat a portion to be polite; and
6 because I needed to keep my glucose at a manageable
7 level, I had to eat. I felt guilty that I was
8 eating something I shouldn't, especially if I
9 forgot to bring my binders, which happened on
10 occasion.

11 The joy of eating for me was mostly gone.
12 Even with all of that, I have suffered consequences
13 of high phosphorus. I have calcium deposits in my
14 heart, left lung, the lining in my stomach, as well
15 as severe vascular complications and amputations.

16 We need new tools to fight phosphorus.
17 Binders cause me bloating, loss of appetite, severe
18 constipation, and they were difficult for me to
19 swallow. They'd pop back up in my mouth. I have
20 to swallow them several times. My children, who
21 now are grown, remember the huge white pills
22 sitting by my dinner plate. Tenapanor could have

1 possibly helped me by lowering my phosphorus, maybe
2 taking no binders or few binders, and help me
3 alleviate the constipation I have suffered for two
4 decades, many times now not having a bowel movement
5 for over two weeks. An older patient counseled me
6 that I should consider my bowel weight and how many
7 days that I haven't had a bowel movement when
8 talking with my nurse about setting my dry weight.
9 It's a huge issue for me and many other patients,
10 and we take stool softeners daily.

11 We have multiple tools to fight high blood
12 pressure. I probably have taken more than a dozen
13 different medications over the years in different
14 combinations, finding what works best for me, and
15 the blood pressure has been under fair control.
16 But we only have one tool to fight phosphorus, and
17 it's not great to say the least.

18 Please approve tenapanor. We are fighting
19 for our lives and we need your help. When we talk
20 in percentages today, we're talking about hundreds
21 of thousands of patients, the individual care in
22 our lives, so I want you --

1 DR. LEWIS: Thank you.

2 MR. FORFANG: -- to please consider that.

3 DR. LEWIS: Thank you, speaker number 6.

4 MR. FORFANG: Thank you so much.

5 DR. LEWIS: Thank you.

6 Speaker number 7, your audio is connected
7 now. Will speaker number 7 begin and introduce
8 yourself? Please state your name and any
9 organization you are representing for the record.

10 MS. HARTWELL: Hello. My name is Lori
11 Hartwell, and I'm the founder and president of
12 Renal Support Network. RSN empowers people who
13 have kidney disease to become proactive in their
14 care, and most importantly, have hope. I founded
15 RSN back in 1993 after having four kidney
16 transplants and spending over a decade on dialysis.
17 Phosphorus has always been a struggle to manage, as
18 it's in most foods and drinks as a preservative.
19 If not managed, it can have a long-lasting impact
20 on our health. I do not have any financial
21 disclosures.

22 I am pleased that innovative therapies are

1 being developed that have the potential to lower
2 phosphorus with less treatment burden for people
3 that have advanced kidney disease because they are
4 desperately needed. We currently have only one
5 class of therapy available for hyperphosphatemia,
6 phosphate binders, which often places a significant
7 treatment pill burden on people who have kidney
8 failure. Large pills can be difficult to swallow.
9 It is often necessary to take a handful of pills
10 with every meal and snack, while at the same time
11 monitoring and limiting fluid intake.

12 Tenapanor has the potential to significantly
13 reduce the current pill burden that could lead to
14 better quality of life, better patient compliance,
15 and most importantly, better outcomes. Phosphorus
16 levels and their impact on bone and mineral
17 management are critical to people who have kidney
18 disease. We suffer and become debilitated if
19 phosphorus is not managed appropriately.

20 As the FDA acknowledges that tenapanor trial
21 results indicate safety and efficacy, why not allow
22 doctors and patients to have the choice? Quality

1 of life and patient compliance is important.
2 Currently, in any given month, 42 percent of
3 patients are unable to achieve their target
4 phosphorus levels, and over a 6-month period,
5 77 percent of patients are unable to maintain
6 target phosphorus levels.

7 For patients dealing with hyperphosphatemia,
8 we need treatment options. Drug treatments don't
9 work for all patients in the same way. Phosphorus
10 management is one of the most difficult -- and I
11 just want to repeat, difficult -- elements we must
12 manage, and we need all the tools available to do
13 so. Some of my peers have had calciphylaxis, and
14 it's the most painful thing anybody would ever have
15 to endure, and we want to avoid that.

16 Please approve tenapanor, as it could
17 provide the innovative treatment my kidney kin need
18 to thrive. Allow doctors and patients to have
19 treatment options that can be clinically meaningful
20 to their well-being so we can live the life we were
21 meant to live. Thank you for listening to the
22 patient's perspective.

1 DR. LEWIS: Thank you.

2 Speaker number 8, your audio is connected
3 now. Will speaker number 8 begin and introduce
4 yourself? Please state your name and any
5 organization you are representing for the record.

6 MR. BARRIOS: Good afternoon. My name is
7 Alex Barrios, and I'm here as a patient
8 representative for the National Kidney Foundation.
9 I have no conflicts to report.

10 Thanks so much for the opportunity to
11 provide my perspective. I'm currently an in-center
12 hemodialysis patient, and I feel strongly about the
13 need to advocate for ways to improve the management
14 of high phosphorus. I find there's a major lack of
15 understanding between the patients and their care
16 team as it relates to the struggle with taking
17 phosphate binders, communicating what's important
18 to us, and the need for clear and concise
19 directions around medication management for
20 lowering phosphorus.

21 A few weeks ago, the National Kidney
22 Foundation did a survey among 475 dialysis patients

1 to better understand experiences with managing
2 their phosphorus levels. The survey found that
3 more than 80 percent of respondents struggle to
4 manage their serum phosphorus levels, and
5 92 percent are interested in a new treatment to
6 help manage their phosphorus. These and the other
7 survey results point to the difficulty people
8 living with kidney failure, being treated with
9 dialysis, have coping with a low phosphorus diet
10 and their current medication schedules.

11 As a patient advocate, I know the importance
12 of providing educational resources to patients and
13 their caregivers in small bite-sized pieces of
14 information. Patients are overwhelmed with so much
15 information about the medicines we take, often
16 without even understanding exactly how the
17 medication should be taken in the first place.
18 This has been my personal experience with phosphate
19 binders.

20 Medication management should be discussed at
21 all points during the patient journey, especially
22 for those with elevated phosphorus and incredibly

1 high pill burdens. I agree with my fellow patient
2 surveys that there should be additional treatment
3 options to help meet the needs of all patients, and
4 particularly those with dangerously high
5 phosphorus. This will allow for patients and
6 doctors together to make decisions around the best
7 possible treatment for the individual.

8 Lastly, as a person of color, health equity
9 and health literacy must urgently be brought to the
10 forefront in all aspects of kidney care in order to
11 address the mistrust of doctors and healthcare
12 systems in all communities. Thank you to the FDA
13 for allowing me to speak on behalf of all my fellow
14 patients. We greatly appreciate your commitment
15 and efforts to improve outcomes for the kidney
16 community.

17 DR. LEWIS: Thank you.

18 Speaker number 9, your audio is connected
19 now. Will speaker number 9 begin and introduce
20 yourself? Please state your name and any
21 organization you are representing for the record.

22 MS. BURTON: Good afternoon. My name is

1 LaVarne Burton, and I'm president and CEO of the
2 American Kidney Fund. I do not have any personal
3 financial relationship with the applicant.

4 The American Kidney Fund fights kidney
5 disease on all fronts. As the nation's leading
6 kidney nonprofit, AKF works on behalf of 37 million
7 Americans living with kidney disease and millions
8 more at risk, with an unmatched scope of programs
9 that support people wherever they are in their
10 fight against kidney disease, from prevention
11 through post-transplant living.

12 On behalf of the American Kidney Fund and
13 the patients we serve, I thank you for the
14 opportunity to speak this afternoon. Historically,
15 there has been a lack of innovation in nephrology.
16 Many treatments have remained mostly unchanged for
17 decades. Fortunately, in recent years, we've seen
18 innovation in rare kidney disease, CKD progression,
19 and the management of comorbidities. These have
20 improved the quality and length of life for
21 millions and represent a small but important growth
22 in treatment innovation.

1 However, new treatments coming to market for
2 people with kidney disease have been slow to come
3 and insufficient. While we have been optimistic
4 about the promise represented by recent investments
5 in research and development, the actual impact has
6 been disappointing. The FDA's rejections of drugs
7 for kidney patients are keeping new and innovative
8 therapies out of reach. As a result, America's has
9 kidney problem will only get worse, particularly
10 for people of color who are hardest hit by kidney
11 failure.

12 Kidney disease is complex. Not every drug
13 works for every person, and it is imperative that a
14 variety of treatment options are available. The
15 American Kidney Fund strongly supports the need to
16 expand treatments for people on dialysis who must
17 take phosphorus-binding drugs to control their
18 serum phosphorus levels. While there are current
19 drugs that address this need, patients need to be
20 able to access a full range of treatments to make
21 an informed choice about the medications that are
22 best for them, such as those that will reduce the

1 pill burden by lowering the number of medications
2 taken on a daily basis and ones that may open more
3 diet choices.

4 According to the CDC, 360 people start
5 dialysis every 24 hours in this country. These
6 patients desperately need increased collaboration
7 between the federal government and researchers to
8 create clearer pathways to test and approve new
9 drugs. Thanks again for allowing the American
10 Kidney Fund to speak to you today. We appreciate
11 the committee's careful attention to improving the
12 lives of kidney patients through treatment
13 innovations.

14 DR. LEWIS: Thank you.

15 Speaker number 10, your audio is connected
16 now. Will speaker number 10 begin and introduce
17 yourself? Please state your name and any
18 organization you are representing for the record.

19 DR. PERGOLA: Good afternoon. I'm Dr. Pablo
20 Pergola, a practicing nephrologist in San Antonio,
21 Texas, with over 25 years of experience treating
22 patients on dialysis and with hyperphosphatemia.

1 I'm not being compensated for my presentation
2 today.

3 I have first-hand experience treating dozens
4 of patients with tenapanor in multiple clinical
5 trials. You're gathered today to evaluate
6 expanding the indication of the approved drug
7 tenapanor for the treatment of hyperphosphatemia
8 that was already considered safe by the agency for
9 use in patients with constipation.

10 For nearly 30 years, there has been no
11 advancement in the treatment of hyperphosphatemia,
12 except for the approval of non-calcium, non-resin
13 phosphate binders. Nowhere in medicine, except for
14 the dialysis procedure itself, have we seen less
15 innovation. Despite phosphate binder use, 40 to
16 70 percent of patients cannot maintain serum
17 phosphate levels consistently as a goal, despite
18 using a liberal upper limit of 5.5 milligrams per
19 deciliter, and a whole 90 percent of patients fail
20 to maintain positive values consistently in the
21 normal range, despite taking maximal doses of
22 binders and receiving optimized dialysis therapy.

1 A significant number of patients cannot take
2 binders at any dose or are unable to take them
3 continuously due to side effects and thus remain
4 undertreated patients. Patients taking phosphate
5 binders routinely deal with significant side
6 effects and have the additional burden of taking
7 multiple large pills with every meal. In addition,
8 because the amount of phosphorus is removed by
9 dialysis, and binders are limited and fixed,
10 patients must adhere to very strict diets that
11 limit choices and affect protein intake, resulting
12 in protein malnutrition.

13 Because of this mechanism of action,
14 tenapanor has minimal or no systemic side effects,
15 and the increase in bowel frequency, the most
16 frequent side effect, is actually welcomed by many
17 patients with chronic constipation, it is obvious
18 to both patients and practitioners, and in my
19 experience, self-limiting and easily manageable.

20 Given the challenges and limitations of
21 available treatments for hyperphosphatemia, and the
22 significant intra- and interpatient differences in

1 serum phosphate levels, the size of the phosphate
2 lowering effect of a particular treatment should be
3 based on individual patient needs and not by a
4 statistical significance. Because of the unmet
5 need, it is imperative to expand treatment options
6 for our patients; in particular, therapies like
7 tenapanor with a novel mechanism of action that is
8 complementary to phosphate binders and dialysis.

9 In my opinion, the best way we serve our
10 patients today is by supporting approval of
11 tenapanor. Once available, patients and
12 practitioners can then decide the most appropriate
13 therapy for each patient, based on their individual
14 needs. Thank you for your attention today.

15 DR. LEWIS: Thank you.

16 Speaker number 11, your audio is connected
17 now. Will speaker number 11 begin and introduce
18 yourself? Please state your name and any
19 organization you are representing for the record.

20 MS. EVANS: Good afternoon. My name is Lisa
21 Evans. I'm a 58-year-old, in-center hemodialysis
22 patient living in Dalton, Georgia. I have no

1 financial disclosures.

2 I have polycystic kidney disease, an
3 inherited condition that causes cysts to grow in
4 the kidneys, and eventually led to end-stage renal
5 disease with dialysis for me. My PKD was diagnosed
6 during initial testing to be a donor for my mother
7 in 2004.

8 Managing my phosphorus levels has always
9 been difficult because of the number and size of
10 the phosphate binders I need to take daily.
11 Currently, I take four of these half-inch long
12 pills with each meal. Can you imagine having to
13 take 12 horse pills a day? And to make it worse,
14 you need to take them with small sips of water
15 because I'm restricted to 32 ounces of fluid a day.
16 That's 4 glasses of any kind of fluid, no
17 exceptions. It doesn't matter how thirsty I am or
18 how hot it is, and it gets hot here in Georgia.
19 Swallowing those big pills with only a small sip of
20 water is almost impossible, and to make things
21 worse, I need to take these big pills at different
22 times during the meal for maximum effectiveness.

1 That's a challenge I face every day, 3 times a day.

2 My nephrologist understands the challenges
3 of managing phosphorous and referred me to the
4 tenapanor study. While in the trial, the tenapanor
5 tablets worked much better for me than any binder
6 I've taken. They brought my phosphorus level under
7 control for the first time, and the tablets being
8 so small was another huge benefit, as I could take
9 them easily with my food restrictions. My life
10 felt like my own again, as I didn't have to dread
11 meal time and the burden it brings 3 times a day.

12 Since leaving the trial, my phosphorus level
13 is creeping up again, and I'm not sure what will
14 happen to me next, as the thought of having to take
15 as many as 5 pills per mL is overwhelming. It's a
16 horrible feeling to think about that on top of the
17 dialysis I need to manage. But today you have the
18 opportunity to help me, and so many others out
19 there like me, by giving us another option to
20 manage our phosphorus with our doctors. I ask you
21 to think of us and the daily challenges we face
22 managing our phosphorus as you consider your

1 decision today. Thank you for listening to my
2 story.

3 DR. LEWIS: Thank you.

4 Speaker number 12, your audio is connected
5 now. Will speaker number 12 begin and introduce
6 yourself? Please state your name and any
7 organization you are representing for the record.

8 MS. PACE: Good afternoon. My name is Lori
9 Pace. I'm the senior director of nutrition
10 services at Satellite Healthcare in San Jose,
11 California, and I have no conflicts to report
12 related to my statement today.

13 I've been a registered dietitian, taking
14 care of people on dialysis for 25 years. In those
15 25 years, I've found phosphorus management to be
16 the most challenging aspect of my work with this
17 population. As a demand for convenience food
18 increases, phosphate additives are increasingly
19 abundant in our food supply, and conventional
20 dialysis is inefficient at clearing phosphorus.

21 The overwhelming majority of people on
22 dialysis are therefore dependent on phosphorus

1 binders to attempt to achieve control of serum
2 phosphorus. In 18 years at the chairside with
3 patients, day in and day out, in the real world,
4 I've seen and heard my patients' ongoing struggles
5 with binders, from side effects to challenges
6 adhering to the complex dosing regimen, to negative
7 impact on quality of life. Despite frequent
8 counseling, I've had only a handful of patients in
9 my career who understood and were able to
10 consistently take their binders as prescribed and
11 counseled.

12 Today, approximately half of the
13 8500 dialysis patients in my organization have a
14 phosphorus level in the target range at any given
15 time. With our current tools, we are not
16 successfully helping patients to consistently
17 achieve acceptable phosphorus levels. We need new
18 tools to help improve patients' outcomes and
19 quality of life. Tenapanor's unique mechanism of
20 action and twice daily dosing hold a great deal of
21 promise for arming patients with a tool that's both
22 easier to use and clinically effective.

1 Given the significant data associating
2 increased risk of mortality with increasing levels
3 of serum phosphorus, any reduction in serum
4 phosphorus is clinically meaningful for patients.
5 One of the aspects I've found most rewarding about
6 working in dialysis is the opportunity for frequent
7 follow-up with patients. For dialysis
8 interdisciplinary teams, there's a strong and
9 effective care management function for both
10 in-center and home dialysis patients. The IDT is
11 usually the first to know when there's a change in
12 a patient's condition such as side effects from
13 medications or treatments. The IDT is able to
14 assess and notify the treating nephrologist
15 quickly, and the interventions are timely.

16 Nephrology dietitians are eager for better
17 ways to help our patients with healthier more
18 fulfilling lives. We currently spend countless
19 hours with interventions and quality improvement
20 projects related to phosphorus, with limited to no
21 long-term effectiveness. Adding tenapanor to our
22 toolbox for managing phosphorus would allow

1 dietitians to invest more time in the care and
2 counseling of patients to improve other important
3 outcomes in the population, such as prevention or
4 treatment of malnutrition, management of diabetes
5 or hyperlipidemia, and management and support of
6 weight loss goals for transplant eligibility.

7 Thank you for your consideration.

8 DR. LEWIS: Thank you.

9 Speaker number 13, your audio is connected
10 now. Will speaker number 13 begin and introduce
11 yourself? Please state your name and any
12 organization you are representing for the record.

13 DR. GILLANI: My name is Mike Gillani, and I
14 have no financial disclosure. I'm talking to you
15 today about my condition. I have a chronic kidney
16 disease. I'm sharing my story today because I want
17 you guys to understand how difficult it is to
18 maintain your phosphorus levels when you have a
19 kidney disease. To keep my phosphorus level in
20 control, I have to take eight large pills everyday
21 with my meals, and even that did not help.

22 Once they put me on a trial drug called

1 tenapanor, my life was totally changed. For the
2 very first time, my phosphorus level was under
3 control and all the pimples on my body went away;
4 otherwise, I always had to wear long-sleeve shirts
5 and pants no matter how hot it is outside because I
6 can't even touch my own skin, which was very, very
7 painful. I thank you for your consideration.

8 Thank you.

9 DR. LEWIS: Thank you.

10 Speaker number 15, your audio is connected
11 now. Will speaker number 15 begin and introduce
12 yourself? Please state your name and any
13 organization you are representing for the record?

14 DR. CALLENDER: Hello. I am Dr. Ealena
15 Callender, senior fellow at the National Center for
16 Health Research. Our think tank conducts,
17 analyzes, and scrutinizes research on a range of
18 health issues. We do not accept funding from
19 companies that make products that are the subject
20 of our work, so we have no conflicts of interest.

21 Elevated phosphorus is a serious
22 complication encountered by the majority of

1 patients with chronic kidney disease on dialysis.
2 Tenapanor represents a novel approach to this major
3 problem. Still, we are concerned about this
4 product because the data do not show to be more
5 effective than current options, and significant
6 side effects may lead to poor patient compliance.

7 This new drug is intended for a patient
8 population subject to significant inequities. In
9 the United States, end-stage renal disease
10 disproportionately affects black men and women.
11 While black Americans represent 13 percent of the
12 country's population, they comprise more than
13 30 percent of patients with end-stage renal disease
14 in the United States. Blacks are also nearly four
15 times more likely to progress from early kidney
16 disease to end-stage renal disease than
17 non-Hispanic whites. Also, hyperphosphatemia and
18 its related adverse outcomes are more common in
19 blacks than whites.

20 Furthermore, studies show that the
21 prevalence of elevated serum phosphate
22 significantly increases with decreasing income [ph]

1 outcome. At least 50 percent of dialysis patients
2 fail to reach the ideal serum phosphate range
3 despite having multiple approved options for
4 management. Studies show the heavy pill burden and
5 high prevalence of side effects contribute to poor
6 adherence and decreased health-related quality of
7 life. Patients need effective options that will
8 make phosphorus control easier and more tolerable.

9 Today, the committee must decide whether the
10 magnitude of tenapanor's treatment effect is
11 clinically meaningful. Unfortunately, tenapanor's
12 efficacy does not surpass that of currently
13 approved medications. Data analysis shows that
14 tenapanor causes a mean decrease of serum
15 phosphorus, equivalent to about half that of
16 approved treatment options. The effects are
17 similar , whether the drug was used alone or in
18 conjunction with other agents.

19 The committee also must consider the safety
20 and tolerability of this new drug. Tenapanor's
21 regimen of 2 to 3 pills taken twice a day
22 contributes to improved tolerability. On the other

1 hand, diarrhea is a common side effect and led to
2 discontinuation of the drug by 16 percent of trial
3 participants. This data suggests adherence may be
4 a problem in a real-world population.

5 Tenapanor satisfies the need for a simpler
6 approach to treating hyperphosphatemia in chronic
7 kidney disease patients on dialysis. While it may
8 improve health-related quality of life by reducing
9 the pill burden, it is not as effective as
10 currently approved medications. It is unclear how
11 approval of a drug with a limited treatment effect
12 and high rate of side effects will benefit this
13 group of patients facing a high mortality risk.
14 Thank you for the opportunity to speak today, and I
15 appreciate your time and consideration.

16 DR. LEWIS: Thank you.

17 Speaker number 16, your audio is connected
18 now. Will speaker number 16 begin and introduce
19 yourself? Please state your name and any
20 organization you are representing for the record.

21 MR. SOLIS: Hi. My name is Alex Solis. I
22 have no financial disclosures. I am 53 years old,

1 and I've lived in Nampa, Idaho for the past
2 20 years. I am a dad of three beautiful daughters,
3 who are 18, 22, and 25. I used to work for the
4 City of Meridian in Idaho until 2015 when I was
5 diagnosed with end-stage renal disease. I was put
6 on the transplant list in 2018, [inaudible - audio
7 fades] -- to get a kidney due to, in part, of my
8 problem managing my phosphorus level.

9 If you do not have to think about your
10 phosphorus level, you might think that managing is
11 just watching what you eat, but let me tell you, it
12 is a real challenge to watch every single thing you
13 eat, not just big meals, but everything, all day
14 long. Every day is non-stop, and that takes 5 to 6
15 huge pills with every meal, every day, while not
16 being able to drink that much water. All that is
17 part of life when you are on dialysis doing your
18 best, while you're and hoping your weight, your
19 number, and the right kidney [indiscernible] will
20 come along for you. It's a struggle I hope you
21 never have to face.

22 When my doctor at my dialysis center told me

1 about the tenapanor trial, I was so happy to have
2 another option. The pills were so much smaller and
3 easier to take and may make such a difference in
4 managing my phosphorus numbers. It helped me get
5 to the next step, to my kidney transplant, that I
6 received on August 2021. I'm so grateful to
7 everyone and everything that supported me in
8 getting my kidney and continue my work [inaudible].

9 The drug you're looking at today, as you
10 think about your decision, I'd like you to remember
11 that many people like me are out there who are
12 doing their best to work with their doctors and
13 manage their diets, and still struggle to manage
14 their phosphorus. These are good people who are
15 desperate to get a kidney transplant but can't
16 because they can't manage their phosphorus levels.
17 Despite doing all the right things, they deserve
18 obtaining a -- like tenapanor. Thank you for all
19 the hard work you guys are doing and/or --

20 DR. LEWIS: Thank you.

21 MR. SOLIS: Thank you.

22 DR. LEWIS: Thank you.

1 Speaker number 17, your audio is connected
2 now. Will speaker number 17 begin and introduce
3 yourself? Please state your name and any
4 organization you are representing for the record.

5 MS. EDWARDS: Good afternoon, and thank you
6 for the opportunity to share the patient
7 perspective of the difficulties of managing
8 phosphorus levels and how desperately we need a new
9 way to treat phosphorus levels in dialysis
10 patients.

11 My name is Dawn Edwards, and I have no
12 financial disclosures. I'm a 32-year kidney
13 patient from New York, New York. Of the 32 years
14 I've been on this journey with kidney disease, 26
15 of them have been challenged with trying to manage
16 my phosphorus levels. I only had a 6-year break
17 with a transplant that failed and sent me back to
18 dialysis.

19 Among the [inaudible - audio gaps] day-to-
20 day challenges of being a dialysis patient, trying
21 to manage acceptable phosphorus levels is one of
22 the most challenging. I and many other dialysis

1 patients have endured years of lab reports with sad
2 faces on them, followed by blame and shame from
3 some dietitians for not making goal, only to try
4 harder to take my binders with every meal and snack
5 and to be vigilant about my diet, only to hear the
6 same news the next month.

7 The fact is, [inaudible] -- to follow a low
8 phosphorus diet, almost everything has phosphorous
9 in it, and food labels don't show how much. It is
10 even more daunting -- and patients that live in
11 food deserts, with fast food on every corner and
12 fresh fruits -- a car ride away.

13 I am fortunate as a home dialysis patient to
14 work and to be able to order my groceries now, but
15 at the pandemic, when everything shut down, we were
16 unable to get food delivered to our neighborhood.
17 The supermarket with the quality food was too far
18 away, and I was left to look for groceries at the
19 neighborhood Dollar Store. My 77-year-old mother
20 and I ate grilled cheese and bacon sandwiches until
21 [inaudible] -- as the provider, and of course my
22 phosphorus levels were off the charts.

1 As a working woman, one of the things I
2 enjoy is to go out and eat with my friends after
3 work and on the weekends. I feel so embarrassed at
4 the table, pulling out that huge bottle of pills
5 that never fit into an evening bag, and wolfing
6 down those six enormous pills during the meal, not
7 even before or after the meal, so I could excuse
8 myself.

9 Family and social gatherings always lead to
10 a conversation about kidney disease, what I can and
11 can't eat, and how do I swallow all those pills.
12 Sometimes I get so embarrassed that I just skip
13 taking them just to have a meal in peace. It is an
14 interruption when I'm at work, and my --

15 DR. LEWIS: Thank you. I'm sorry.

16 Speaker 17, I'm sorry. Thank you very much.
17 Your time is up.

18 Speaker number 18, your audio is connected
19 now. Will speaker number 18 begin and introduce
20 yourself? Please state your name and any
21 organization you are representing for the record.

22 DR. MANLEY: Hi. My name is John Manley.

1 I'm a clinical nephrologist in Asheville, North
2 Carolina, and I work with [indiscernible] Mountain
3 Kidney Associates. I have no financial
4 disclosures. I have personal experience with
5 tenapanor as a principal investigator, and I study
6 with peritoneal dialysis patients. During this
7 study, I observed a marked improvement in serum
8 phosphorus in several patients who, for quite some
9 time prior to the study, had very poorly controlled
10 phosphorus levels, and during the study, their
11 phosphorus levels came into target range. I was
12 very excited at the time of the study, but very
13 disappointed when it was not FDA-approved

14 From a side effect perspective, it was very
15 well tolerated. My patients were excited about
16 using this phosphorus agent, and it was just very
17 well tolerated. That's all I really have to say.

18 **Clarifying Questions (continued)**

19 DR. LEWIS: Thank you.

20 I want to apologize to anyone I had to cut
21 off. To be fair, everybody gets the same three
22 minutes, so I apologize.

1 The open public hearing portion of this
2 meeting has now concluded, and we will no longer
3 take comments from the audience. Prior to the
4 committee turning its attention to the task at
5 hand, I would like to take a moment to catch up a
6 little bit. I would like to ask the sponsor if
7 they have very specific, directed answers to
8 Dr. Emerson's questions. If not, just say no.

9 Is a member of the sponsor going to speak?

10 DR. WILLIAMS: Yes. We actually have very
11 direct responses to Dr. Emerson's questions, and
12 Dr. Spiegel will address them now.

13 DR. SPIEGEL: Hi. David Spiegel.

14 I think the question related to what was the
15 dose at the beginning of the random -- can you put
16 the slide up, please?

17 So what was the dose of tenapanor at the
18 beginning of the randomized withdrawal period, and
19 what was the difference between the rise in the
20 tenapanor and the placebo, the groups and rise to
21 tenapanor/placebo, at those different dose levels,
22 and that's what you see here.

1 The top couple of rows are the efficacy
2 analysis set broken out by those starting the
3 randomized withdrawal period at 30 milligrams,
4 20 milligrams, and 10 milligrams, and then you see
5 the rise in the tenapanor, which is really very
6 little, and then you see the rise in the placebo.

7 On the 30-milligram dose group, you can see
8 the numbers. The tenapanor group went up very
9 little, 0.11; the placebo group rose by 1.73 for a
10 difference of 1.62 there. And I won't go over all
11 the other numbers for you, but you can see here,
12 there's a little bit of a dose-response curve.
13 Those ending at 20, the mean difference was about
14 1.2, and those who ended up on 10, the mean
15 difference was about 1 milligram per deciliter.

16 DR. EMERSON: The second question?

17 DR. SPIEGEL: In terms of the second
18 question, I think you asked about the -- and I'm
19 going to put the slide up here -- 7 patients who
20 withdrew during the randomized withdrawal period,
21 and whether they were responders or non-responders.
22 And let me bring up this next slide for you here.

1 Three of them were actually in the responder
2 population and four of them were in the
3 non-responders. At baseline, study baseline, all
4 of them had serum phosphorus that was about 7, and
5 you can see at the time they withdrew, their mean
6 and median phosphorus are listed on the last column
7 there. So the median was about 6.5 in the
8 responder group and about 8.9. So some of those
9 withdrew because they hit a safety target, and some
10 of those withdrew because of the hyperphosphatemia,
11 and the investigator withdrew them from the study.

12 DR. EMERSON: Well, thank you.

13 DR. LEWIS: Dr. Emerson, do you have any
14 follow-up questions?

15 DR. EMERSON: I don't. Thank you.

16 DR. LEWIS: Okay.

17 I think we'll take a few minutes. We had
18 three open questions for the FDA.

19 Mr. Conway?

20 MR. CONWAY: Thanks, Dr. Lewis. Actually,
21 I'll hold my question for later discussion. Thank
22 you.

1 DR. LEWIS: Thank you.

2 Dr. Mendley?

3 DR. MENDLEY: Yes. Thank you.

4 Very briefly, assessing the responder set,
5 the sponsor chose at 26 weeks to look at 2 out of
6 3 phosphorus lowering numbers, and you instead
7 chose simply the last serum phosphorus measurement
8 at week 26. That would be very typical for a
9 cholesterol or blood pressure lowering effect, but
10 why did you choose the 26 endpoint and not the
11 multiple phos [ph] assessment that the sponsor
12 chose, which could be considered more consistent
13 with clinical practice?

14 DR. THOMPSON: This is Dr. Thompson, and
15 maybe I'll start this off. I do want to note that
16 in a setting of a formal dispute resolution, there
17 are a range of analyses that are submitted to the
18 agency that we review as part of a marketing
19 application and certain analyses that FDA does.
20 But what you're hearing today at the advisory
21 committee meeting also may reflect, at least for
22 the sponsor, additional analyses that were done

1 over time.

2 So if you just want to highlight that
3 effect, Ling-Wan, do you want to clarify why the
4 analyses we did focus on or we did these
5 explorations as we did, or Jialu, do you want to
6 add anything?

7 DR. CHEN: This is Ling-Wan Chen. I do not
8 have any additional comment on this question.

9 DR. THOMPSON: Just to add --

10 DR. LEWIS: Dr. --

11 (Crosstalk.)

12 DR. LEWIS: Oh, sorry.

13 DR. THOMPSON: This is just to add. I think
14 we highlighted in our letter, when we did not
15 approve the application, that we thought a strategy
16 would need to be based on multiple measurements, so
17 our concern is just that the strategy has not been
18 prospectively tested.

19 DR. ZHANG: This is Jialu Zhang. I maybe
20 have a comment.

21 When we select the responder, we use either
22 week 1 or week 2 or to week 4, so it's multiple

1 weeks trying to identify the responder. But
2 looking at the late responder, we did only use the
3 week 26, while the sponsor used the responder
4 definition of 2 out of the 3.

5 So if that's acceptable criteria, that you
6 got 67 percent of chance to maintain the phosphorus
7 level as a responder, if that's acceptable, then
8 the sponsor's analysis would be the one people
9 should rely on; otherwise, if we're selecting the
10 early responder, we did use multiple measurements.

11 DR. THOMPSON: However --

12 DR. MENDLEY: Yes, thank you.

13 DR. THOMPSON: Go ahead.

14 DR. MENDLEY: That's all I have.

15 DR. LEWIS: I'm sorry.

16 Dr. Emerson, was that you?

17 (Crosstalk.)

18 DR. MENDLEY: I understand the distinction
19 that was made, so thank you very much --

20 DR. LEWIS: Oh, Dr. Mendley. I'm sorry.

21 DR. MENDLEY: -- the 26 weeks. This is
22 Dr. Mendley, yes. Thank you.

1 DR. LEWIS: Yes. Sorry.

2 Okay. Dr. Emerson, you had an outstanding
3 question for the FDA?

4 DR. EMERSON: I think I can hold off on that
5 discussion. Thanks.

6 DR. LEWIS: Okay. Thank you.

7 We will now proceed with the charge to the
8 committee from Dr. Aliza Thompson.

9 **Charge to the Committee - Aliza Thompson**

10 DR. THOMPSON: Thank you, Dr. Lewis.

11 As you've heard today, both during the
12 meeting, as well as during our open session where
13 we heard from a number of patients, there is a
14 significant unmet need for well-tolerated
15 treatments that can effectively control serum
16 phosphorus, but ideally, such treatments would have
17 a low pill burden.

18 I think it's fair to say that during today's
19 meeting, you heard a lot about evidence as well as
20 uncertainties. You heard about the evidence
21 supporting serum phosphorus as a surrogate for
22 clinical outcomes, as well as the limitations of

1 the data supporting its use. You also heard about
2 the evidence, as well as the uncertainties as it
3 relates to tenapanor's benefit. And finally, you
4 heard about tenapanor's safety profile about its
5 potential risks.

6 During today's meeting, you have asked all
7 of us a lot of very challenging questions, and now
8 it is our turn to have you answer some very
9 challenging questions. We very much have learned a
10 lot from the questions you've asked thus far, and
11 very much look forward to hearing the discussion
12 that follows. Thank you.

13 **Questions to the Committee and Discussion**

14 DR. LEWIS: The committee will now turn its
15 attention to the task at hand, the careful
16 consideration of the data before the committee, as
17 well as the public comments. We will now proceed
18 with the questions to the committee and panel
19 discussions. I would like to remind public
20 observers that while this meeting is open for
21 public observation, public attendees may not
22 participate, except at the specific request of the

1 panel.

2 After I read each question, we will pause
3 for any questions or comments concerning its
4 wording, then we will open the question to
5 discussion. We will start with question 1.

6 Discuss the magnitude and clinical
7 meaningfulness of tenapanor's treatment effect on
8 serum phosphorus when administered as monotherapy.

9 Are there any issues or questions about the
10 wording of the question?

11 (No response.)

12 DR. LEWIS: If there are no questions or
13 comments concerning the wording of the question, we
14 will now open the question to discussion.

15 Dr. Emerson?

16 DR. EMERSON: This question revolves around
17 the results of Study 201 and 301, of which
18 Study 301 is clearly the better study, both in
19 terms of the length of exposure and the fact that
20 it's more of a confirmatory study than was 201. I
21 will remark that the design of Study 301 as the
22 randomized withdrawal, complete with the

1 randomization of the subjects who did not make it
2 into the EAS, I applaud. I think that's the
3 correct thing to do, particularly given some of the
4 questions that are arising here, and that is the
5 safety question; do we know how to identify these
6 patients?

7 The thing that strikes me the most here is,
8 of course, the idea that the sevelamer group did
9 better, so that is, to me, the major safety
10 question that the FDA alluded to, is the idea that
11 you might be diverting patients from a better
12 therapy.

13 So in terms of efficacy, using either of the
14 landmark analyses, if you will, either did we get
15 an improvement of 1.2 on the delta or did we
16 decrease it down to 5.5 looking at the randomized
17 treatment phase. Then depending upon whether you
18 want to look at attributable risk, well, roughly a
19 20 percent difference because sevelamer did better
20 than that on the ITT analysis from the randomized
21 treatment phase. If you wanted to put that into an
22 odds ratio, it's around 2.4. I personally would

1 place more emphasis on the attributable risk.

2 So clearly, as a monotherapy, you aren't
3 getting the response that I would certainly
4 recommend to anybody, that they substitute the
5 phosphate binding for that, and this doesn't, of
6 course, answer the question of whether you can do
7 it.

8 I will note the complicating factor of
9 Study 301, which was, number one, that it said
10 65 percent of the patients had been exposed to
11 sevelamer before, I don't know how current that
12 was, how far in the past that was, which does
13 affect the safety profile, that you've got a
14 selection on that.

15 I'll also note that that clinical trial did
16 allow titration of the binder's base to achieve the
17 better serum phosphorus. It was allowed, but you
18 started at the higher dose, and most of the
19 adjustments were down due to toxicity, but as
20 compared to just looking a little bit ahead, when
21 we look at the combination therapy, that did the
22 opposite; that would have the titration of the

1 experimental drug.

2 So I think the major issue here is that we
3 could accept that the treatment doesn't work in
4 everybody; that's ok. This randomized withdrawal
5 study is what we recommended on the missing data
6 thing to deal with cases where you were going to
7 run into tolerability, and lack of response, and
8 lack of compliance, generally, to be able to try to
9 isolate the group of the people who will take this
10 chronically.

11 But I understand the FDA's concern that it
12 wasn't totally prespecified that we would regard
13 that you would stop the treatment in the people who
14 didn't meet the response. I mean, post hoc, yes,
15 we can look at it and say, why bother continuing
16 it, but it wasn't totally prespecified that that's
17 the way it would be.

18 So I have some concerns that I think the FDA
19 has on all of this. No question that the treatment
20 works at some level; no question in my mind that,
21 absence the safety sort of question, that if I take
22 the observational data, which is all that we have

1 to go on, a difference of around 0.8 would
2 correspond to roughly a 15 percent higher mortality
3 and morbidity rate, according to the observational
4 data we were shown.

5 So it's not up to the the 1.5 to 2.5 that
6 we're seeing in other things, but as an incremental
7 level, I think that could be of interest were there
8 not for these other aspects not as effective as the
9 phosphate binders.

10 DR. LEWIS: Thank you, Dr. Emerson.

11 Dr. Fried? And please say your name first,
12 even though I said it.

13 DR. FRIED: Hi. Thank you. Linda Fried,
14 Pittsburgh. I'm not sure about the dialysis,
15 whether or not reduction in phosphorus will have
16 the same magnitude of effect; however, we do try to
17 get the phosphorus down.

18 I do think the phosphate binders are more
19 effective than this drug. I see this drug, for
20 those in monotherapy, would only be those who did
21 not tolerate phosphate binders, which unfortunately
22 is a fair number. I see probably, in truth, this

1 usefulness more as an add-on therapy, reflecting
2 the difficulty in getting phosphorus down.

3 Clinical meaningfulness, yes, 0.8 to 1.4, I
4 have a lot of patients whose phosphorus is in the
5 6's from trying to get down to less than 5.5, so I
6 see its role, but as I said, not so much as
7 monotherapy, except in those who don't tolerate it.
8 Thank you.

9 DR. LEWIS: Dr. O'Connor?

10 DR. O'CONNOR: Yes, Chris O'Connor here. My
11 concern is, is 0.7 enough when we're talking about
12 a surrogate endpoint that has not been validated?
13 In cardiology, we know that small changes in blood
14 pressure and other parameters can't afford
15 significant clinical benefit. That's what we don't
16 know, and I'm cautious about using the
17 observational data that Scott was keen to mention,
18 the 15 percent.

19 I think what we're looking at is an effect
20 size that's, at best, 40 percent less than current
21 therapies. And I think if we start taking the bar
22 down -- I think we have to have a high bar for

1 surrogate endpoints without clinical endpoints. If
2 we start taking the bar down, this will be a
3 continuous issue for the next time somebody comes
4 in with a 0.6 with half the diarrhea; 0.5 with no
5 diarrhea. So I really think we've got to talk
6 about the clinical meaningfulness that we have
7 today that we understand, and I'm concerned that
8 0.7 may not be there. Thank you.

9 DR. LEWIS: Dr. Mendley?

10 DR. MENDLEY: Susan Mendley, NIDDK. I'd
11 like to make two points in regard to what
12 Dr. Emerson pointed out.

13 Study 301 was not a comparison to sevelamer,
14 so the fact -- sevelamer was a control, but it's
15 true that the oral phosphate binders have shown a
16 larger effect size, but we all agree that that by
17 itself is a suboptimal course of therapy. Many
18 patients don't tolerate it. You've heard from all
19 of them how unpleasant it is.

20 We're not actually denying patients
21 sevelamer; we're saying there's a meaningful subset
22 of patients who simply don't tolerate oral

1 phosphate binders, and there is an effect for
2 tenapanor, so as monotherapy in the right patient
3 who tolerates the therapy, there's a measurable
4 effect. I think that they have met their outcome.
5 Thank you. That's all.

6 DR. LEWIS: Thank you.

7 Dr. de Boer?

8 DR. DE BOER: A few thoughts, most of which
9 have already been mentioned. One is this question
10 has to be related to the population, and it's a
11 population of people who have confirmed response
12 and tolerance of the drug, based on the design of
13 the study, so there is a magnitude of serum
14 phosphate reduction that's been shown. It's
15 modified by the initial response, so this would
16 have to be limited, of course, to people who have
17 that sort of initial serum phosphate and drop.

18 I agree with Dr. Fried about this probably
19 being the less common of the needs, and we heard
20 very eloquently from patients, compelling stories
21 about having too many drugs and not being able to
22 control phosphate levels, so probably there is an

1 urgent need here. I think we probably all agree on
2 that. The most urgent is in those people who have
3 harder to control phosphates who are already on
4 multiple drugs and need to get it lower, either
5 adding or replacing drugs. And I think
6 Dr. O'Connor's comments on the surrogate outcome
7 limitations are important. Thank you.

8 DR. LEWIS: I think my hand might be next.

9 I agree that monotherapy is going to be for
10 a subset of patients, but that's an important
11 subset of patients who will then have an advantage
12 of taking smaller pills, and I promise you that
13 probably matters to almost all of our patients.
14 And again, pill for pill, I think it is reasonably
15 potent and competes well.

16 So I see a place for it. I think that one
17 thing to keep in mind is that these patients spend
18 5 hours 3 times a day, 3 times a week minimum, with
19 texts, nurses, they see dietitians and social
20 workers repeatedly, and their physician or an NP
21 4 times a month. So any kind of diarrhea, any kind
22 of side effect, any kind of anything will be noted

1 in the vast majority of patients quite quickly, and
2 addressed. I think it's just a model of health
3 care that's just so unique. Similarly, phosphorus
4 is checked at least once a month, and when not at
5 goal, often twice a month. So I do think that it
6 has a niche in a subset of patients.

7 Dr. Merz?

8 DR. BAIREY MERZ: Thank you, Dr. Lewis.

9 Noel Bairey Merz, Cedars-Sinai, Los Angeles.

10 I'm focused on this first question, which is
11 basically benefit, and we don't know anything about
12 significance for clinical events -- again, this has
13 been said over and over again -- and I want to
14 remind everyone that until we did hormone
15 replacement therapy trials, we did not know
16 risk-benefit for something that all women go
17 through, which is menopause, and the results were
18 surprising, and we heard from the FDA that a
19 clinical trial for outcomes would be considered
20 unethical.

21 So I think with that frame of mind, we have
22 to look at there's already four on the market. As

1 you and many of the other nephrologists have
2 discussed, there are concerns, and if we look at
3 the concept of chronic disease management in so
4 many of the things that we do, particularly,
5 cardiovascular, as mentioned by Dr. O'Connor,
6 usually lowers better, usually more choice is
7 better, and shared decision making is what happens
8 a lot when there's not such clear data. And as you
9 point out, Dr. Lewis, these patients are well cared
10 for. They're seeing specialists all the time.

11 So I think we can't really judge clinical
12 efficacy, so we're going to need to fall back on
13 historical chronic disease management principles
14 for that, and I would favor this as an add-on
15 therapy and as an alternative for folks that just
16 cannot take existing therapy. Thank you.

17 DR. LEWIS: Mr. Conway?

18 MR. CONWAY: Thanks, Dr. Lewis. I just want
19 to pull this back up to 100,000 feet, and what
20 we're trying to do is we're trying to positively
21 impact the patient population that is quite sick
22 and quite ill, and I think we all understand that.

1 And hats off to the courage and determination of
2 those who spoke during the public hearing,
3 especially the patients, who could actually bring
4 specificity and granularity to what it's
5 practically like. It's not an easy life.

6 I respect Dr. Nachman about the difference
7 between having two M&Ms and many M&Ms, that if
8 they're not all that effective is huge if you're
9 the one that has to eat the M&Ms because the person
10 in the white jacket said eat the M&Ms. And in this
11 case, I think it argues for the issue of patient
12 care choice, shared decision making, as been
13 mentioned, but also that trust between the
14 nephrologist and the patient in respecting the
15 patient's intelligence and ability to communicate
16 with their health team about the experiences they
17 have that's comfortable and things you don't like
18 to talk about. Eh, after the first year of being a
19 kidney patient, you kind of get that out of the
20 way. Most people can kind of express that.

21 So in that case, I'd say that we ought to be
22 focused on that subset of patients that don't do

1 well, and this is another tool in the toolbox for
2 their doctors and for them, so I definitely support
3 it. Thanks.

4 DR. LEWIS: Thank you, Mr. Conway.

5 Dr. Cook?

6 (No response.)

7 DR. LEWIS: Dr. Cook, you're muted on Adobe.
8 Yes, there you go.

9 DR. COOK: Yes. Sorry. I didn't realize I
10 was muted on the app.

11 I'm speaking solely as a statistician, and
12 I'm going to trust that, for example, as a
13 surrogate outcome, that this measure is acceptable.
14 I am also skeptical of magnitude. Oh, I also can't
15 address clinical meaningfulness, so I don't know
16 the difference between a 0.8 and a 1.5, for
17 example, so I trust that the clinicians have a
18 handle on that.

19 But that said, observed effect size of the
20 population averages, and populations are
21 heterogeneous, and we've seen that you can cut the
22 population in such a way that you can select people

1 who seem to be responders, and that's a population.
2 You see a much larger nominal difference, on
3 average, than you do among the people who are not
4 responders.

5 That would suggest to me that there's
6 probably even a further subpopulation -- which you
7 could identify them -- to whom the effect size is
8 even larger. And given that I don't see a
9 compelling safety problem, I would argue, again,
10 from my minimal clinical understanding, that
11 approval of this would allow treating physicians
12 the ability to put this in the arsenal and identify
13 people for whom they seem to be responding. If
14 their phos was decreased, it's probably the desired
15 amount, then you can treat them with it, and if it
16 doesn't, it doesn't. And I don't see any argument,
17 or at least I haven't heard any argument, that
18 would suggest that there is a downside to that
19 approach. Thank you.

20 (Pause.)

21 DR. LEWIS: I'm muted.

22 Hey. Dr. Butler? Sorry about that.

1 DR. BUTLER: Thank you, Dr. Lewis. Javed
2 Butler here. I just want to register a couple of
3 things that are reasonably dissatisfying in this
4 discussion.

5 One is, this issue of research in patients
6 with phosphate issues being unethical seems like a
7 difficult thing to accept. If your blood pressure
8 is 200, or if your hemoglobin is 5, and you don't
9 want to randomize, that makes sense. But I could
10 say that it's unethical to not do a randomized
11 trial if you're trying to lower blood pressure from
12 130 to 125, or you're trying to correct hemoglobin
13 from 11.5 to 12.5. So I think that not having a
14 randomized-controlled trial makes it really an
15 impossible question to answer.

16 Then the second dissatisfying thing is that
17 we're being asked to answer two questions. One is
18 the clinical meaningfulness in terms of the
19 outcomes. So granted that we're in a space without
20 randomized-controlled data, but surely this is an
21 incredibly high-risk population with an incredibly
22 close follow-up and no lost to follow-up data on

1 these dialysis patients. Then you have data up to
2 52 weeks, so at least some secondary data and what
3 happened to, actually, the patient, and that none
4 of those secondary clinical data have been
5 presented in this area of research seems highly
6 unusual to me.

7 Then the second thing is there's a lot of
8 patients' testimony, and everybody has talked about
9 this huge issue of pill burden. And to have no
10 data on, actually, what was the pill burden in the
11 trial in those who had a sustained benefit,
12 unsustained benefit, or side effect, and what
13 actually was the distribution of pill burden not
14 being presented as well also makes this thing a
15 little bit more difficult. Thank you.

16 DR. LEWIS: Thank you, Dr. Butler.

17 Dr. Nachman?

18 DR. NACHMAN: Yes. Thank you, Dr. Lewis.

19 Patrick Nachman. I really want to echo Dr. Butler's
20 last comments. I was looking at Dr. Chertow's first
21 randomized-controlled trial of sevelamer published in
22 2002, so 20 years ago, and we still don't know

1 whether lowering phosphorus is beneficial. So I
2 completely echo Dr. Butler that the onus is on us
3 to actually come to grips with this and not go on
4 faith forward.

5 There's another aspect of the discussion
6 that I'm a little uncomfortable with. We're asked
7 about the meaningfulness as monotherapy, and a lot
8 of the discussion is almost insinuating that we're
9 going to take patients who are not tolerating a
10 huge burden of pills go on the new medication and
11 not have any side effects at all, and not have
12 intolerance at all.

13 We're not comparing drugs with side effects
14 with a drug without side effects. We're comparing
15 two classes of drugs that have very similar side
16 effects, and I haven't seen any data at all that if
17 you take a patient who's not tolerant of one of the
18 other five, four classes of medication, and they
19 tried this one, they will magically get their
20 phosphorus under control with 2 tablets only and no
21 diarrhea.

22 I'm sure that there are patients that can

1 tolerate one medication and not the other, but I
2 think we need to be careful about going on faith of
3 what we're proposing here, especially as
4 monotherapy because, again, the data does not
5 suggest that there is a large patient population
6 that will get their phosphorus under control with
7 tenapanor monotherapy.

8 And if I may just add one wrench to this
9 problem, there is a paper that just came out that
10 looked at circadian differences in phosphorus,
11 8.5-[8].6 milligrams per deciliter change in
12 phosphorus level is just dependent on what time of
13 the day you measured it; just putting that out
14 there.

15 DR. LEWIS: Okay

16 I have an actual quick comment, and I'm
17 going to put Ms. Alikhaani on the spot and tell her
18 that I want her to think if she could also add a
19 comment to our discussion after Dr. Mendley.

20 I remember long ago, I was a fellow, when
21 there was almost no phosphate binders, and then
22 there was Amphojel a little bit. And I don't think

1 there's any question that there is a high
2 phosphorus level that is bad for the skin, and
3 bones, and muscles. So I agree that it's appalling
4 that we don't know what the lower end of that is,
5 and in fact there's good data that we might be
6 restricting people's diets in ways that's harmful,
7 based on just made up guidelines, as I say to my
8 fellows. But I do think that it is going to be
9 true that some phosphorus lowering is going to be
10 necessary in some patients, and that having more
11 things to try and do that with will be potentially
12 a benefit.

13 Dr. Mendley?

14 DR. MENDLEY: Susan Mendley, NIDDK.

15 I want to reassure you, we don't have all
16 the answers, but there is a prospective randomized
17 trial of different phosphate targets among
18 hemodialysis patients underway. We don't have any
19 results, but that is in the works.

20 DR. LEWIS: I am so excited; I can't wait.

21 I really love your trial.

22 DR. MENDLEY: Thank you.

1 But this is a trial of targets; it's not a
2 treatment trial, but nonetheless, it answers
3 Dr. Lewis' concerns, which I share, that we don't
4 know what the right number to aim for is. Thank
5 you.

6 DR. LEWIS: Ms. Alikhaani, did you have a
7 comment on this question? And you don't have to,
8 but I would like to include you.

9 (No response.)

10 DR. LEWIS: Okay. I'm going to assume not.

11 Now I'm going to summarize. I think that
12 there were some unifying thoughts, which was that
13 there is a need, and that there are important
14 issues of patient choice, and that the environment
15 in which the doctors and patients will be
16 manipulating these drugs is a highly unusual safe
17 medical environment.

18 Monotherapy is particularly problematic
19 because of the effect size, and really how many
20 people would be able to get to whatever we decide
21 the goal is someday, validly. I guess we have a
22 goal that's made up now, but it's not going to be a

1 huge number with the current effect, but it won't
2 be zero, I think is what most of the people said;
3 although I think many of the members were concerned
4 about this shouldn't be a substitution trial and
5 you wouldn't just take people off; and if switching
6 them would be the right thing to do; and that the
7 limitations of our available data about this as a
8 surrogate is very hard for the committee as it was
9 for the FDA, understandably.

10 We're skeptical of surrogates, we're
11 skeptical of the magnitude, but again, there's
12 probably a subset of people in whom this will be of
13 potential benefit and well monitored for side
14 effect. Dr. Emerson made a point that the 0.8,
15 which is actually the ITT low end of it, at least
16 is approximately a 15 percent higher mortality, and
17 of course acknowledging that the fact that you have
18 a higher mortality with a higher phosphorous
19 doesn't mean what you used to lower it will improve
20 that, but at least it suggests that there's a
21 potential.

22 I will now move on to question 2. Discuss

1 the magnitude and clinical meaningfulness of
2 tenapanor's treatment effect on serum phosphorus
3 when administered in combination with phosphate
4 binder treatment.

5 Are there any issues or questions about the
6 wording of the question?

7 (No response.)

8 DR. LEWIS: If there are no questions or
9 comments concerning the wording of the question, we
10 will now open the question to discussion.

11 Dr. Nachman?

12 DR. NACHMAN: I'm sorry. I forgot to lower
13 my hand. Patrick Nachman.

14 Okay. Dr. Emerson?

15 DR. EMERSON: This is Scott Emerson.

16 My major comment here is just the short
17 time frame of the 202 study, a 4-week study, and
18 the aspect that it basically was asking the
19 question, if we took people on whatever phosphate
20 binder they were, I'll remark, in diabetes, you
21 would have said optimize that treatment before we
22 use it as the control, but I didn't really see that

1 here.

2 But that having been said, the opposite's
3 true here, showing if you could titrate tenapanor,
4 that that would give you an additional 20 percent
5 of people who were hitting the threshold of less
6 than 5.5. I think this is the way it should be
7 used. I think if this generalizes -- again, given
8 the very short 4-week period -- it's an important
9 tool to have.

10 DR. LEWIS: Okay. I'm going to give a pause
11 here for any other members that have a comment.

12 Ms. Alikhaani?

13 MS. ALIKHAANI: Yes. This is Jacqueline
14 Alikhaani. I'm sorry I didn't get to comment on
15 the other question; I had some technical problems.

16 I'm very concerned about all of the
17 uncertainty that surrounds the issue of serum
18 phosphorus levels and agree with the conversations
19 that have been going on from our experts on this
20 panel about that. It was just heartbreaking, to
21 me, hearing the testimony of the patients, and the
22 providers, and caregivers that testified today. So

1 clearly, we need some alternative treatments for
2 patients to choose from. We're not there yet.

3 This issue of pill burden is also very
4 concerning. I can totally relate to that. My
5 mother had kidney disease and kidney failure, so
6 it's something that I'm very concerned about. I
7 just think when we're having the lack of data that
8 we need to make informed decisions the best way
9 possible, it just highlights the issue about the
10 need for clinical trials and data that are really
11 very thorough and well designed so that we can get
12 the sufficient evidence that really demonstrates
13 the kind of qualitative and quantitative data that
14 really can support the development of safe,
15 effective, and alternative treatments for diverse
16 patient populations.

17 So as it stands, I don't think we're there
18 with this particular treatment. It just appears to
19 me that there's not much difference between this
20 new drug, tenapanor, versus the other treatment,
21 which is sevelamer, in the 301 study. I think that
22 we need more data to really get us to where we need

1 to be, and I'm very concerned that the patients are
2 having to deal with taking all these huge pills,
3 and so many of them every day. We've got to do
4 something more about this. Thank you.

5 DR. LEWIS: Thank you.

6 Mr. Conway?

7 MR. CONWAY: Thanks, Dr. Lewis.

8 On this specific question, I do think it's
9 another important tool in the toolbox. I do think
10 we are there, based on the data that's been
11 presented. I think the data on 402 is important to
12 consider.

13 In terms of the onus, it's interesting
14 because we heard some pretty amazing information
15 about the lack of the science to show and to
16 support status quo care. When it comes to choices,
17 and innovations, and novel approaches, I do think
18 the time is now because, clearly, you have a
19 segment of the population that status quo does not
20 work for, so I would trust that the nephrology
21 community could use this as a tool and working with
22 the patients, especially when it's administered in

1 combination. Thank you.

2 DR. LEWIS: Okay.

3 Ms. Alikhaani, do you have another question
4 or comment?

5 MS. ALIKHAANI: No. Sorry. I'm just
6 leaving.

7 DR. LEWIS: Okay.

8 Dr. Kasper or Dr. Soergel, do either of you
9 have a comment?

10 DR. SOERGEL: Not at this time. Thank you,
11 Dr. Lewis.

12 DR. LEWIS: Okay.

13 So then, I guess we move on to question
14 number 3. Diarrhea was the --

15 CDR BONNER: Sorry --

16 DR. LEWIS: Oh, wait. I have to summarize.
17 I'm going to to summarize. Yes. Thank you.

18 I think we said a lot of what we said in our
19 answer to the first question that was applicable to
20 the second question as well. Dr. Emerson made the
21 point that 202 is a very short time frame, but that
22 it did show that you can titrate tenapanor and

1 improve control, and he felt that was how it should
2 be used.

3 Mr. Conway thought 402 was very important to
4 consider the patient perspective on it, and as
5 Ms. Alikhaani pointed out, the patient perspectives
6 were pretty heartbreaking to listen to about the
7 pill burden and having to take it with their meals,
8 and all those things that were so hard for the many
9 patients that have to do that; that we need
10 alternatives and it's disappointing we don't have
11 more data. Her concern, however, was that this
12 treatment was not much different than sevelamer, so
13 this wasn't the answer to those problems.

14 I will now go on to question number 3.
15 Diarrhea was the most common adverse reaction in
16 clinical trials of tenapanor in adults with CKD on
17 dialysis. Discuss this risk from a safety and
18 tolerability perspective.

19 Are there any issues or questions about the
20 wording of the question?

21 (No response.)

22 DR. LEWIS: If there are no questions or

1 comments concerning the wording of the question, we
2 will now open the question to discussion.

3 Well, I guess I'll begin since no one's
4 commenting. I think constipation, particularly
5 constipation related to some of the other binders
6 but also related to many of the patients' primary
7 disease, such as diabetes, is a more common
8 problem. I see very, very, very many dialysis
9 patients, and have for a long time, and many of
10 them are on stool softeners or other kinds of drugs
11 to unconstipate them.

12 I think there will be a subset of patients
13 who will actually welcome a slightly looser stool.
14 There will be other patients who, as was the case
15 in these studies -- actually quite a few -- that
16 found it intolerable, but they will sort themselves
17 out. I think patients and doctors will walk with
18 their feet, and I'm reassured by the fact that they
19 are a carefully monitored population.

20 The only other comment I will make is that
21 sometimes I think of diarrhea as sort of the giant
22 nephron, and it does get rid of fluid. And in this

1 case, 3 grams of sodium, there's no hypothesis that
2 that will benefit patients, but it would be an
3 interesting one should this drug be looked at to
4 see if it attracts dialytic weight gain or any of
5 those things.

6 Dr. Merz?

7 DR. BAIREY MERZ: Thank you, Dr. Lewis.
8 Noel Bairey Merz, Cedars-Sinai, Los Angeles.

9 I mirror your comments about them being
10 carefully monitored, and there may be a subgroup
11 that would benefit. Then I would just extend that
12 to reflect that it is already approved for IBD
13 constipation, so this is a known side effect as
14 opposed to maybe a more serious risk. The FDA
15 previously decided that this was safe enough to
16 create a quality-of-life issue in IBD patients, and
17 I would be satisfied with that as a safety -- I
18 would be happy that it would be considered safe
19 enough for this clinical problem. Thank you.

20 DR. LEWIS: Thank you.

21 Dr. Soergel?

22 DR. SOERGEL: Thanks, Dr. Lewis. David

1 Soergel, industry rep. I have two comments along
2 this line.

3 I thought the presentation of how loose
4 stools are characterized in the clinical trial was
5 an important one because I think when we see the
6 word "diarrhea," we oftentimes kind of devolve to
7 thinking about very watery stools, et cetera, that
8 can cause significant problems, and obviously
9 there's a significant patient-centered tolerability
10 issue, as you saw from the withdrawal rates in the
11 trials.

12 However, I guess this comes to the second
13 point, which is the concept of a responder
14 analysis, which can be looked at in two different
15 ways. Patients will declare themselves as
16 responders in terms of their serum phosphate
17 reduction, as we focused quite a bit on, but they
18 also declare themselves as tolerability responders.
19 And both of those measures, I think, are -- as you
20 mentioned already, Dr. Lewis, in these patients who
21 are highly monitored and followed -- something that
22 could be managed with medicine. Thank you.

1 DR. LEWIS: Dr. Nachman?

2 DR. NACHMAN: Thank you, Dr. Lewis. I have
3 a quick question for the sponsor.

4 I am assuming that because the drug is not
5 absorbed and by its mechanism of diarrhea, that if
6 a patient does get severe diarrhea, it would be
7 short-lasting after drug cessation. Is that a
8 correct statement?

9 DR. LEWIS: I --

10 DR. WILLIAMS: Yes, you're -- oh, I'm sorry.

11 DR. LEWIS: I'm sorry. I was just going to
12 allow the monitor to answer that question -- the
13 sponsor to answer that question, so go ahead.

14 DR. WILLIAMS: Yes, you're correct. Again,
15 as we characterized in the presentation, the
16 diarrhea, when it occurs, occurs relatively early.
17 It's self-limiting, it resolves within 14 days,
18 short on and off, and most patients, as we noted in
19 the presentation, had a single episode.

20 DR. LEWIS: Could you identify who was
21 speaking, please, for the record?

22 DR. WILLIAMS: I'm sorry. It's Dr. Williams

1 with Ardelyx.

2 DR. LEWIS: Thank you.

3 Dr. Nachman, did you have any other comments
4 beyond the question?

5 DR. NACHMAN: I wish you hadn't said
6 14 days. That seems like a very long time to have
7 diarrhea, but I'm assuming that's maybe not common,
8 or that it would be shorter lived?

9 DR. LEWIS: You may answer the question, but
10 identify yourself before you do.

11 DR. WILLIAMS: Thank you, Dr. Lewis. This
12 is Dr. Williams. Again, that's a median of
13 14 days. I think what's important to note is that
14 as soon as you stop the drug, or relatively soon
15 after you stop the drug, the diarrhea goes away.

16 DR. LEWIS: Thank you.

17 Dr. O'Connor?

18 DR. O'CONNOR: Dr. O'Connor. Just
19 addressing part of that, we saw from the FDA safety
20 officer, on one of their slides that said a mean of
21 43 days. I'm not sure if that was maybe just
22 moderate to severe diarrhea. But the comment I

1 wanted to make is that diarrhea can also result in
2 downward adjustment of the drug. And one of the
3 concerns we saw is that there was significant
4 reduction in dose of the drug in some of the
5 patients, and this would result in further
6 attenuation of the effect size.

7 So I still have concerns that we're going to
8 be making a decision, based on several hundred
9 patients getting active therapy that have a
10 significant amount of diarrhea that could further
11 attenuate the effect size.

12 DR. LEWIS: Thank you.

13 Ms. Alikhaani?

14 MS. ALIKHAANI: This is Jacqueline
15 Alikhaani. I am not comfortable with the diarrhea
16 adverse CV outcomes, and also I'm concerned about
17 how that can potentially contribute and lead to
18 negative outcomes. I'm particularly concerned
19 about older people and how they will do with this.
20 I just hope there's a -- it would be great if we
21 had a way to be able to provide this treatment to
22 patients who benefit the most, and not give it to

1 those who demonstrate poor benefits. Thank you.

2 DR. LEWIS: Thank you.

3 Mr. Conway?

4 MR. CONWAY: Thanks, Dr. Lewis.

5 In regard to this discussion, I thought that
6 the data that the sponsor provided, based on what I
7 would call, I guess, the Bristol stool chart, was
8 important in how you define diarrhea and loose
9 stools, because in the broader context for this
10 population, these issues of being constipated or
11 having loose stools, it's endemic with the
12 population and the types of things that you go
13 through as the patient. Whether you're dealing
14 with the antibiotics, whether you're dealing with
15 gout, there are many different things that impact
16 the population on this.

17 So it's not like we're looking at a one-off
18 that's going to cause a condition. It is a highly
19 monitored population. There are a lot of medical
20 professionals that doctors talk to in the course of
21 their treatment, and just interactions during the
22 week, just to manage the disease. And because of

1 that, I don't think it's something that -- I think
2 it's important, I think safety is a key issue, but
3 I do think that this is something, in the realm of
4 doctor and patient and doctor and medical team,
5 they can arrive at the point that's best for the
6 patient in terms of the outcome, based on the best
7 advice of the doctor. Thank you.

8 DR. LEWIS: Thank you.

9 Dr. O'Connor, do you have another comment or
10 is your hand up?

11 (No response.)

12 DR. LEWIS: Thank you.

13 Okay. I think I'll summarize.

14 I think that there certainly is a concern
15 that it would be really ideal, one, to know what
16 our goals should be and have more information,
17 studies, but also to know who to give it to, who
18 would most benefit, and not put people at risk who
19 won't benefit. It is certainly a concern that was
20 expressed, and it was a concern that diarrhea,
21 particularly in the vulnerable, whether they be old
22 or just frail, population could have serious

1 downstream consequences.

2 It was expressed by several of the speakers
3 that they felt somewhat reassured by the Bristol
4 stool chart, which revealed that some of the
5 descriptions of the diarrhea really reflected
6 slightly loose stools, or just soft stools, so it
7 wasn't all massive watery diarrhea. There was some
8 confusion about how long the diarrhea lasts. It is
9 interesting that because of the relatively short
10 half-life of the drug, you would not think it would
11 last 14 or 43 days, in any case, but on the other
12 hand, many of the situations seem self-limiting,
13 and early and single episodes.

14 It was acknowledged by multiple people that
15 this is a very highly monitored population and
16 highly regulated, and that was reassuring. It was
17 also reassuring that this drug has been approved
18 and used successfully already for IBD with
19 constipation.

20 So that's my summary. We will now move on
21 to the next question, which is a voting question.
22 Commander LaToya Bonner will provide the

1 instructions for the voting.

2 CDR BONNER: Thank you.

3 Questions 4 and 5 are voting questions.

4 Voting members will use the Adobe Connect platform
5 to submit their vote for this meeting. After the
6 chairperson has read the voting question into the
7 record and all questions and discussion regarding
8 the wording of the vote question are complete, the
9 chairperson will announce that voting will begin.

10 If you are a voting member, you will be
11 moved to a breakout room. A new display will
12 appear where you can submit your vote. There will
13 be no discussion in the breakout room. You should
14 select the radio button that is the round circular
15 button in the window that corresponds with your
16 vote, yes, no, or abstain. You should not leave
17 the "no vote" choice elected. Please know that you
18 do not need to submit or send your vote. Again,
19 you need only to select the radio button that
20 corresponds to your vote. You will have the
21 opportunity to change your vote until the vote is
22 announced as closed. Once all voting members have

1 selected their vote, I will announce that the vote
2 is closed.

3 Next, the vote results will be displayed on
4 the screen. I will read the vote results from the
5 screen into the record. Afterwards, the
6 chairperson will go down the roster and each voting
7 member will state their name and their vote into
8 the record. You can also state the reason why you
9 voted, if you'd like, however you should also
10 address any subparts of the voting questions, if
11 any.

12 Are there any questions about the voting
13 process before we begin?

14 (No response.)

15 DR. LEWIS: Okay. I will read question 4.

16 Do tenapanor's benefits outweigh its risk
17 for the control of serum phosphorus in adults with
18 CKD on dialysis when administered as monotherapy?
19 A, provide your rationale; B, if you voted no,
20 provide recommendations for additional data and/or
21 analyses that may support a positive benefit-risk
22 assessment for tenapanor as a monotherapy.

1 Are there any questions about the wording or
2 issues about the wording of the question?

3 (No response.)

4 DR. LEWIS: If there are no questions or
5 comments concerning the wording of the question, we
6 will now begin the voting on question 4.

7 CDR BONNER: We will now move voting members
8 to the voting breakout room to vote. There will be
9 no discussion in the voting breakout room.

10 (Voting.)

11 CDR BONNER: This is LaToya Bonner. Again,
12 I will read the vote results into the record:
13 9 yeses, 4 noes, zero abstain. The chairperson
14 will go down the list, and each voting member will
15 state their name and their vote into the record.
16 You can also state the reason why you voted as you
17 did, if you'd like. However, you should also
18 address any subparts, if there were any. Thanks.

19 DR. LEWIS: Thank you.

20 We will now go down the list and have
21 everyone who voted state their name and vote into
22 the record. You may also provide justification of

1 your vote if you wish to. We'll start with
2 Dr. Bairey Merz.

3 DR. BAIREY MERZ: Noel Bairey Merz,
4 Cedars-Sinai, Los Angeles. I voted yes, and my
5 rationale is we heard about the gap of patients
6 that are unable or unwilling to take the standard
7 of care right now, so while I do support this as an
8 add-on therapy, primarily I do think it should be
9 made available for those patients that are
10 otherwise being untreated. It is probably better
11 than nothing. And I applaud the FDA in hearing
12 that there will be a MACE [ph] trial. Thank you.

13 DR. LEWIS: Thank you.

14 Dr. O'Connor?

15 Dr. O'Connor. I voted no. My reason for
16 voting no is really the difficult issue of a
17 surrogate endpoint that hasn't been validated
18 thoroughly with clinical outcomes, as we discussed.
19 I think the degree of efficacy was modest, and I
20 want to commend the sponsor for doing what they
21 were instructed to do, and conducting, really,
22 well-conducted clinical trials in this difficult

1 space. But I think going forward, a larger
2 clinical trial with an active comparator, and
3 getting a larger sample size rather than the
4 several hundred that we saw in the efficacy
5 analyses, that could have a meaningful clinical
6 endpoint integrated into that trial, such as PROs
7 and positive endpoints, would be a great service to
8 the community. Thank you.

9 DR. LEWIS: Thank you.

10 Dr. Kasper?

11 DR. KASPER: Ed Kasper, Johns Hopkins. I
12 voted yes. I think there is clearly a need for
13 drugs such as this. I think tenapanor is
14 effective, but not as effective as current therapy.
15 I think the safety is acceptable because it's a
16 highly monitored environment. I think there is
17 clearly a role for this drug, and I, too, look
18 forward to the results of the ongoing trial.

19 DR. LEWIS: Thank you.

20 Dr. de Boer?

21 DR. DE BOER: Ian de Boer. No. I believe
22 there are insufficient data to support the clinical

1 benefits of this intervention. I certainly
2 understand the need and the desire for new tools,
3 but I think we need tools that work for outcomes
4 that matter. I agree with Dr. O'Connor that we
5 need more trials with clinical outcomes, and while
6 the high-low trial is a promising step in that
7 direction, we're likely to need additional ones,
8 and I think those should be carefully considered in
9 the future.

10 DR. LEWIS: Thank you.

11 Ms. Alikhaani?

12 MS. ALIKHAANI: Yes. This is Jacqueline
13 Alikhaani. I voted no. I think we need more trial
14 data to give us all the information that we need to
15 make sure that the treatment is safe and effective
16 as possible, and I particularly would like to see
17 more certainty on this issue of variability in
18 serum phosphorus levels. Thank you.

19 DR. LEWIS: Thank you.

20 Dr. Butler?

21 DR. BUTLER: Hi. Javed Butler. I voted
22 yes, but it was a little bit of a reluctant yes. I

1 was not particularly concerned about the safety
2 signal, which though not ideal, was acceptable.
3 And in terms of the efficacy, I really have no new
4 comments to add, other than the fact that I think
5 this still probably deserves a higher bar of
6 efficacy, but I did find that this particular
7 application meets the precedence of what has been
8 done previously in this area, and therefore I voted
9 yes.

10 DR. LEWIS: Thank you.

11 Julia Lewis. I voted yes. Again, I think
12 that this drug does offer smaller pills, which I
13 think is likely to have less overall efficacy, but
14 per pill, probably not, and I think our patients
15 would always welcome another choice. I agree that
16 it's a small subset that will respond to
17 monotherapy, but let's make it available to them.

18 Dr. Fried?

19 DR. FRIED: Linda Fried. I voted yes. As
20 was stated by others, I don't think there's a large
21 role for monotherapy, but there is a population
22 that doesn't tolerate many phosphate binders. I

1 think the diarrhea is manageable. In truth, I
2 would probably start low and titrate up, rather
3 than start high and titrate down, but I do think it
4 provides an alternative until we have studies that
5 show that we don't manage phosphorus. Currently,
6 our quality goals are to get the phosphorus down,
7 which with our current data we think helps our
8 patients.

9 DR. LEWIS: Thank you.

10 Dr. Nachman?

11 DR. NACHMAN: Yes. Patrick Nachman. Thank
12 you, Dr. Lewis. I voted no, and I want to start by
13 saying that I'm very sensitive to the need and
14 desire for more treatment options, and I'm very
15 respectful of the patients' choice and treatment
16 preferences, but I do think that demonstrating
17 benefit is important.

18 Considering the small magnitude of the
19 effect of tenapanor on serum phosphorus compared to
20 placebo and apparent lesser magnitude of effect
21 compared to currently proved agents, and
22 considering that the very substantial proportion of

1 patients did not tolerate the medication, the
2 patient populations likely to achieve control of
3 hyperphosphatemia with this new agent as
4 monotherapy seems to be quite small, and probably
5 will have to have pretty mild hyperphosphatemia at
6 baseline.

7 Conversely, if you start with very mild
8 hyperphosphatemia, I don't know that we have any
9 evidence that those patients will truly benefit
10 from taking medications with side effects or a
11 modest reduction in hyperphosphatemia. As a
12 result, I'm not convinced that there is a sizeable
13 patient population that will demonstrably benefit
14 from tenapanor monotherapy.

15 Now, Dr. Lewis, you brought up patient
16 populations that maybe the sponsor can evaluate
17 more fully and demonstrate both efficacy,
18 tolerability, and benefit. And the other patient
19 population that I'm thinking of is patients on
20 peritoneal dialysis, for example, who frequently
21 have constipation along with hyperphosphatemia, and
22 their constipation is a problem in doing peritoneal

1 dialysis. So I would encourage the sponsor to
2 evaluate this fully in that patient population, for
3 example. Thank you very much.

4 DR. LEWIS: Thank you, Dr. Nachman.

5 Mr. Conway?

6 MR. CONWAY: Thanks, Dr. Lewis.

7 Four good points; I think the sponsor met
8 the trial outcomes, and I think it's an important
9 innovation and a novel approach. I think there is
10 an unmet need, and clearly that's been documented,
11 I think, if you look at it in terms of patients who
12 are not treated or patients who fall off of
13 treatment because the current status quo is not
14 tenable.

15 In regard to the status quo care, I was
16 quite interested in the information from FDA about
17 the lack of science on current standards, and I
18 think it kind of makes the point about status quo
19 care, which is you have therapies that are being
20 recommended to patients that many patients find
21 quite burdensome, and in that case, I think that
22 the patient experience has disproportionate

1 importance in this decision and that those voices
2 must be listened to.

3 On the final point of safety, I do believe
4 it can be managed between patients and the
5 nephrologists they choose to take care of them
6 because those medical professionals and the wider
7 kidney care teams are engaged in life-threatening,
8 high-risk procedures every week with their
9 patients, and their patients trust them. And for
10 these side effects that were listed, I think it's
11 within the realm of manageability. Thank you.

12 DR. LEWIS: Thank you.

13 Dr. Emerson?

14 DR. EMERSON: This is Scott Emerson. I
15 voted yes. My concerns that I stated earlier are
16 still standing, but I ultimately voted yes with the
17 idea that I'm voting for the indication of lowering
18 serum phosphorus rather than any particular
19 clinical outcome. And I am putting in how I was
20 looking at it, that the monotherapy should really
21 only be used in people who really cannot take other
22 means at first. But I recognize that there's no

1 control over such criteria because the patient and
2 the doctor themselves are deciding whether they
3 can't take it.

4 So I didn't see a reason to withhold
5 something that clearly had efficacy, and it's just
6 uncertain the amounts. But I felt that across the
7 spectrum of all sorts of other AEs and what's
8 known, that the labeling could be adequate to tell
9 patients and doctors of the risks.

10 DR. LEWIS: Thank you.

11 Dr. Mendley?

12 DR. MENDLEY: Susan Mendley. I voted yes.
13 I thought there was sufficient data provided to
14 allow clinicians to individualize treatment with
15 tenapanor for appropriate patients, to monitor for
16 changes in serum phosphorus and stool consistency,
17 and I trust the clinicians to do this right.

18 DR. LEWIS: Thank you.

19 Dr. Cook?

20 DR. COOK: Yes. Thomas Cook, and I voted
21 yes because it seems that this drug clearly is
22 having the intended effect, at least in a subset of

1 patients, and that there's no reason to withhold it
2 from those patients, and its safety profile seems
3 acceptable. Thank you.

4 DR. LEWIS: Okay. I will now attempt to
5 summarize this. I broke it down into the yeses and
6 the noes. I think those who voted yes all
7 recognize the need, and for that matter, for the
8 those who voted no.

9 There were comments about there are a subset
10 of patients, probably small, who would benefit from
11 monotherapy, either because they're unable or
12 unwilling to take the available standard-of-care
13 phosphate binders or that their phosphorous is not
14 very high or above whatever goal we end up deciding
15 is an evidence-based goal. After the high-low
16 study, at least we'll have something.

17 Safety was generally considered acceptable,
18 particularly in the fact that there's been a highly
19 monitored group of patients who are seen by
20 multiple members of the care team on a regular
21 basis. The status quo care is burdensome, and
22 we're not sure exactly that we're making people do

1 something they really are benefiting from, so their
2 experience with that burdensomeness has a
3 disproportionate importance.

4 For the noes, I think there was a sad truth
5 that this is the circuit that has never been
6 connected in a trial to a more clinically
7 meaningful outcome, and that's really needed, and
8 hopefully it's in the process of happening, so we
9 need good trials. Larger clinical trials were
10 asked for with active comparators as a suggestion.
11 We need tools that work, and we have insufficient
12 data from these trials that were presented today to
13 convince the people who voted no that there was a
14 clinical benefit, and demonstrating the benefit is
15 important because of the potential side effects.

16 There was also, I think, a very excellent
17 suggestion that the sponsor should consider
18 particularly looking at some of the subpopulations
19 such as PD patients who other PDs won't work if
20 they're constipated, and they're usually very
21 highly constipated. So that might be a targeted
22 population that would particularly benefit.

1 We will now move on to question 5. It is
2 also a voting question. I will read the question.

3 Do tenapanor's benefits outweigh its risk
4 for the control of serum phosphorus in adults with
5 CKD on dialysis when administered in combination
6 with phosphate binder treatment? A, provide your
7 rationale, which you will do in the part where we
8 talk about why we voted; and B, if you voted no,
9 provide recommendations for additional data and/or
10 analyses that may support a positive benefit-risk
11 assessment for tenapanor in combination with
12 phosphate binder treatment.

13 Are there any issues or questions about the
14 wording of the question?

15 (No response.)

16 DR. LEWIS: If there are no questions or
17 comments concerning the wording of the question, we
18 will now begin the voting on question 5.

19 Commander Bonner?

20 CDR BONNER: Thank you. Commander Bonner.

21 We will now move voting members to the
22 voting breakout room to vote only. There will be

1 no discussion in the voting breakout room.

2 (Voting.)

3 CDR BONNER: The voting results are
4 displayed. I will read the vote totals into the
5 record: 10 yeases, 2 noes, 1 abstention.

6 The chairperson will go down the list, and
7 each voting member will state their name and their
8 vote into the record. You can also state the
9 reason why you voted as you did, if you'd like,
10 however, you should also address any subparts of
11 the voting question, if any.

12 I'll turn the floor back over to our chair.
13 Thank you.

14 DR. LEWIS: Thank you.

15 We will go down the list and have everyone
16 who voted state their name and vote into the
17 record. You may also provide justification of your
18 vote, if you wish.

19 We'll start with Dr. Bairey Merz.

20 DR. BAIREY MERZ: Noel Bairey Merz,
21 Cedars-Sinai Medical Center, Los Angeles. I voted
22 yes, and for all of the reasons previously stated.

1 Thank you.

2 DR. LEWIS: Dr. Christopher O'Connor?

3 DR. O'CONNOR: Christopher O'Connor. I
4 voted no; again, millions of patients sadly with
5 this condition; 200-plus patient trial; 116 on
6 active therapy; modest efficacy on a surrogate
7 endpoint. As a community, I think we must do
8 better for our patients. Thank you.

9 DR. LEWIS: Thank you.

10 Dr. Kasper?

11 DR. KASPER: Ed Kasper. Johns Hopkins. I
12 voted yes for all the reasons that I've already
13 gone through, with the additional thought being
14 that I really don't have any choice. With the
15 surrogate endpoint without any hard outcomes, at
16 this point, the whole point would be to drive
17 phosphorus as low as you can get it, and I think
18 this drug can help do that.

19 DR. LEWIS: Thank you.

20 Dr. de Boer?

21 DR. DE BOER: Ian de Boer. No. I firmly
22 believe in individualizing care and empowering

1 shared decision making by providers and patients.
2 But I do think that to be successful, this requires
3 reliable information, and I think that our safety
4 clinicians deserve better data to guide their
5 decisions. Thank you.

6 DR. LEWIS: Thank you.

7 Ms. Alikhaani?

8 MS. ALIKHAANI: Yes. This is Jacqueline
9 Alikhaani. I voted abstain because it's very
10 difficult for me to compare benefits and risks
11 without all of the data that was advocated earlier
12 and throughout the meeting, data that's needed from
13 additional clinical trials. I think that the
14 patient voice is very important, and I'm a patient
15 myself. I'm a healthcare consumer myself, but I
16 think that when you have all of the data that you
17 need, then you can really make a fully informed
18 decision, and this is really important. Thank you.

19 DR. LEWIS: Thank you.

20 Dr. Butler?

21 DR. BUTLER: Dr. Lewis, thank you. This is
22 Javed Butler. I voted yes. This was, again, a

1 reluctant yes for the very same reasons that I
2 stated for question 4. Thank you very much.

3 DR. LEWIS: Julia Lewis. I voted yes for
4 the same reasons.

5 Dr. Fried?

6 DR. FRIED: This is a Linda Fried. I voted
7 yes for the same reasons. I actually think this is
8 the population that are most likely to use
9 medication, and think we can manage the side
10 effects.

11 DR. LEWIS: Thank you.

12 Dr. Nachman?

13 DR. NACHMAN: Thank you, Dr. Lewis. Patrick
14 Nachman. I voted yes for the converse reasons. I
15 voted no previously for monotherapy. Essentially,
16 I can summarize my thought to giving the benefit of
17 the doubt. Recognizing all of the limitations of
18 our data, if there is a patient population that is
19 likely to benefit from substantial reduction in
20 serum phosphorus, it would be those who have very
21 severe hyperphosphatemia or complications thereof.

22 Dr. Moe has swayed my vote here. The idea

1 of patients with blood vessels turning into bone is
2 resonating in my mind here, and those patients are
3 not likely to get control with monotherapy; they
4 are likely to require multiple agents. And if
5 tenapanor can get them to the finish line, in
6 addition to other agents, I think it's worth having
7 that option on the table. Here again, I think that
8 I would encourage the sponsor to give us data for
9 these difficult hard outcomes about calcification
10 [inaudible] or calciphylaxis. Thank you.

11 DR. LEWIS: Thank you.

12 Mr. Conway?

13 MR. CONWAY: Thank you. Paul Conway, voting
14 yes, for reasons previously stated, and another
15 very, very important reason.

16 I think that for the medical professionals
17 that are trying to do the right thing, who are in
18 the arena every day trying to help patients and
19 those who go untreated, this gives them the option
20 of taking status quo treatments that are out there,
21 that are FDA approved, and adding to it another
22 tool, and then working in combination with the

1 patient and the best therapeutics to try to arrive
2 at the best solution for each patient. Thank you.

3 DR. LEWIS: Thank you.

4 Dr. Emerson?

5 DR. EMERSON: Yes. Scott Emerson. I voted
6 yes for reasons that I basically outlined before.
7 And despite the fact that in this exact area, it's
8 sparse data over a small amount of time, but I
9 think the other trial data contributed some
10 information.

11 DR. LEWIS: Thank you.

12 Dr. Mendley?

13 DR. MENDLEY: Susan Mendley. I voted yes.
14 As before, I think this is a safe and worthwhile
15 tool to individualize therapy, and I voted to
16 approve.

17 DR. LEWIS: Thank you.

18 Dr. Cook?

19 DR. COOK: Yes. I voted yes for the same
20 reasons as previous. Thank you.

21 DR. LEWIS: Well, thank you all. That makes
22 my summarizing job easier.

1 I think that, pretty much, if you were going
2 to vote for monotherapy, add-on therapy is probably
3 even more supported. It's more likely to be the
4 population that it's going to be used and then
5 benefit from, people with very severe
6 hyperphosphatemia, and people that just need a
7 little push to get past that finish line.

8 I think there was an expression of faith and
9 trust in the medical environment and professionals
10 to have another tool to use to individualize for
11 specific patients. Study 202 was reassuring. On
12 the other hand, adding yet another drug to exposing
13 a very large population of patients for only a
14 surrogate outcome, with only a small effect and
15 potential side effects, was one of the no reasons.
16 And even though individualizing care is important,
17 doing that in the absence of reliable information is
18 concerning and resulted in a no vote, and that
19 better studies would be needed.

20 Before we adjourn, are there any last
21 comments from the FDA?

22 DR. THOMPSON: Dr. Lewis, this is Aliza

1 Thompson. I just want to say thank you to all of
2 the committee members. We greatly appreciate the
3 discussion today and very much will take into
4 consideration, obviously, what we heard from all of
5 you today. Have a wonderful day.

6 **Adjournment**

7 DR. LEWIS: I want to thank all the members
8 of the FDA for their thoughtful stewardship for the
9 process and with a very difficult question that
10 they went through. It was, I think, quite
11 difficult. I want to thank the sponsor for
12 persevering through that process and a clear
13 presentation; the public for sharing their
14 perspective and input; and especially the members
15 of this committee for their dedication and hard
16 work to benefit the public.

17 We will now adjourn the meeting. Thank you.

18 (Whereupon, at 4:24 p.m., the meeting was
19 adjourned.)
20
21
22