

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

DERMATOLOGIC AND OPHTHALMIC DRUGS
ADVISORY COMMITTEE (DODAC) MEETING

Virtual Meeting

Monday, January 9, 2023
9:31 a.m. to 3:56 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY

COMMITTEE MEMBERS (Voting)

James Chodosh, MD, MPH

(Chairperson)

Professor and Chair

Department of Ophthalmology and

Visual Sciences

University of New Mexico School of Medicine

Albuquerque, New Mexico

1 **Todd Durham, MS, PhD**

2 *(Consumer Representative)*

3 Vice President

4 Clinical and Outcomes Research

5 Foundation Fighting Blindness

6 Raleigh, North Carolina

7

8 **Timothy Murray, MD, MBA, FACS**

9 Director

10 Miami Ocular Oncology and Retina

11 Professor (Tenured, Emeritus)

12 Bascom Palmer Eye Institute

13 Miami, Florida

14

15 **DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY**

16 **COMMITTEE MEMBER (Non-Voting)**

17 **Ercem Atillasoy, MD**

18 Chief Regulatory & Safety Officer

19 Jazz Pharmaceuticals

20 Philadelphia, Pennsylvania

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Michael F. Chiang, MD**

3 Director

4 National Eye Institute

5 National Institutes of Health (NIH)

6 Bethesda, Maryland

7

8 **Janine A. Clayton MD, FARVO**

9 Associate Director for Research on Women's Health

10 Director, Office of Research on Women's Health

11 NIH

12 Bethesda, Maryland

13

14 **Elizabeth Joniak-Grant, PhD**

15 *(Patient Representative)*

16 Chapel Hill, North Carolina

17

18

19

20

21

22

1 **Michael Lai, MD, PhD**

2 Adult and Pediatric Retina Specialist

3 The Retina Group of Washington

4 Chevy Chase, Maryland

5 Assistant Clinical Professor of Ophthalmology

6 Georgetown University School of Medicine

7 Washington, District of Columbia

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Charles J. Ganley, MD**

11 Director

12 Office of Specialty Medicine

13 Office of New Drugs (OND), CDER, FDA

14

15 **Wiley A. Chambers, MD**

16 Director

17 Division of Ophthalmology (DO)

18 OSM, OND, CDER, FDA

19

20 **William M. Boyd, MD**

21 Deputy Director

22 DO, OSM, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	James Chodosh, MD	8
5	Introduction of Committee	
6	LaToya Bonner, PharmD	8
7	Conflict of Interest Statement	
8	LaToya Bonner, PharmD	14
9	FDA Introductory Remarks	
10	Wiley Chambers, MD	18
11	Applicant Presentations - Regeneron	
12	Pharmaceuticals, Inc.	
13	EYLEA® (aflibercept) for the Treatment of	
14	Retinopathy of Prematurity Introduction	
15	Boaz Hirshberg, MD, MBA	20
16	Disease Background and Unmet Need	
17	Faruk Öрге, MD	26
18	Efficacy	
19	Robert Vitti, MD, MBA	42
20	Safety	
21	Suzanne Green, MBChB	52
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Clinical Perspective	
4	Steven Donn, MD, FAAP, FAARC	60
5	Clarifying Questions to Applicant	66
6	FDA Presentations	
7	EYLEA (aflibercept)	
8	Treatment of Retinopathy of Prematurity	
9	Wiley Chambers, MD	90
10	Clarifying Questions to FDA	108
11	Clarifying Questions to Applicant (con't)	120
12	Open Public Hearing	126
13	Clarifying Questions (continued)	149
14	Questions to the Committee and Discussion	156
15	Adjournment	250
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(9:31 a.m.)

Call to Order

DR. CHODOSH: Good morning, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Audra Harrison, and her email and phone number should be displayed in a moment.

My name is Dr. James Chodosh, and I will be chairing this meeting. I now call the January 9, 2023 Dermatologic and Ophthalmic Drugs Advisory Committee meeting to order. Dr. LaToya Bonner is the designated federal official for this meeting and will begin with the introduction.

Dr. Bonner?

Introduction of Committee

CDR BONNER: Thank you, sir.

Good morning. My name is LaToya Bonner, and I'm the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation. I

1 will start with our industry representative,
2 Dr. Atillasoy.

3 (No response.)

4 CDR BONNER: Dr. Atillasoy, can you hear me?

5 (No response.)

6 CDR BONNER: Okay. I will proceed forward
7 to our chairperson.

8 Dr. Chodosh, can you please reintroduce
9 yourself, and then I will go back --

10 DR. ATILLASOY: Can you hear me now?

11 CDR BONNER: -- to you, Dr. Atillasoy.

12 I can hear you now, sir. Go ahead. Yes, I
13 can.

14 DR. ATILLASOY: Good. Sorry about that. I
15 keep speaking on mute.

16 CDR BONNER: No problem.

17 DR. ATILLASOY: Good morning. I'm Dr. Ercem
18 Atillasoy. I'm the chief regulatory safety officer
19 at Jazz Pharmaceuticals.

20 CDR BONNER: Thank you, sir.

21 Next, we'll have our chairperson. Please
22 reintroduce yourself, sir.

1 DR. CHODOSH: Hi. Dr. Jim Chodosh. I'm the
2 chair of Ophthalmology and Visual Sciences at the
3 University of New Mexico and chairperson for this
4 meeting. Thank you.

5 CDR BONNER: Thank you, sir.

6 Next, we have Dr. Durham.

7 DR. DURHAM: Good morning. This is Todd
8 Durham. I'm the senior vice president of Clinical
9 and Outcomes Research with the Foundation Fighting
10 Blindness. I'm the consumer representative.

11 CDR BONNER: Thank you, sir.

12 Dr. Murray, please introduce yourself and
13 your affiliation, sir.

14 DR. MURRAY: Good morning. I'm Dr. Timothy
15 Murray. I represent Miami Ocular Oncology and
16 Retina, in Miami. Thank you.

17 CDR BONNER: Thank you.

18 Next, we'll have Dr. Chiang. Please
19 introduce yourself, sir.

20 DR. CHIANG: Hi. I'm Michael Chiang. I'm
21 director of the National Eye Institute.

22 CDR BONNER: Thank you.

1 Next, we'll have Dr. Clayton. Please
2 introduce yourself.

3 DR. CLAYTON: Good morning. I'm Janine.
4 Clayton, the NIH associate director for Research on
5 Women's Health and the director for the NIH office
6 of Research on Women's Health.

7 CDR BONNER: Thank you, ma'am.

8 Next, we'll have Dr. Joniak-Grant.

9 DR. JONIAK-GRANT: Hi. I'm Elizabeth
10 Joniak-Grant. I'm serving today as the patient
11 representative, and my current affiliation is with
12 the University of North Carolina Injury Prevention
13 Research Center.

14 CDR BONNER: Thank you, ma'am.

15 Next, we'll have Dr. Lai. Please introduce
16 yourself and your affiliation, sir.

17 DR. LAI: Good morning. My name is
18 Dr. Michael Lai. I am a retina specialist with The
19 Retina Group of Washington here in Washington, DC.
20 I also hold a faculty position with Georgetown
21 School of Medicine, and I was formerly the chief of
22 pediatric retina at Children's National Medical

1 Center.

2 CDR BONNER: Thank you, sir.

3 We'll have our FDA participants, starting
4 with Dr. Ganley. Please introduce yourself, sir.

5 DR. GANLEY: Hi. I'm Charley Ganley. I'm
6 the director of Office of Specialty Medicine in the
7 Office of New Drugs, in CDER.

8 CDR BONNER: Thank you.

9 Next, we'll have Dr. Chambers.

10 DR. CHAMBERS: Good morning. I am Wiley
11 Chambers. I am the director of the Division of
12 Ophthalmology in the Office of Specialty Medicine.

13 CDR BONNER: Thank you.

14 Next, we'll have Dr. Boyd.

15 DR. BOYD: Hi. Good morning. I'm William
16 Boyd. I'm the deputy director, Division of
17 Ophthalmology.

18 CDR BONNER: Thank you, sir.

19 I will now turn this meeting back over to
20 our chairperson, Dr. Chodosh.

21 DR. CHODOSH: Thank you, Dr. Bonner.

22 For topics such as those being discussed at

1 this meeting, there are often a variety of
2 opinions, some of which are quite strongly held.
3 Our goal for this meeting is that there will be a
4 fair and open forum for discussion of these issues,
5 and that individuals can express their views
6 without interruption. Thus, as a gentle reminder,
7 individuals will be allowed to speak into the
8 record only if recognized by the chairperson,
9 myself, and we look forward to a productive
10 meeting.

11 In the spirit of the Federal Advisory
12 Committee Act and the Government in the Sunshine
13 Act, we ask that advisory committee members take
14 care that their conversations about the topic at
15 hand take place in the open forum of the meeting.
16 We are well aware that members of the media are
17 anxious to speak with the FDA about these
18 proceedings, however, FDA will refrain from
19 discussing the details of this meeting with the
20 media until its conclusion. Also, the committee is
21 reminded to please refrain from discussing the
22 meeting topic during breaks or lunch. Thank you.

1 Dr. Bonner will now read the Conflict of
2 Interest Statement for the meeting.

3 **Conflict of Interest Statement**

4 CDR BONNER: Thank you, sir.

5 The Food and Drug Administration is
6 convening today's meeting of the Dermatologic and
7 Ophthalmic Drugs Advisory Committee under the
8 authority of the Federal Advisory Committee Act of
9 1972. With the exception of the industry
10 representative, all members and temporary voting
11 members of the committee are special government
12 employees or regular federal employees from other
13 agencies and are subject to federal conflict of
14 interest laws and regulations.

15 The following information on the status of
16 this committee's compliance with federal ethics and
17 conflict of interest laws, covered by but not
18 limited to those found at 18 U.S.C. Section 208, is
19 being provided to participants in today's meeting
20 and to the public.

21 FDA has determined that members and
22 temporary voting members of this committee are in

1 compliance with federal ethics and conflict of
2 interest laws. Under 18 U.S.C. Section 208,
3 Congress has authorized FDA to grant waivers to
4 special government employees and regular federal
5 employees who have potential financial conflicts
6 when it is determined that the agency's need for a
7 special government employee's services outweighs
8 his or her potential financial conflict of
9 interest, and when the interest of a regular
10 federal employee is not so substantial as to be
11 deemed likely to affect the integrity of the
12 services which the government may expect from the
13 employee.

14 Related to the discussions of today's
15 meeting, members and temporary voting members of
16 this committee have been screened for potential
17 financial conflicts of interest of their own as
18 well as those imputed to them, including those of
19 their spouses or minor children and, for purposes
20 of 18 U.S.C. Section 208, their employers. These
21 interests may include investments; consulting;
22 expert witness testimony; contracts, grants,

1 CRADAs; teaching, speaking, writing; patents and
2 royalties; and primary employment.

3 Today's agenda involves supplemental
4 biologics license application 125387, aflibercept
5 solution for intravitreal injection, submitted by
6 Regeneron Pharmaceuticals, Incorporated. The
7 supplement was submitted in response to the FDA's
8 pediatric written request. FDA's written request
9 was for studies of aflibercept in the treatment of
10 retinopathy of prematurity. This is a particular
11 matters meeting during which specific matters
12 related to Regeneron's sBLA will be discussed.

13 Based on the agenda for today's meeting and
14 all financial interest reported by the committee
15 members and temporary voting numbers, no conflict
16 of interest waivers have been issued in connection
17 with this meeting. To ensure transparency, we
18 encourage all standing committee members and
19 temporary voting members to disclose any public
20 statements that they have made concerning the
21 product at issue.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that
2 Dr. Ercem Atillasoy is participating in this
3 meeting as a non-voting industry representative
4 acting on behalf of regulated industry.

5 Dr. Atillasoy's role at this meeting is to
6 represent industry in general and not any
7 particular company. Dr. Atillasoy is employed by
8 Jazz Pharmaceuticals.

9 We would like to remind members and
10 temporary voting members that if the discussions
11 involve any other products or firms not already on
12 the agenda for which an FDA participant has a
13 personal or imputed financial interest, the
14 participants need to exclude themselves from such
15 involvement, and their exclusion will be noted for
16 the record. FDA encourages all other participants
17 to advise the committee of any financial
18 relationships that they may have with the firm at
19 issue. Thank you.

20 I will now turn the meeting back over to our
21 chair, Dr. Chodosh.

22 DR. CHODOSH: Thank you, Dr. Bonner.

1 We will now proceed with the FDA
2 introductory remarks from Dr. Wiley Chambers.

3 **FDA Introductory Remarks - Wiley Chambers**

4 DR. CHAMBERS: Good morning. On behalf of
5 the FDA Center for Drug Evaluation and Research,
6 Office of New Drugs, Office of Specialty Medicine,
7 and Division of Ophthalmology, I would like to
8 welcome all the members of this advisory committee
9 and all those listening in to the discussion today.

10 Today we have brought to the committee a
11 supplemental application for EYLEA, also known as
12 aflibercept, for the treatment of retinopathy of
13 prematurity. This is a rare orphan condition in
14 which there is no current pharmacological therapy.
15 We very much appreciate the time spent by the
16 advisory committee staff, advisory committee
17 members, and their expertise that they bring to
18 this meeting in the hope that we can provide a more
19 complete labeling for this potential product when
20 it is introduced into the market.

21 Again, I cannot minimize how much we
22 appreciate the time that you spent both looking at

1 the pre-material, as well as your discussion and
2 remarks today. Thank you.

3 (Pause.)

4 CDR BONNER: LaToya Bonner, DFO for this
5 meeting. I will now turn the floor back over to
6 our chair, Dr. Chodosh.

7 DR. CHODOSH: Thank you. Sorry. I believe
8 that I was muted.

9 Both the Food and Drug Administration, FDA,
10 and the public believe in a transparent process for
11 information gathering and decision making. To
12 ensure such transparency at the advisory committee
13 meeting, FDA believes that it is important to
14 understand the context of an individual's
15 presentation.

16 For this reason, FDA encourages all
17 participants, including the applicant's
18 non-employee presenters, to advise the committee of
19 any financial relationships that they may have with
20 the applicant such as consulting fees, travel
21 expenses, honoraria, and interest in the applicant,
22 including equity interests and those based upon the

1 outcome of the meeting.

2 Likewise, FDA encourages you at the
3 beginning of your presentation to advise the
4 committee if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your presentation, it will not preclude you from
8 speaking.

9 We will now proceed with Regeneron's
10 presentations.

11 **Applicant Presentation - Boaz Hirshberg**

12 DR. HIRSHBERG: Good morning, Dr. Chodosh,
13 members of the committee, and the FDA. I am Boaz
14 Hirshberg, senior vice president of Clinical
15 Sciences General Medicine at Regeneron
16 Pharmaceuticals. We are pleased to be here today
17 to share the safety and efficacy data of
18 aflibercept 0.4 milligrams for the treatment of
19 retinopathy of prematurity or ROP.

20 Aflibercept 2 milligrams, also known as
21 EYLEA, is an FDA-approved anti-vascular endothelial
22 growth factor or anti-VEGF injection. It was

1 originally approved in 2011 for the treatment of
2 neovascular or wet age-related macular
3 degeneration. Since then, it has also been
4 approved for macular edema following retinal vein
5 occlusion, diabetic macular edema, and diabetic
6 retinopathy.

7 Aflibercept is also authorized for adults in
8 most of these indications in more than
9 100 countries outside of the U.S., so it's recently
10 approved for ROP in Japan and in the European
11 Union. Let's briefly review the mechanism of
12 action.

13 The VEGF pathway is well understood, and
14 VEGF plays an important role during normal
15 embryonic vascular development. However, preterm
16 birth can interrupt normal retinal development,
17 which typically completes by 39-40 weeks. When
18 this occurs, the avascularized and ischemic retina
19 upregulates VEGF and other related cytokines.

20 The overexpression of VEGF can result in
21 pathological neovascularization and increase
22 vascular permeability, key characteristics of ROP.

1 Once injected, aflibercept binds to VEGF with a
2 very high affinity. This prevents activation of
3 the receptors, halting the formation of abnormal
4 blood vessels and reducing vascular permeability.

5 Let me briefly review the regulatory history
6 for aflibercept in ROP. Based on the severity of
7 the disease, lack of approved pharmacologic
8 treatments in the U.S., and the potential benefit
9 of aflibercept in ROP, Regeneron received a
10 pediatric written request from the FDA in June of
11 2019. In agreement with agency, we initiated the
12 ROP program, including two global clinical studies.
13 All study protocols and statistical analysis plans
14 were approved under a special protocol assessment.

15 In July 2019, aflibercept was granted orphan
16 drug designation based on the rarity of the
17 disease. In August of 2022, Regeneron submitted
18 the sBLA for aflibercept for the treatment of ROP.
19 In October, a pediatric exclusivity extension was
20 granted by the FDA, indicating that all commitments
21 have been met.

22 The indication and the recommended dose of

1 aflibercept as shown in the FDA's briefing document
2 is 0.4 milligrams administered by intravitreal
3 injection for the treatment of retinopathy of
4 prematurity.

5 Turning now to the data supporting this
6 additional indication, despite the severity of ROP,
7 laser photocoagulation is the only FDA cleared
8 treatment in common use, and there are no
9 FDA-approved pharmacologic treatment options. The
10 aflibercept development program for ROP, which
11 includes two adequate and well- controlled clinical
12 trials, was designed to provide another primary
13 treatment option for this severe vision-impairing
14 disease.

15 We acknowledge that the primary endpoint did
16 not meet the prespecified non-inferiority criteria
17 compared to laser therapy, however, consistent
18 efficacy was demonstrated, and the efficacy data
19 cannot be viewed in isolation. Today you will hear
20 that aflibercept provides meaningful clinical and
21 practical benefit compared to laser therapy, and
22 aflibercept data builds upon data from commonly

1 used but unapproved anti-VEGF treatment.

2 Importantly, the positive efficacy comes
3 with an expected and acceptable safety profile in
4 preterm infants. It's further supported by more
5 than a decade of FDA-approved use in adult
6 indications, with more than 50 million doses
7 administered. I want to emphasize that aflibercept
8 will not replace laser treatments for all patients;
9 rather it can act as an alternative initial therapy
10 and can complement later laser therapy for those
11 who may need additional treatment.

12 We are here today to share the clinical data
13 with the committee and, as FDA notes in your
14 briefing document, discuss the proposed label
15 changes for EYLEA in ROP. Encompassed in FDA's
16 written request for pediatric studies, data from
17 the ROP program will be included within labeling.
18 The label is an important tool to inform physicians
19 of the proper use of dosing of aflibercept for ROP.
20 We have reviewed the FDA's proposed changes to the
21 label and are aligned with their recommendations.

22 As with any anti-VEGF treatment, aflibercept

1 requires adjustments in monitoring frequency
2 compared to laser therapy. With approval,
3 proactive education on appropriate patient
4 follow-up could be provided to prescribers.
5 Approval would also allow for regulated
6 pharmacovigilance to monitor and report ongoing
7 safety.

8 In addition, we recognize the need for
9 long-term follow-up for anti-VEGFs. Such follow-up
10 through 5 years of age is currently underway within
11 the extension studies. We look forward to the
12 committee's discussion today. This will inform our
13 later discussion with FDA on how best to
14 communicate to physicians and caregivers the use of
15 aflibercept in ROP.

16 With this information in mind, here is the
17 agenda for the remainder of today's presentation.
18 Dr. Faruk Öрге will describe the disease background
19 and unmet medical needs. Dr. Robert Vitti will
20 present the clinical efficacy data followed by
21 Dr. Suzanne Green, who will review the safety
22 profile, and Dr. Steve Donn will conclude with his

1 clinical perspective. We also have additional
2 experts with us today to answer your questions.
3 All outside experts have been compensated for their
4 time in today's meeting.

5 Thank you. I will now turn the presentation
6 to Dr. Öрге.

7 **Applicant Presentation - Dr. Faruk Öрге**

8 DR. ÖRGE: Thank you, Dr. Hirshberg.

9 Good morning. I'm Faruk Öрге, a professor
10 of ophthalmology and pediatrics at Case Western
11 Reserve University, and the director of pediatric
12 ophthalmology at Rainbow Babies and Children's
13 Hospital. I was also one of the investigators for
14 the BUTTERFLEYE study. I truly appreciate the
15 opportunity to be here today and share the disease
16 background and unmet medical need for this serious
17 disease. I've been in this field for 18 years, and
18 can honestly say, unfortunately, we still are not
19 where we need to be. An FDA-approved, easy to
20 administer, accessible pharmaceutical treatment
21 with comparable efficacy and safety to current
22 options would be an important advance for infants

1 with ROP.

2 Retinopathy of prematurity is a rare,
3 vision-impairing and potentially blinding retinal
4 disease, and while rare, ROP is the leading cause
5 of preventable childhood blindness worldwide, and
6 due to improved survival of extremely preterm
7 newborns, the incidence is increasing. The disease
8 is characterized by incomplete retinal
9 vascularization and pathologically vascularization,
10 and it is most common and usually more severe in
11 babies born before 32 weeks and weighing less than
12 1500 grams or 3.3 pounds. Let me expand on why and
13 how ROP occurs.

14 When a healthy baby is growing in utero, the
15 retinal vessels are fully grown by the time the
16 baby is almost full term, which is at about 38 to
17 40 weeks of gestation or by 8 to 9 months. When
18 the baby is born early, the blood vessels in their
19 eyes haven't finished developing as would be
20 expected.

21 For example, if the baby is born at 6 months
22 or 24 weeks gestation, the baby's vessels would

1 only grow to this point. When the babies are in a
2 different environment outside their mother's womb,
3 they may be exposed to severe multisystem problems.
4 Because of this, normal vessel growth may slow and
5 abnormal vessels may grow.

6 Also, many of the things that premature
7 babies need to survive, such as various medicines,
8 supplemental oxygen, bright light or temperature,
9 can stimulate VEGF production. This can cause
10 abnormal blood vessel growth, and these abnormal
11 vessels are fragile. They can bleed and tear the
12 tissues apart.

13 Here you see a photograph and angiograph
14 image of a baby's retina with significant ROP. The
15 normal blood vessels stop growing and a ridge is
16 seen separating the vascularized retina from the
17 avascular retina, which is the dark area. In many
18 cases, ROP goes away on its own as an infant grows;
19 however, for babies with severe ROP like the one
20 shown in these images, treatment is needed.

21 The international classification of ROP
22 provides the mapping of the disease. It helps to

1 tell us the zone or how far in the eye the normal
2 vessels have grown; the stage to define the
3 severity of the disease; and whether or not we see
4 plus disease, which are significant vascular
5 changes in the posterior pole.

6 The zone is classified by how far the normal
7 retinal vessels have managed to grow. The retina
8 is divided into three zones. ROP can develop in
9 any of these zones. Zone I ROP, starting in the
10 center of the eye, is the most severe form. There
11 are five stages of ROP, further indicating the
12 severity.

13 Stage 1 is characterized by a demarcation
14 line between the vascular and avascular retina;
15 stage 2 is where the demarcation line converts to a
16 ridge; and stage 3 involves extra retinal
17 fibrovascular proliferation or neovascularization.
18 We get nervous about ROP when the process advances
19 to stage 3.

20 We want to apply treatment before the ROP
21 progresses to stage 5. Once ROP gets to these
22 later stages, defined as partial or total retinal

1 detachment, extensive surgery is often required,
2 and the probability of ROP affecting the vision
3 significantly increases.

4 Plus disease is when the vascular shunting
5 is so severe in the border of the ROP that the
6 posterior pole veins are enlarged and the arteries
7 are tortuous. In many cases, plus disease is the
8 indication for treatment.

9 Shown here are examples of mild, moderate,
10 and severe plus disease. Aggressive posterior ROP,
11 or AP-ROP, is an uncommon, rapidly progressing and
12 severe form of ROP. If left untreated, it will
13 usually progress to stage 4 and 5 in a matter of
14 days. In this aggressive form of ROP, the plus
15 disease is seen even in early stages. Among eye
16 surgeons, there is a consensus to use anti-VEGF
17 first-line therapy for this type of ROP, which is
18 now referred to as AR-ROP or aggressive ROP.

19 It's important to emphasize that many babies
20 may have ROP, but not all will need treatment. If
21 they meet certain criteria, progression to severe
22 ROP is likely, and treatment is warranted. ROP

1 that meets these criteria is called type 1 ROP and
2 is generally defined as any stage in zone disease
3 with plus disease or stage 3 zone I disease, even
4 without plus disease.

5 A major goal in ROP treatment is to avoid
6 retinal detachment, extensive surgery, and the risk
7 of vision-related complications and blindness.
8 When the baby gets to type 1 ROP, timely treatment
9 is critical. It must be applied within 72 hours.

10 Today there are two common treatments for
11 ROP worldwide: retinal laser photocoagulation and
12 intravitreal injection of an anti-VEGF agent. Both
13 options aim to stop abnormal blood vessel growth by
14 decreasing the production or signaling of VEGF.

15 National organizations such as AAP, AAPOS,
16 and AAO acknowledge off-label use of anti-VEGF, the
17 potential of these treatments, and recommendations
18 for follow-up. There are no anti-VEGF or any other
19 pharmacological agents currently approved in the
20 United States. This leaves only retinal
21 destructive laser therapy or off-label, anti-VEGF
22 options.

1 Laser therapy is effective, but it comes
2 with challenges, particularly as a primary
3 treatment for preterm babies. Expanding on this,
4 as laser treatment is significantly longer than
5 intravitreal injection, it requires a baby to be
6 under sedation or anesthesia, and the exposure to
7 these agents is increased. The procedure also
8 often requires babies to undergo endotracheal
9 intubation and needs to be in a designated native
10 location for laser application.

11 Laser requires a lengthy training period due
12 to its complexity. Improper administration can
13 lead to variable outcomes. All of these can limit
14 access to care or require babies to be moved to a
15 specialized setting. It's also important to
16 emphasize that laser treatment is inherently
17 destructive. In fact, destruction actually equates
18 the efficacy. Seen here is an image of a retina
19 that has received laser therapy. Laser burns away
20 the edge of the retina to prevent blood vessel
21 growth, but in doing so it results in loss of
22 peripheral vision and a number of other possible

1 complications, which could occur immediately or
2 over time.

3 Studies show a reported 50 percent of
4 patients will eventually develop high myopia at
5 some point in early childhood. Laser burns cause
6 local inflammation due to thermochemical changes.
7 This results in permanent scarring as seen in this
8 image. The bigger the area that requires laser
9 treatment, the more widespread the damage. The
10 younger the baby at the time of the laser therapy,
11 the more destructive it is to the retina since we
12 need to laser a larger area.

13 For example, for a baby receiving laser at
14 35 weeks post-menstrual age, we need to laser the
15 entire area seen here in blue. Now, if the baby
16 needs treatment at a later time, let's say closer
17 to 40 weeks, the normal vessels are more developed.
18 At this point, we need to only laser this light
19 blue area. In that case, with less laser applied
20 to the eye, there will be a lower chance of
21 unfortunate side effects such as loss of peripheral
22 vision, high myopia, and/or other problems.

1 Here you see retinal images of two patients
2 who received laser treatment. The first patient
3 was treated at 30 weeks and the second was treated
4 at 38 weeks of post-menstrual age. In the second
5 patient, significantly less laser treatment was
6 needed, and therefore you see less post-laser
7 scarring.

8 In addition, as I mentioned, laser therapy
9 is extremely challenging to administer and not
10 always an option for fragile babies. Let me
11 explain what a surgeon must do to perform the
12 procedure.

13 The surgeon needs to focus laser spot on the
14 retina and move from one target to another, making
15 only very small head movements, all the while
16 maintaining stability of the lens, helping to focus
17 the image of the retina and stabilizing the eye;
18 all the while pressing a pedal with one foot that
19 fires the laser shots one tap at a time.

20 Hence, it takes a long time to gain the
21 muscle memory and skill to adjust the distance,
22 tilt, and position of the magnifying lens to allow

1 good visualization of various parts of the retina,
2 let alone to administer the laser perfectly one
3 after another. Then for each eye, the surgeon
4 must repeat all of this 1500 to 2000 times. The
5 surgeon also must travel around the baby's head to
6 apply the laser to the baby's retina for 360
7 degrees inside the eyes as shown here.

8 All of this must be done quickly and
9 efficiently before the eye dries and the view
10 declines. From time to time, the surgeon must
11 break position to administer moistening eye drops,
12 and then regain position. The babies are very
13 fragile, and treatment is paused frequently due to
14 bradycardia, apnea, and defining oxygen saturation.

15 It also becomes difficult to apply laser
16 around the commonly used mask nasal cannula, CPAP
17 breathing units, and endotracheal tube. And even
18 with the most experienced and trained eye surgeon
19 applying laser, in a relative facility there are
20 many scenarios when laser simply cannot be
21 administered.

22 The early data prompting off-label use of

1 anti-VEGFs have been promising, yet preliminary.
2 Two studies have been published, one with
3 bevacizumab and one with ranibizumab, all through
4 randomized, open-label studies comparing anti-VEGF
5 to laser therapy. The BEAT-ROP study looked at
6 bevacizumab, the most commonly used anti-VEGF agent
7 due to its accessibility, though there is lack of
8 consensus regarding the most appropriate dose since
9 the study was published.

10 This study enrolled 75 patients per group
11 stratified by zone I or II disease. Patients were
12 followed for about 20 weeks. Significant treatment
13 differences were seen between anti-VEGF and laser
14 therapy for patients with zone I ROP but not
15 zone II.

16 The more recent RAINBOW study assessed
17 different doses of ranibizumab versus laser
18 therapy. Eighty percent of patients in the
19 0.2-milligram group achieved success at 24 weeks.
20 This data set led to the approval of ranibizumab in
21 Europe.

22 We need a drug with a well-studied efficacy

1 and safety profile and well-understood dosing.
2 There are many scenarios, I can assure, where an
3 anti-VEGF treatment is used and preferred to treat
4 a patient with ROP. Anti-VEGF therapy rapidly
5 neutralizes VEGF, which is particularly useful in
6 the treatment of aggressive ROP.

7 Anti-VEGF treatment is quick. It is a quick
8 procedure often applied with only topical
9 anesthesia. It can be administered at bedside and
10 can be administered even with poor pupil dilation.
11 Since it doesn't destroy the retina, it potentially
12 preserves the visual field, and it leads to less
13 high myopia compared to laser therapy. Basic
14 science suggests that anti-VEGF promotes growth of
15 the normal vasculature while shrinking the growth
16 of abnormal vessels.

17 Routine training of intravitreal injection
18 is a part of the curriculum of every ophthalmology
19 residency and appropriate fellowship program.
20 Again, laser therapy still has an important place
21 in treatment, particularly as babies get older, but
22 anti-VEGFs have clear advantages in particular as

1 first-line therapy.

2 Let me expand on a scenario where anti-VEGF
3 was used in an actual patient. This is a zone I
4 ROP with plus disease that required treatment. The
5 small arrows indicate the border where the vascular
6 zone ends and a vascular zone starts. Right along
7 that border, the red thick line indicates
8 significant neovascularization. Also note the
9 dilation of veins and tortuosity of the arteries.
10 It is still somewhat difficult to see the details
11 due to the underlying pink tissue that masks the
12 contrast, so here is an angiography of the same
13 patient, providing a better visual.

14 Bright wide structures at the border are all
15 significant neovascularization. We injected an
16 anti-VEGF agent into the eye. This angiography
17 shows the patient's eye one month after anti-VEGF
18 treatment. Note that the normal retinal
19 vasculature has grown, neovascularization has
20 completely disappeared, and plus disease has also
21 regressed with no tortuosity seen in the arteries
22 anymore.

1 Due to published data and the practical
2 advantages we see with anti-VEGF treatment, U.S.
3 physicians are utilizing more and more off-label
4 anti-VEGF treatments. In fact, off-label anti-VEGF
5 is replacing laser as the primary treatment for
6 ROP. In a 2019 study, using data from the Vermont
7 Oxford Network of more than 380,000 very low birth
8 weight infants across more than 800 U.S.
9 participating in NICUs, they saw a significant
10 increase in anti-VEGF treatment over the past
11 10 years.

12 I'd like to emphasize that this large
13 clinical study was done not by ophthalmologists,
14 but by neonatologists, and it confirms what I have
15 seen in clinical practice; that both specialties
16 are collaborating in treating these very critical
17 babies, and frequently agreeing that anti-VEGF
18 should be the first line of therapy. The entire
19 treatment algorithm is under the oversight of the
20 NICU. Together, they decide what treatment, if
21 any, is best for the baby.

22 Here is another publication showing the same

1 trends, in this case, the rise in anti-VEGF
2 treatment over 10 years in 27 U.S. states. While
3 fewer babies were treated for ROP in 2020, the
4 percentage of anti-VEGF treatment compared to laser
5 remains prominent. We know that the growth of the
6 normal vessels could be at a different pace after
7 the anti-VEGF treatment. For this reason, babies
8 tend to need longer term frequent follow-up
9 compared to laser. The baby needs to be followed
10 to rule out reactivation or until their retinal
11 vasculature is matured.

12 The subset of babies whose vessels do not
13 mature, even given time, will end up needing laser
14 as definitive treatment, even without a
15 reactivation of disease. For this reason, an
16 appropriate follow-up has to be performed after any
17 treatment, including anti-VEGF treatment.

18 Follow-up is recommended in current treatment
19 guidelines, as well as in the common practice of
20 the ROP community. Just like how we do it in my
21 institution, the neonatology team, as well as the
22 ophthalmology team, reviews the importance of

1 appropriate follow-up with families, which may vary
2 from baby to baby. We provide written
3 instructions, including the disease process
4 information, and follow up logistical details.

5 To conclude my presentation, in view of
6 current data, there are clear benefits to having a
7 regulated, FDA-approved pharmaceutical ROP
8 treatment option that offers meaningful benefits
9 without the associated challenges of laser therapy.
10 Physicians and parents alike truly want and need a
11 well-studied, well-characterized anti-VEGF product
12 with efficacy and safety data that builds on our
13 current evidence.

14 Approved labeling of such a product will
15 provide physicians with consistent information for
16 use, post-administration monitoring, and improve
17 access for this most vulnerable patient population.
18 It's been too long since we've had approved
19 advances in the treatment of ROP, and they are
20 certainly needed.

21 Thank you. I'll return the presentation to
22 the sponsor to review the clinical data.

1 **Applicant Presentation - Robert Vitti**

2 DR. VITTI: Thank you, Dr. Öрге.

3 I'm Bob Vitti, vice president of Clinical
4 Sciences and Ophthalmology at Regeneron. I'll
5 share the efficacy data demonstrating aflibercept's
6 clinically important benefit in the treatment of
7 ROP.

8 The program is supported by two phase 3,
9 multicenter, randomized, two-arm, open-label
10 clinical studies that assess the efficacy and
11 safety of intravitreal aflibercept versus laser.
12 Both studies were global, and BUTTERFLEYE included
13 sites in the United States. Patients were followed
14 through 52 weeks of chronological age. These
15 studies also include observation through 5 years of
16 chronological age to assess long-term safety.

17 In the BUTTERFLEYE study, infants with ROP
18 were randomized 3 to 1 to either open-label
19 aflibercept or laser photocoagulation therapy.
20 Patients were followed with frequent mandatory
21 visits through 24 weeks after treatment and infants
22 reached 40 and 52 weeks of chronological age with

1 primary endpoint assessment occurring at 52 weeks
2 of chronological age. Investigational site staff
3 included an ophthalmologist, a neonatologist, and
4 the neonatal intensive care unit team.

5 Retreatment with randomized therapy or
6 rescue therapy was allowed and captured throughout
7 the study. The FIREFLEYE and FIREFLEYE NEXT study
8 had a similar design, though here patients were
9 randomized 2 to 1. FIREFLEYE was initiated for
10 European submission with a 24-week primary
11 endpoint. For FDA submission, data from FIREFLEYE
12 were combined with data from FIREFLEYE NEXT through
13 the week 52 chronological age visit. Therefore,
14 all analyses shown were conducted on the 52-week
15 endpoint, and moving forward, we'll simply refer to
16 this study as FIREFLEYE.

17 Infants were enrolled with a gestational age
18 at birth of 32 weeks or younger or a birth weight
19 less than or equal to 1500 grams. Weight at
20 baseline needed to be at least 800 grams for
21 patients to be treated. In accordance with
22 international guidelines, patients had to be

1 treatment naive with the ROP classification shown
2 here in at least one eye. If only one eye was
3 treated at baseline, the second eye was kept under
4 observation. Second eyes that developed type 1 ROP
5 received treatment according to the same randomized
6 assignment.

7 Now moving to endpoint selection, the same
8 endpoints were used in both studies. The primary
9 efficacy endpoint was the proportion of patients
10 with the absence of both active ROP and unfavorable
11 structural outcomes at 52 weeks chronological age
12 based on the investigator's assessment. Secondary
13 endpoints included the proportion of patients
14 requiring intervention with a second treatment
15 modality and the proportion of patients with a
16 recurrence of ROP.

17 We additionally assessed relevant
18 exploratory endpoints important to patients and
19 families such as the need for sedation and the time
20 to perform treatment. Total sample size for both
21 studies was extensively discussed with the FDA, and
22 the rarity and severity of the disease drove sample

1 size considerations. FDA agreed that 150 infants
2 treated with aflibercept across two studies would
3 be adequate for assessing safety and tolerability,
4 given that safety has been previously established
5 in a large adult treatment population.

6 We chose a non-inferiority design as the
7 pragmatic way to establish efficacy within the
8 given sample size, and this design is the most
9 appropriate when comparing two treatments with
10 evidence of effectiveness. The prespecified
11 statistical analysis for our studies focused on the
12 difference in response rates between aflibercept
13 and laser.

14 We set a conservative non-inferiority margin
15 of 5 percent, informed by the treatment effect of
16 ranibizumab versus laser observed in the so-called
17 RAINBOW study. The study compared 2 doses of IVT
18 ranibizumab versus laser in the treatment of ROP
19 and showed a laser success rate of 66 percent and
20 anti-VEGF success rate of 80 percent. For this
21 analysis, a two-sided significance level was set at
22 0.049 after adjustment for IDMC assessments.

1 Now turning to demographics, infants in both
2 studies were approximately equally split between
3 males and females. Infants were mostly white and
4 Asian in accordance with site locations. The
5 studies also enrolled infants of black, Native
6 American, and multiple racial descent. The average
7 gestational ages were around 26 to 27 weeks, and
8 the babies were treated, on average, about 9 to
9 11 weeks later. Average birth weight was well
10 below the 1500-gram enrollment criterion.

11 As would be expected, infants were mostly
12 treated for bilateral ROP, with few patients in
13 each study receiving treatment for only one eye.
14 The majority of babies had zone II ROP in both
15 studies. Infants with a level of prematurity seen
16 in these studies presented a baseline with a range
17 of serious non-ocular conditions, as would be
18 expected in this population.

19 Other than prematurity and low birth weight
20 themselves, here are the most commonly reported
21 baseline medical conditions, and they include
22 bronchopulmonary dysplasia; respiratory distress;

1 infantile apnea; patent ductus arteriosus; and
2 neonatal anemia.

3 In reviewing the disposition, we see that
4 more infants received their assigned treatment in
5 the aflibercept arm compared to laser therapy.
6 First in BUTTERFLEYE, 127 infants were randomized.
7 One baby in the aflibercept group and six in the
8 laser group withdrew upon receiving randomized
9 assignment; therefore, 99 percent of infants
10 received randomized aflibercept compared to
11 82 percent of infants who received randomized laser
12 therapy, and 93 percent of babies on aflibercept
13 compared to only 79 percent of babies on laser
14 completed the 52 weeks.

15 For FIREFLEYE, we see similar
16 discontinuations upon receipt of open-label,
17 randomized assignments. 118 infants were
18 randomized and 5 infants withdrew before receiving
19 laser therapy. Eighty-eight percent of infants on
20 aflibercept compared to 79 percent on laser
21 completed the FIREFLEYE study.

22 Now moving to the results, as a reminder,

1 the primary endpoint was based on the proportion of
2 infants with the absence of both active ROP and
3 unfavorable structural outcomes at 52 weeks of
4 chronological age. As you can see numerically, the
5 proportion of infants who reached success was
6 similar between both studies and both treatment
7 arms, around 80 percent.

8 For context, when adding in the ranibizumab
9 data from RAINBOW, you see very consistent outcomes
10 across anti-VEGFs, and interestingly, the laser
11 group in our studies exceeded historic outcomes
12 observed in the RAINBOW study. In BUTTERFLEYE and
13 FIREFLEYE, treating investigators were very
14 experienced in laser photocoagulation and our
15 studies utilized imaging of the retina using fundus
16 photography, which aided the treating physicians
17 and clinical confirmation of complete
18 administration of laser.

19 Turning now to the primary endpoint which
20 looked at the success rate difference between arms,
21 the difference in response rates between the two
22 groups, as shown here, is nearly zero. However,

1 the lower bound of the confidence interval extends
2 below the prespecified, non-inferiority margin of
3 negative 5 percent, and therefore non-inferiority
4 cannot be concluded. We also cannot conclude
5 inferiority or superiority of either treatment. So
6 ultimately, we must consider the benefit-risk of
7 aflibercept, and importantly, that benefit-risk
8 must be placed in the context of current standard
9 of care.

10 Moving now to secondary endpoints which
11 inform the benefit-risk profile and are provided
12 for descriptive purposes, less recurrence was
13 observed with laser treatment compared to
14 aflibercept with an adjusted difference of
15 10 percent in BUTTERFLEYE and 3.6 percent in
16 FIREFLEYE, and these recurrences mostly occurred
17 within 6 months of the first treatment in either
18 arm. Recurrence did not necessarily equate to
19 treatment failure, and babies with recurrence were
20 still able to have successful outcomes independent
21 of retreatment.

22 These recurrences are not unexpected given

1 the pharmacokinetics of aflibercept compared with
2 the ablative effect of laser. The important point
3 to consider is that in the clinical trials, as in
4 clinical practice, patients continued to be
5 monitored throughout at least their first year
6 post-treatment, and the importance of this
7 follow-up will be communicated to providers.

8 In situations when the ROP either worsened
9 or didn't respond to initial treatments, patients
10 received a second treatment modality, which was any
11 treatment other than randomized assignment. This
12 signaled failure of the primary treatment to fully
13 regress the ROP, and comparable proportions of
14 babies in both studies required a second treatment
15 modality. Patients in the aflibercept group mostly
16 received laser as a secondary modality, and those
17 in the laser group mostly received aflibercept.

18 Now, an additional endpoint of interest is
19 to look at aflibercept infants who needed laser
20 rescue treatment. You can see in both studies,
21 most babies, 86 percent in BUTTERFLEYE and
22 93 percent in FIREFLEYE, did not require laser

1 rescue; and of those patients who received laser
2 after aflibercept, most had favorable outcomes at
3 the week 52 study visits.

4 Here are babies in the aflibercept arm in
5 both studies that needed sedation or anesthesia.
6 Now, this is an important exploratory endpoint, as
7 there are safety concerns with placing premature
8 babies under sedation or anesthesia, particularly
9 for longer lengths of time, and when we look at the
10 time to perform treatment, we see a dramatic
11 difference between laser and aflibercept
12 administration.

13 Shown here is the mean time by participant
14 and by eye for each study, with laser in gray
15 typically taking longer than an hour per eye to
16 administer compared to aflibercept injection, shown
17 in blue, which takes about 5 minutes per eye or
18 less. This is extremely important when we consider
19 time under sedation or anesthesia, which, as
20 Dr. Öрге described earlier, places babies at
21 increased risk but also requires proper equipment,
22 monitoring, and care by hospital staff.

1 In summary, the development program provides
2 evidence of the clinical benefit of aflibercept
3 0.4 milligrams for the treatment of ROP, especially
4 when compared to laser therapy, which comes with
5 challenges in administration and associated risks.
6 Approximately 80 percent of infants in the
7 aflibercept groups met the primary endpoint in both
8 BUTTERFLEYE and FIREFLEYE. The aflibercept group
9 was numerically similar to those receiving laser
10 therapy. While the lower bound of the 95 percent
11 confidence interval did not meet the non-
12 inferiority margin that was prespecified, the point
13 estimate demonstrated efficacy.

14 Importantly, secondary and exploratory
15 endpoints emphasize the value of having a
16 pharmacologic treatment, particularly one that will
17 require less time under sedation or anesthesia for
18 these vulnerable premature babies.

19 Thank you. I'll now turn the presentation
20 to Dr. Green to share the safety data.

21 **Applicant Presentation - Suzanne Green**

22 MS. GREEN: Thank you, Dr. Vitti.

1 I'm Suzanne Green, a therapy area head of
2 ophthalmology in Global Patient Safety. Today I'll
3 present data showing that the clinical development
4 program demonstrated a favorable, well-tolerated
5 safety profile with expected mostly mild and
6 transient adverse events observed in infants
7 treated with aflibercept.

8 This slide summarizes the extent of exposure
9 to aflibercept in both of the randomized treatment
10 arms. Across studies, 168 infants were randomized
11 to aflibercept compared to 65 infants to laser,
12 consistent with an unbalanced randomization between
13 aflibercept and laser. The majority of infants
14 treated with aflibercept received a single
15 treatment in both eyes across studies.

16 Overall, rates of treatment-emergent adverse
17 events were comparable between the treatment arms
18 in both studies. These events were defined as
19 those that occurred within 30 days after the last
20 administration of study treatment. The incidence
21 of serious adverse events was lower in the
22 aflibercept than laser group in both studies.

1 Treatment-emergent serious adverse events were
2 equal or fewer in the aflibercept group than in the
3 laser group.

4 There were 4 infants who died in the
5 aflibercept group. None were considered related to
6 treatment. I'll review these cases shortly, and
7 the narratives are included in your briefing
8 document. For context, reports of deaths in this
9 patient population are not unexpected, as there is
10 a range of severe comorbidities associated with
11 very premature birth. In the 24-week RAINBOW
12 study, for example, there was a reported death rate
13 of 5 percent.

14 Turning now to ocular events, the most
15 common ocular treatment-emergent adverse event was
16 retinal detachment, which occurred with similar
17 frequency in both treatment groups in both studies.
18 Retinal detachment is a known complication of ROP
19 and is considered an unfavorable structural
20 outcome. Conjunctival hemorrhage occurred more
21 frequently in the aflibercept group than laser
22 group. This is an expected event following an

1 intravitreal injection procedure.

2 These events were generally non-serious,
3 required no intervention, and resolved
4 spontaneously. Retinal hemorrhage occurred
5 slightly less often in the aflibercept than in the
6 laser group. Conjunctivitis was reported more
7 often in the laser group. It is important to note
8 there were no cases of endophthalmitis reported in
9 any group. Eyelid edema was more frequent in the
10 laser group, possibly related to the longer
11 procedure time.

12 Next, I'll review the serious adverse
13 events. Ocular treatment-emergent serious adverse
14 events were reported at equal or lower rates in the
15 aflibercept arm across both studies. The most
16 common serious adverse event in both treatment arms
17 was retinal detachment. Serious vitreous or
18 retinal hemorrhage occurred in three and two
19 patients, respectively, in the aflibercept group of
20 the two studies and is an expected complication of
21 ROP or treatment.

22 Non-ocular treatment-emergent adverse events

1 were somewhat less frequent in the aflibercept
2 group than laser group. As expected in this very
3 premature population with often extremely low birth
4 weight, the most frequently reported events are
5 respiratory, gastrointestinal, or hematological in
6 nature.

7 Bronchopulmonary dysplasia was reported in
8 the aflibercept group only, whereas infantile
9 apnea, bacterial disease carrier, and subcutaneous
10 hemorrhage were reported more often in the laser
11 group. Anemia, apnea, constipation, and oxygen
12 saturation decreased were also more frequent in the
13 laser group. Overall, most events were mild to
14 moderate in severity and resolved, or were
15 resolving without a change in study treatment or
16 discontinuation.

17 Non-ocular series TEAEs were higher in the
18 aflibercept group in BUTTERFLEYE, whereas they were
19 lower than laser in FIREFLEYE. Apnea and infantile
20 apnea were the most common treatment-emergent
21 serious adverse events reported. The vast majority
22 occurred in the laser group. This was possibly

1 related to the sedation and anesthesia requirements
2 of the procedure, as well as the long procedure
3 time.

4 At this time, I will now review information
5 around the deaths. One death occurred in
6 BUTTERFLEYE and three in the FIREFLEYE study.
7 Three of the babies were female and one was male.
8 As you can see, these were very premature babies.
9 The gestational age and weight in three of the four
10 babies was well below the mean birth weight of
11 880 and 990 grams, and mean gestational age of 26.5
12 to 27.3 weeks seen in the overall study population.

13 In addition, these babies had very
14 complicated medical histories. The baby in the
15 BUTTERFLEYE study had a laparotomy for necrotizing
16 enterocolitis, which showed massive bowel adhesions
17 15 days prior to study entry. Seven days prior to
18 study entry, she developed wound dehiscence and
19 developed several entero-cutaneous fistulas.
20 Following this, she remained critically ill with
21 respiratory, intestinal, and renal failure. On
22 study day 29, she experienced multiple system organ

1 failure and a Klebsiella infection, and died on
2 day 59.

3 The second baby experienced an exacerbation
4 of pre-existing bronchopulmonary dysplasia on study
5 day 142, which led to intubation and mechanical
6 ventilation. On study day 144, she developed a
7 tension pneumothorax, and died. The third case had
8 a medical history, including neonatal respiratory
9 distress syndrome, bronchopulmonary dysplasia, and
10 4 episodes of sepsis. On day 53, she developed
11 mycoplasma pneumoniae bronchiolitis, and died
12 4 days later.

13 The final reported death had a medical
14 history, including bronchopulmonary dysplasia;
15 respiratory failure; apnea; perinatal brain damage;
16 atrial septal defect; and severe anemia. On study
17 day 61, she developed an exacerbation of
18 pre-existing bronchopulmonary dysplasia, and died
19 the same day. The independent data monitoring
20 committee agreed with the assessment by the
21 investigational team, including ophthalmologists
22 and neonatologists, that no deaths were deemed

1 related to aflibercept.

2 To conclude, the safety profile observed in
3 the clinical development program was consistent
4 with the known and favorable safety profile of
5 aflibercept. The safety database provides data on
6 325 treated eyes in 168 infants treated with
7 aflibercept . Adverse events were mostly mild and
8 comparable to the standard of care treatment.
9 Serious adverse events were less common in the
10 aflibercept arm than the laser arm and are
11 generally consistent with complications observed in
12 very premature infants of extremely low birth
13 weight.

14 Deaths were infrequent, occurred in patients
15 of complicated medical history and severe
16 comorbidities, and were deemed unrelated to study
17 drug by investigators. Additionally, we recently
18 provided the agency with a safety update report.
19 There were no additional deaths or
20 treatment-emergent serious adverse events reported,
21 and the overall safety profile remains unchanged.

22 Both data through week 52 were comparable

1 between aflibercept and the laser group with age
2 appropriate increases. Additionally, two-year
3 follow-up data from the RAINBOW study showed
4 comparable outcomes in growth and neurocognitive
5 development parameters between ranibizumab and
6 laser. Regeneron is also committed to following
7 these infants out to 5 years of chronological age
8 to assess longer term safety data.

9 Thank you. I'll now turn the presentation
10 to Dr. Steve Donn to share his clinical
11 perspective.

12 **Applicant Presentation - Steven Donn**

13 DR. DONN: Thank you.

14 I'm Steven Donn, a neonatologist and
15 professor emeritus of pediatrics from the
16 University of Michigan. I've been a neonatologist
17 for 42 years, all at C.S. Mott Children's Hospital.

18 Laser therapy has been the mainstay of
19 treatment for ROP for decades, but it can be
20 difficult to administer and destroys parts of the
21 retina. This can lead to later visual
22 complications. Those of us who have spent years

1 practicing in this field have always understood
2 that other options are needed for our patients.

3 Specifically, we need a therapeutic agent
4 that could work comparably to laser therapy but
5 with fewer side effects. Having an approved option
6 with appropriate labeling would provide physicians
7 and parents more timely access to an effective
8 treatment and the information they need to best
9 care for these premature infants.

10 The goal of treatment for ROP is to prevent
11 blindness. It is also to leave our babies with as
12 much normal vision as possible. Laser therapy is
13 the only FDA cleared treatment for ROP in common
14 use today, and it is effective, but it comes with
15 practical and clinical limitations. As Dr. Öрге
16 shared, there are challenges associated with laser
17 administration. Laser requires specialized
18 equipment and skill to administer properly, and it
19 is not always locally accessible.

20 To receive laser treatment, vulnerable
21 babies almost always have to be moved from the
22 intensive care unit to other locations. As you can

1 imagine, this is less than ideal for a critically
2 ill, unstable baby. Removal from the NICU exposes
3 the baby to the risks of hypothermia, hypoxia,
4 hypotension, and dislodgement of critical life
5 support equipment, and it requires additional
6 healthcare personnel. Laser treatment also
7 requires a long duration of sedation and/or
8 anesthesia. Perhaps most impactful, laser therapy
9 comes with the potential loss of peripheral vision
10 and risks of permanent complications like high
11 myopia.

12 These limitations are primarily why
13 anti-VEGF treatments, including aflibercept, have
14 been increasingly used for ROP even though they are
15 not FDA approved. Anti-VEGF use is included in
16 present treatment guidelines because these
17 compounds show promising efficacy and safety. The
18 aflibercept clinical trials contribute additional
19 data to further substantiate the use of anti-VEGF
20 therapy in the treatment of ROP.

21 Let's examine the clinical considerations
22 that support aflibercept in ROP. First, ROP is a

1 rare and serious vision-impairing disease that
2 occurs when babies are often in their most critical
3 state. Secondly, anti-VEGFs are already used off
4 label as a primary initial treatment for ROP due to
5 the limitations and complications of laser therapy.
6 As discussed earlier, the aflibercept studies did
7 not meet the efficacy threshold set, however, the
8 risk-benefit ratio of aflibercept cannot be
9 determined in isolation, but rather must be
10 considered in context with laser, the only
11 currently FDA clear treatment option.

12 Aflibercept offers consistently high success
13 rates through 52 weeks. Particularly important to
14 treating physicians and families is the much
15 shorter time to administer aflibercept compared to
16 laser therapy, less than 10 minutes versus more
17 than two hours on average. This dramatically
18 decreases the time under sedation, which can
19 substantially reduce unnecessary consequences for
20 patients.

21 Aflibercept would enable earlier treatment
22 of vascular proliferation than laser. Moreover, it

1 can be administered at the bedside, obviating the
2 need to move the baby from the intensive care
3 setting. Aflibercept would also offer an option
4 when laser is not feasible, and postponing laser
5 even by one month could preserve more of the baby's
6 visual field and reduce the risk of high myopia.

7 The safety profile aligns with my
8 expectations. It is comparable to laser therapy,
9 and as an initial treatment, aflibercept comes with
10 the potential for less risk of short-term side
11 effects and long-term complications. Approval of
12 an anti-VEGF would not replace laser therapy
13 entirely. As is already practiced clinically,
14 aflibercept could be used as a primary treatment
15 when laser is not possible or when the
16 complications of laser would be too great.

17 When I look at the data from these studies,
18 I see clinical benefit. When discussing treatment
19 with a patient's family, my primary focus is on
20 these clinical considerations rather than a single
21 statistic. Instead, I talk about potential
22 treatments to try to preserve their baby's vision.

1 To conclude our presentation today, it is
2 gratifying to see pivotal prospective data that
3 substantiate and expand our earlier understanding
4 of anti-VEGF treatments for ROP. Aflibercept stops
5 ROP and preserves the retina. The aflibercept
6 clinical program demonstrating safety and efficacy
7 builds on the knowledge already generated by
8 off-label, anti-VEGF use in prior clinical trials.

9 Approved labeling of an anti-VEGF compound
10 would lead to proper education for use and safety
11 surveillance, something currently lacking in the
12 context of off-label use. Approved labeling of
13 aflibercept for treating ROP would reduce the
14 variability in treatment by providing a recommended
15 dose, dosing interval, and post-administration
16 monitoring. Under the off-label paradigm,
17 currently, anything goes.

18 I've reviewed the FDA suggested label and
19 feel strongly that physicians and caregivers would
20 benefit from having this label on the product.
21 Approval with the FDA proposed labeling will be an
22 important step towards meeting the unmet medical

1 need to provide a safe, effective, easy to
2 administer, and, importantly, an approved bedside
3 treatment for our preterm babies. Thank you.

4 DR. HIRSHBERG: Thank you, Dr. Donn.

5 This concludes our presentation. We are now
6 open for questions.

7 **Clarifying Questions to Applicant**

8 DR. CHODOSH: Thank you.

9 This is Dr. Chodosh again. We will now take
10 clarifying questions for Regeneron. Please use the
11 raise-hand icon to indicate that you have a
12 question and remember to lower your hand by
13 clicking the raise-hand icon again after you have
14 asked your question. When acknowledged, please
15 remember to state your name for the record before
16 you speak, and if possible, direct your question to
17 a specific presenter. If you wish for a specific
18 slide to be displayed, please let us know the slide
19 number, if possible.

20 Finally, it would be helpful to acknowledge
21 the end of your question with a thank you and the
22 end of your follow-up question with, "That is all

1 for my questions," so we know to move on to the
2 next panel member.

3 It looks like we have a question from
4 Dr. Joniak-Grant, and I apologize if I in any way
5 mispronounced your name. Please go ahead.

6 DR. JONIAK-GRANT: Thank you. That's
7 alright.

8 Dr. Elizabeth Joniak-Grant. I have two
9 questions. The first one is, does the risk profile
10 change at all with more injections per eye, or is
11 it kind of difficult to say much, meaningful,
12 because of the small amounts of the groups getting
13 the 2 injections? I think it was around 14 to
14 17 percent of the sample for 2 injections and about
15 less than 3 percent for 3 injections per eye. So
16 that's my first question.

17 Then my second question is that in the
18 briefing documents, it said that if the patient
19 data wasn't available for follow-up at the 52-week
20 chronological age, then data was used from their
21 week 40 visit for analysis. What percentage of
22 participants did this happen for, where you didn't

1 have the week 52 information and had to use the
2 week 40?

3 DR. HIRSHBERG: Thank you. Dr. Vitti will
4 address those questions.

5 DR. VITTI: Bob Vitti, Regeneron. As you
6 point out, there are just too few patients who
7 needed multiple injections to draw any conclusions
8 based on safety outcomes.

9 The second question, how many patients
10 reached 52 weeks of chronological age, or
11 conversely, how many patients were cut off at
12 week 40, the answer is very few patients actually
13 had data carried forward from week 40 to week 52.
14 The exact number I think we'll have to look up for
15 you during the break.

16 DR. JONIAK-GRANT: Okay. Thank you.

17 DR. CHODOSH: Thank you.

18 Dr. Joniak-Grant, if you're done, we will
19 proceed to the next question from Dr. Michael
20 Chiang.

21 Dr. Chiang?

22 DR. CHIANG: Thank you very much for the

1 presentations. This is Michael Chiang from
2 National Eye Institute. I have two questions, and
3 they're really for the entire panel, probably
4 Dr. Vitti, initially.

5 My first question deals with the definition
6 of active ROP in the study design. I have a little
7 bit of concern about that with the rationale being
8 that I think there's a lot of inconsistency in
9 terms of to call things when eye disease comes
10 back. In fact, in the ICROP III classification
11 system, that was one of the main motivations for
12 redoing the classification.

13 I was the chair of the ICROP III committee,
14 and there were several people. Dr. Öрге played a
15 big role in convening that, and Andreas Stahl, and
16 Wei-Chi Wu, and Domenico Lepore I know were
17 FIREFLEYE investigators, and they were on the
18 ICROP III panel.

19 The question is what to call these when it
20 comes back, and we came up with this term
21 "reactivation" meaning that something comes back.
22 And my specific concern is that the term "active

1 ROP" is much more specific than reactivation. My
2 understanding is that active ROP in FIREFLEYE meant
3 that some disease that was judged to need
4 treatments came back, which I don't think is the
5 same thing as reactivation of disease. So my first
6 question is that I would be interested in your
7 thoughts about how that distinction should be
8 communicated to people because I think that may
9 have implications for follow-up and just level of
10 concern.

11 My second question is related to that, which
12 is that it was alluded to in several of the
13 comments that there's a need for closer follow-up
14 and a potential need for ablation, either with
15 recurrence of disease, or reactivation of disease,
16 or with development of what's called "active ROP"
17 in the FIREFLEYE and BUTTERFLEYE studies, which I
18 don't believe is a standard term anymore. But I
19 think the question is that I don't feel that there
20 is consensus in the community about how frequently
21 babies need to be followed up and what the
22 threshold for treatment of reactivated ROP should

1 be, and also whether babies should be treated if
2 the retina does not fully vascularize, which
3 happens really frequently in these babies.

4 So I would love your thoughts about what
5 guidance specifically should be given to
6 ophthalmologists that's really prescriptive rather
7 than leaving it to people's individual judgment, so
8 thank you very much.

9 DR. HIRSHBERG: Yes. We would like to have
10 Dr. Öрге address those two questions.

11 DR. ÖRGE: As Dr. Chiang alluded to, the
12 important details of the definition that really
13 applies to the guidance of the community and when
14 do you treat or when do you -- so first of all, I
15 would like to remind the panel that the study was
16 designed prior to the ICROP III publication that
17 came out, so even the AP-ROP now we're calling the
18 A-ROP, and similar things were not defined. So
19 with all this, I think this is a very important
20 factor, as you had alluded, and I think I agree
21 that this definitely needs to be discussed in how
22 to include appropriately in the labeling down the

1 road after the approval, possible approval, of the
2 medication, and I think the same thing goes along
3 with the guidance.

4 I know that the company, Regeneron, and the
5 study personnel really have looked at the AAP
6 guidelines, and we know, as Dr. Chiang alluded to,
7 that, anyhow, the community, what we know about the
8 disease is still evolving. So from what I
9 understand, the company will be very lenient on the
10 general consensus on what the wording needs to be
11 on the guidance. But as a physician, individually,
12 I really do think that these very much may differ
13 from case to case.

14 So really, I would caution on a very
15 specific, as Dr. Chang had alluded, definition on
16 when to treat, but it has to be somewhat case to
17 case, and I think that needs to be acknowledged as
18 well in these discussions going forward. Thank
19 you.

20 DR. CHODOSH: Dr. Chiang, your hand is still
21 raised. Did you have anything to follow up with?

22 DR. CHIANG: No, I'm sorry. I'm about to

1 lower my hand and just say thank you for those
2 comments. I would definitely agree that one of the
3 challenges of this is that ICROP III came after
4 these studies were designed.

5 Just for disclosure, I personally use
6 anti-VEGF in these babies, and I believe that there
7 are benefits, but one of the challenges is that I
8 think that the community would, in my opinion,
9 benefit from some guidance about when to worry
10 post-treatment and when babies need laser ablation
11 treatment. So thank you very much.

12 DR. CHODOSH: Thank you.

13 Next, Dr. Murray, your hand was raised, and
14 now it's down. Do you have a question?

15 DR. MURRAY: I do. Thank you.

16 Dr. Tim Murray from Miami. I'm concerned
17 with the high failure rate for anti-VEGF with the
18 use of aflibercept, and I would like to have some
19 clarity as to the dose evaluation. For patients
20 utilizing off-label bevacizumab within our
21 community, the failure rate is under 5 percent, and
22 I do believe that there is concern within the

1 community that the selected dose of 0.4 milligrams
2 is below what might be the most appropriate dose.
3 So I'd be interested in the discussion of the
4 dosing strategy and why a higher dose was not
5 considered or evaluated. Thank you.

6 DR. HIRSHBERG: Dr. DiCioccio will address
7 that question.

8 DR. DiCIOCIO: Thank you. Thomas
9 DiCioccio, Regeneron Pharmaceuticals. The dose was
10 selected based on a number of independent
11 assessments. First, we took into account a number
12 of ISS studies that have studied aflibercept in the
13 ROP populations, ranging from 0.4 to 1 milligram.
14 Collected across dose studies, there was really no
15 advantage seen as we went from 0.4 to 1 in those
16 studies, and obviously we were interested in
17 maintaining the lowest effective dose possible.

18 The other point to consider is when you look
19 at the volume of the eyes, the ROP patient
20 population, they were about 20 to 25 percent the
21 volume of an adult eye, say, with AMD; therefore,
22 the 0.1 milligram -- or 0.1 mL -- sorry -- the

1 10 microliter 0.4 milligram dose represents a
2 similar volume to eye volume and milligram to eye
3 volume as the 2-milligram dose. And while we
4 cannot be assured that a dose across several
5 indications is the appropriate dose, there is a
6 body of evidence that this milligram per eye volume
7 concentration has proven to be very effective, and
8 therefore was selected as a dose to be studied
9 here.

10 DR. MURRAY: Dr. Murray, again, for a
11 follow-up. Then I would suggest that the VEGF
12 release for neovascular AMD and for retinopathy of
13 prematurity with active threshold disease may be
14 different, and therefore precludes the assessment
15 of volume to volume. And I did believe that there
16 was a small study that evaluated aflibercept
17 comparing the 0.4-milligram and the 1-milligram
18 dose, and though the numbers were small and there
19 was no statistically significant difference, it was
20 interesting that every eye treated with 1 milligram
21 had a response, while there were significant
22 failures to respond in the 0.4-milligram dose.

1 So I remain concerned that this success rate
2 of 80 percent is below the success rate that would
3 be achievable with a higher dose and is below the
4 success rate that we achieve utilizing an off-label
5 anti-VEGF, bevacizumab. Thank you.

6 DR. DiCIOCIO: Yes. Tom DiCioccio again;
7 allow me to follow up with that.

8 You're absolutely correct. The systemic
9 pharmacokinetics observed with aflibercept do show
10 that there are higher concentrations at a faster
11 rate than in the AMD population, but I also would
12 point to that in the RAINBOW study, they saw
13 exactly the same phenomena with ranibizumab, and
14 that the ratios were just about the same, and the
15 slopes of the clearances were quite comparable as
16 well across those two studies. Unfortunately, I
17 don't have any data on bevacizumab to speak
18 directly to that, but this is a common feature for
19 at least the ranibizumab and aflibercept compounds.

20 DR. HIRSHBERG: And we would like to ask
21 Dr. Öрге to address some of the comments about the
22 success rate across different agents and studies.

1 DR. ÖRGE: Faruk Öрге again, ophthalmology.
2 When we look at, at least, prospective comparative
3 studies like RAINBOW and the BEAT-ROP, it seems
4 like the success rate of the anti-VEGF treatments
5 are very compatible with what we've seen with the
6 aflibercept, and with a very similar population,
7 too, so we believe that it seems like the results
8 that we're seeing is adequately represented. Thank
9 you.

10 DR. CHODOSH: Thank you. This is Dr. James
11 Chodosh again. I actually have two questions. I'm
12 going to ask them in turn, one, and then after
13 hearing back.

14 One question was just a question about how
15 the studies were done and how much time elapsed
16 between the initial examination, the randomization,
17 and the treatment, and how that might have compared
18 between the laser and aflibercept; did needing to
19 organize the laser treatment, in other words, lead
20 to perhaps a longer time between diagnosis and
21 treatment, or were the times to treatment from the
22 initial examination equivalent?

1 DR. HIRSHBERG: Dr. Vitti?

2 DR. VITTI: Bob Vitti, Regeneron. So there
3 were, on average, about only 3 days from the time
4 of screening to the time of baseline treatment.

5 DR. CHODOSH: Thank you. Dr. Chodosh again.
6 Did that differ between the treatments?

7 DR. VITTI: No, it did not. It did not.

8 DR. CHODOSH: Alright. Thank you. It's
9 Dr. Chodosh again. I'm going to ask my second
10 question. It's actually two, but they're really
11 the same question.

12 What determines the decision that a baby
13 needs what you refer to as laser rescue? And if we
14 could project, if all babies received anti-VEGF at
15 diagnosis, what proportion of those babies would
16 then need this laser rescue, as it was called?

17 DR. VITTI: Bob Vitti, Regeneron. The
18 proportion of patients in the aflibercept treatment
19 group that required laser rescue was 14 percent in
20 the BUTTERFLEYE study. In the FIREFLEYE study, it
21 was somewhat less, 7 percent. So one would expect
22 that those proportions would translate into a

1 larger population.

2 DR. CHODOSH: I guess my question is, how
3 was that determined? Was that the individual
4 investigator's decision that they should go to
5 laser, or what were the criteria by which they
6 decided that an infant needed, to use the word,
7 "rescue?"

8 DR. VITTI: Right. Patients who originally
9 randomized to aflibercept were allowed up to
10 3 injections per eye before it was decided, if they
11 still had active disease, that they needed a
12 rescue. Now, we also insisted on a certain
13 interval in between injections of 28 days, so it's
14 possible -- it was possible, and happened -- that
15 patients who were originally injected with
16 aflibercept recurred in the time frame before
17 another injection was allowed, and therefore those
18 patients would have been automatically rescued by
19 laser, with laser.

20 DR. CHODOSH: Okay. One of the things that
21 was pointed out and that I also was interested in
22 was the relatively higher rate of success with

1 laser than in prior studies, and as was mentioned,
2 my guess was that the practitioners had become
3 better at it; and I wonder if you have any thoughts
4 on what will happen if the majority of babies are
5 treated with aflibercept. Do you expect that laser
6 therapy efficacy may drop because practitioners are
7 doing what would be less of it?

8 (Crosstalk.)

9 DR. HIRSHBERG: Dr. Öрге will address that.

10 DR. ÖRGE: Faruk Öрге again, ophthalmology.
11 As one of the investigators, I have to say -- and I
12 think this is very much one of the reasons why the
13 success rate was different, and particularly from
14 the RAINBOW study -- that prior to this study
15 initiation, all the investigators got together and
16 really defined how the laser treatment needed to be
17 done. So I think that, first of all, gave a little
18 comprehensive understanding and homogeneity.

19 The second aspect of that is after the laser
20 treatment, photography had to be done to
21 demonstrate the completeness and the
22 appropriateness of the laser, which is, again,

1 another factor; that as a clinician I know that
2 many times you do the laser and you think you've
3 done the entire area, you take a picture, and you
4 see these skipped areas. I think this really helps
5 the completeness of the treatment, and there
6 suspecting these two factors have been why you're
7 seeing the good result. And again, these sites are
8 sites that actually have good experience with ROP,
9 and particularly laser, as you alluded to, so the
10 community, probably the laser treatment, and the
11 success is probably not as high.

12 Now coming back to any anti-VEGF,
13 particularly if aflibercept is approved, I truly
14 don't believe that the laser treatment is going to
15 go away. For many aspects, the laser treatment
16 will be necessary if, for the patients who have
17 received this treatment, the vascularization has
18 not fully matured, or as you mentioned, there
19 is -- few but they're there -- reactivation, and
20 some of the babies progress despite the fact that
21 they've received the appropriate treatment.

22 So the laser treatment needs to be there,

1 and I think continues to be valid, but probably not
2 in a more vulnerable patient population but better
3 planned and when the babies are a little bit older,
4 is what we're going to see.

5 DR. CHODOSH: Thank you. This is
6 Dr. Chodosh again. That answers my question.

7 The next question is from Dr. Michael Lai.

8 DR. LAI: Thank you. This is Michael Lai.
9 As a number of speakers have pointed out here, eyes
10 treated with anti-VEGF therapy have delayed retinal
11 neovascularization; in fact, often some of these
12 eyes never completely vascularize.

13 I'm wondering in these studies, with all the
14 tools and imaging you have available, do you know
15 what percentage of eyes at the end of the trial
16 still remained incompletely vascularized; and if
17 so, was there any guideline in that study protocol
18 on what to do with those eyes?

19 DR. HIRSHBERG: Yes. Dr. Vitti?

20 DR. VITTI: Bob Vitti, Regeneron. By
21 looking at individual eyes, two-thirds of the eyes
22 in the BUTTERFLEYE study that were originally

1 treated with aflibercept had complete
2 vascularization within a disc diameter of the
3 ora serrata, and in the FIREFLEYE study we see that
4 increase to 74 percent.

5 So somewhere between a third and a quarter
6 of the patients, to answer your question, would not
7 have completely vascularized, however, this is
8 consistent with the rate seen in the RAINBOW study
9 after a two-year follow-up. You see patients in
10 the 0.2-milligram group, and about 62 percent of
11 those eyes had complete vascularization; so again,
12 about a third of those did not.

13 DR. LAI: If I could follow up?

14 DR. CHODOSH: Yes, please, go ahead.

15 DR. LAI: Was there a study protocol
16 recommendation for those eyes?

17 DR. HIRSHBERG: Can we just ask Dr. Öрге to
18 address another component of the question?

19 DR. ÖRGE: Faruk Öрге again. I just also
20 want to remind that there is a FIREFLEYE and
21 BUTTERFLEYE extension studies; that the babies
22 continue to be followed, and the study does not

1 preclude the appropriate follow-up that is required
2 per the provider. As you've mentioned, this is not
3 an unknown phenomena, and a lot of babies,
4 unfortunately, may have the PAR, peripheral
5 avascular retina, that actually does persists.

6 But since these particular studies were
7 finalized at 52 weeks, and as you know, new studies
8 actually may say that if the babies don't really
9 grow beyond a certain area, and by 65 it seems like
10 they may not be progressing further, maybe a
11 definitive treatment may be required. But this was
12 beyond the scope of these particular studies.

13 DR. CHODOSH: Okay. This is Dr. Chodosh
14 again. The next question comes from Dr. Todd
15 Durham.

16 DR. DURHAM: Good morning. This is Todd
17 Durham. My question has to do with the time of
18 recurrence of ROP.

19 In your briefing document, you cite average
20 times of recurrence of ROP, and the first question
21 about this is how were those means calculated since
22 not all study participants had recurrence of ROP?

1 And the second part of the question is, do you have
2 any display, like a Kaplan-Meier curve or any other
3 descriptive summary, that will show us the earliest
4 and latest times of recurrence of ROP?

5 DR. HIRSHBERG: Thank you. Dr. Musser will
6 address those questions.

7 DR. MUSSER: Hi. Good morning. This is
8 Bret Musser with Regeneron biostats. Excellent
9 question because we actually have the graph that
10 you're requesting.

11 What will appear here are the Kaplan-Meier
12 curves for time to the first recurrence of ROP, on
13 the left for BUTTERFLEYE, on the right for
14 FIREFLEYE. For those Kaplan-Meier curves, each
15 decrement in the line that goes down represents the
16 event that occurs, and if you see a blue dot or a
17 red vertical line, that's the last observed
18 followed for a particular baby in the study.

19 As you can see from the graph, most of these
20 recurrences did occur within the first 16 weeks;
21 they all occurred within the first 6 months, but if
22 you look about by day 113 in both studies, that's

1 the limit of most recurrences in the trial

2 DR. HIRSHBERG: Thank you.

3 DR. DURHAM: Thank you.

4 DR. CHODOSH: Okay. This is Dr. Chodosh
5 again. I believe Dr. Chiang had a question.

6 DR. CHIANG: Yes. Thanks. I have a few
7 questions. One of them is recurrence in that
8 previous graph you showed. How do you define
9 recurrence? Does that mean reactivation of any
10 disease or does it mean occurrence of what you
11 called active ROP, meaning treatment requiring
12 reactivation?

13 My second question is similar to
14 Dr. Joniak-Grant's. I apologize if it was the same
15 question, but it was that there was a protocol
16 saying that there could be up to 3 injections per
17 eye, and I just wondered what the basis for that
18 recommendation was. And my third question deals
19 with deaths. Obviously, it was a little bit
20 striking that there were deaths only in the
21 aflibercept groups in both of these studies, and I
22 know that Dr. Green's data showed that these were

1 sick babies who died.

2 But my specific question is, the
3 randomization data I believe implies that the two
4 groups were pretty similar when they started, so I
5 was just wondering if you feel that this was some
6 sort of statistical aberration, or if the groups
7 truly weren't equal when they started, or if you
8 just have some -- if you could speak a little bit
9 more to that. Thank you.

10 DR. HIRSHBERG: Dr. Vitti will address the
11 first question, and then Dr. Suzanne Green will
12 address the second part of your question.

13 DR. VITTI: Bob Vitti, Regeneron.
14 Recurrence was cataloged not based on recurring to
15 type 1 but rather any recurrence that was seen in
16 terms of investigator's assessment of active ROP.

17 DR. CHIANG: Well, active ROP as defined in
18 the study meant it needed to be treated, which is
19 not what I just heard from you. So I just want to
20 make sure that I understand what it meant to recur.
21 Did it mean that any disease came back or did it
22 mean that treatment requiring disease or active

1 ROP, as defined in these studies, came back?

2 DR. VITTI: It meant that any
3 treatment -- I'm sorry, any recurrence. So I
4 misspoke; not active ROP as defined in the
5 protocol, but rather any recurrence irrespective of
6 whether treatment was required.

7 DR. CHIANG: Got it. Thanks.

8 DR. CHODOSH: Okay. This is Dr. Chodosh.
9 We have about 6 minutes left before the next
10 scheduled change, and we're going to go up until
11 that time, and then we will move the remaining
12 questions until after the lunch hour, where it
13 looks like we'll have time.

14 Dr. Joniak-Grant, please go ahead.

15 DR. JONIAK-GRANT: Thank you. Elizabeth
16 Joniak-Grant. Following up on Dr. Chiang's
17 question, what percentage of recurrences required
18 treatment? That would be my first question, and
19 then I do have a couple more.

20 DR. HIRSHBERG: Dr. Vitti?

21 DR. VITTI: In the BUTTERFLEYE study,
22 37 patients recurred that were randomized to

1 aflibercept. Requiring treatment, can I have the
2 other slide that shows -- as you can see in this
3 slide here, patients with type 1 ROP, 33 percent of
4 patients in the BUTTERFLEYE study on aflibercept
5 recurred to type 1 and 20 percent in the FIREFLEYE
6 study recurred to type 1.

7 DR. ÖRGE: And does type 1 require
8 treatment?

9 DR. VITTI: Correct.

10 DR. JONIAK-GRANT: Okay. Then my other
11 question is, when in your view is follow-up
12 complete? Can you speak to any of that, or not at
13 this time?

14 DR. HIRSHBERG: Dr. Öрге will address this
15 question.

16 DR. ÖRGE: Faruk Öрге, ophthalmology. I
17 think a person who is dealing with ROP, and I can
18 speak on behalf of the company's guidelines as
19 well, that they're very much lenient with the AAP
20 guidelines, that the follow-up needs to continue
21 until the ROP is either finalized, meaning the
22 disease process has ended either with a treatment

1 or naturally that the vessels have fully grown, and
2 it needs to continue until that point, and that
3 needs to be in the labeling as well.

4 DR. JONIAK-GRANT: Thank you.

5 DR. CHODOSH: Okay.

6 Dr. Murray, we can either do your question
7 now if it's short or after lunch break, based on
8 our schedule. If you can ask it quickly, we'll try
9 to address it. If it's not fully answered, we can
10 come back to it. Go ahead.

11 (No response.)

12 DR. CHODOSH: Dr. Murray?

13 (No response.)

14 DR. CHODOSH: Okay. Maybe Dr. Murray is on
15 mute, I'm not sure. But I think since it's 11:13,
16 and if Dr. Chambers is prepared, we will now
17 proceed with his presentation, the FDA presentation
18 by Dr. Wiley Chambers. Thank you.

19 **FDA Presentation - Wiley Chambers**

20 DR. CHAMBERS: Thank you very much,

21 Dr. Chodosh, and if I can have my slides.

22 Thank you. My name is Wiley Chambers. I am

1 the director of the Division of Ophthalmology, and
2 I will be making the FDA's presentation. The
3 mission of the Center for Drug Evaluation and
4 Research, which is what I am part of, is to ensure
5 that safe and effective drugs are available to
6 improve the health of people in the United States.

7 We clearly recognize, with there being no
8 pharmacological treatments for ROP, that there was
9 an unmet medical need. At our disposal, we have
10 some different methodologies and some different
11 both stick and carrot ways to encourage trials and
12 ways to require trials, at least for pediatric
13 trials.

14 In most cases, we are purely reactive. We
15 react to trials that are submitted to the FDA. We
16 approve products when applications have been
17 submitted to us. We don't usually have the
18 opportunity to ask for further information, but in
19 pediatrics we do. So for some applications, we can
20 impose potential requirements, and that's based on
21 what's called the Pediatric Research Equity Act or
22 PREA; in other cases, we can offer incentives by

1 asking for studies, based on the Best
2 Pharmaceuticals for Children's Act, also known as
3 BPCA.

4 In this particular case, asking for or
5 having required studies, there needs to be an
6 application submitted which has a pediatric
7 component to the indication that's been requested,
8 and the goal is to try and basically expand the
9 labeling for that product. The incentives, which
10 are part of the BPCA, provide an opportunity for
11 the FDA to ask for specific studies, whether or not
12 that indication was previously submitted, and to
13 potentially provide exclusivity to sponsors who
14 voluntarily complete studies under the written
15 request.

16 In this particular case, the requirement
17 section was not an option. EYLEA's
18 indications -- neovascular AMD, retinal vein
19 occlusions, diabetic macular edema, diabetic
20 retinopathy -- do not have pediatric patients, so
21 it was not possible to ask for studies in these
22 particular indications for pediatrics because those

1 diseases do not occur in pediatric patients.

2 In addition, for the treatment of
3 retinopathy of prematurity, while we might have the
4 opportunity to ask for additional studies, the
5 treatment of retinopathy of prematurity was granted
6 orphan designation based on the number of patients
7 in the United States that have retinopathy
8 prematurity, and because the product received
9 orphan designation, they are exempt from any of
10 those requirements.

11 The option the FDA did have was to write a
12 written request, and so based on Section 505A of
13 the federal Food, Drug, and Cosmetic Act, and
14 pursuant to Section 351(m) of the Public Health
15 Service Act, the FDA made a formal written request
16 to obtain pediatric information on aflibercept.
17 The written request was issued June 4, 2019, and it
18 specifically said we were requesting information on
19 aflibercept and that studies be done to look at
20 aflibercept's potential use in the treatment of
21 ROP.

22 We requested two studies. The primary

1 objective of the studies was to evaluate the
2 efficacy, safety, and tolerability of intravitreal
3 aflibercept in patients with ROP, and we required
4 that the protocols and statistical analysis plans
5 had to be submitted and agreed upon by the
6 division. This is sometimes a challenge when we're
7 going into new areas.

8 In this particular case, the length of time
9 that the study needed to go on for, or should be
10 required, was a source of debate. You've heard
11 through the studies that have been presented so far
12 how the time may get extended, and so instead of
13 something that is 12 weeks/24 weeks, when you may
14 be dealing with the first signs of seeing ROP, we
15 knew we wanted a time that went farther on to allow
16 potential repeated treatments if necessary, but
17 still a time that was doable for these indications.

18 So for study 1, we asked for a randomized,
19 parallel group, controlled study of at least
20 52 weeks in duration. We knew that
21 developmentally, the only time to get better
22 systemic evaluations of the potential effects of

1 aflibercept systemically, and/or what visual
2 acuities might ultimately be obtained, was to ask
3 for time that extended into basically year 5. So
4 we asked for follow-up of a 5-year timepoint so
5 that we can ultimately determine whether there are
6 potential systemic effects that contribute to
7 developmental concerns when the children are
8 5 years old. We also knew that retinal photography
9 was becoming more and more used within these
10 trials, so we asked that the trials include an
11 assessment of retinal photography.

12 Recognizing that a 5-year follow-up is a
13 relatively longer period of time, we asked for a
14 commitment that they be included, but it was not
15 necessary for the terms of the written request as
16 far as granting exclusivity. This has been a
17 common practice when we have asked for long-term
18 follow-up. Study 2 asked for essentially the same
19 thing. We wanted not necessarily duplicate or
20 exact replication, but we wanted two studies to see
21 how robust the findings necessarily were.

22 The design in each case was permitted to be

1 either a superiority design or a non-inferiority
2 design. We recognized that there had been, during
3 the past, both treatment with cryo and treatment
4 with laser, and recognize that those were potential
5 modalities for which a non-inferiority design could
6 be performed; but we also opened the possibility of
7 demonstrating superiority, recognizing that some
8 people believe that anti-VEGF treatment offered the
9 opportunity for superiority.

10 Statistical plans had to be agreed upon by
11 the division. They were in fact reviewed and
12 agreed upon Demographic characteristics and
13 adverse experiences all needed to be descriptively
14 summarized and compared between both groups, and
15 you've heard that was done.

16 The primary endpoint was decided to be the
17 absence of active ROP, and you've heard some
18 description about whether that is necessarily the
19 best term, but at the time that we were designing
20 the trial, that was thought to convey what we were
21 looking for; and in addition, we wanted the absence
22 of unfavorable structure outcomes at a 52-week

1 timepoint, and by that we meant things like retinal
2 detachment but not limited to retinal detachment.

3 The written request also required, as does
4 the law that allows us to write written requests,
5 that labeling had to be submitted following the
6 completion of those trials so that we could
7 incorporate the findings from the studies into the
8 labeling to better share that information.

9 As written within the law, regardless of
10 whether the studies demonstrated the aflibercept
11 injection was safe, pure, potent, or whether the
12 studies were inconclusive, those study results
13 needed to be included in the labeling. And you see
14 an example that Regeneron has submitted proposed
15 labeling, and the purpose of this meeting is very
16 much to discuss what should be in that label.

17 Aflibercept is BLA 125387, and this is
18 Supplement 75 that we're discussing. It was
19 submitted August 11, 2022. The FDA under the user
20 fee provisions has a 6-month time frame to review
21 that, so we expect to make a decision by six months
22 after August 11, 2022 or February 11, 2023. The

1 contents of the supplement consisted of FIREFLEYE
2 and FIREFLEYE NEXT, BUTTERFLEYE and BUTTERFLEYE
3 NEXT study report, and labeling.

4 To talk a little bit more about the
5 rationale and why we agreed to a control arm, we
6 consider laser treatment to be a viable alternative
7 to anti-VEGF treatment. You've heard about some of
8 the pros and cons about why one or the other is
9 necessarily better, but we thought it was a
10 legitimate comparison. Another potential
11 comparison could have been cryo, but we think
12 because laser treatment is more widely used and
13 there are advantages in the minds of many people of
14 laser treatment over cryo, that it was probably
15 more reasonable to expect a laser treatment
16 comparator.

17 We had some estimates from the RAINBOW study
18 as far as efficacy, but obviously the RAINBOW study
19 was a single trial. The amount of information you
20 can get from any one single trial gives you
21 estimates but not necessarily a definitive answer;
22 so looking back at what we had available at the

1 time, we had some natural history information based
2 on the multicenter trial of cryotherapy conducted
3 back in the 1980s and published in 1990. That
4 showed an actual history.

5 For patients that were randomized between
6 cryo and no treatment, the natural history reported
7 a fairly similar endpoint of about 55 percent
8 success rate -- or failure rate. The cryotherapy
9 at the time reported a 75 percent similar endpoint;
10 from BUTTERFLEYE, you've heard reported 66 percent;
11 for laser treatment and anti-VEGF, depending on
12 whether you just look at zone I or look at zone II,
13 a range of 80 to 88 percent since we didn't know
14 exactly what the proportion was going to be of
15 zone I versus zone II in these trials before the
16 trials were run.

17 We set a relatively conservative
18 non-inferiority margin. As Dr. Murray has
19 referenced, there have been reports of relatively
20 high efficacy, and we wanted any comparison, before
21 we said that they were the same, to be very close,
22 so we set this plus or minus 5 percent as being

1 what would be basically equivalent.

2 I won't go much into the primary endpoint
3 because you've already heard it presented.
4 Basically, each of the studies showed efficacy both
5 in the aflibercept and the laser group of
6 approximately 80 percent. The differences were
7 within 2 percent, but because of the size of the
8 study and variability, the confidence intervals are
9 relatively wide.

10 You can argue that we should have preplanned
11 this and should have made the studies larger. That
12 would have been one way to avoid the large error
13 rates around these differences. Part of the reason
14 we thought we could get away with having the size
15 studies that we did was there was an expectation
16 that the anti-VEGF treatment would do a little bit
17 better than the laser. That obviously did not
18 occur, so ultimately both trials failed to meet the
19 5 percent non-inferiority margin, and therefore
20 neither trial supported the prespecified
21 hypothesis.

22 Potential reasons have already been

1 partially discussed. Some of it was likely because
2 the trials were underpowered based on the three
3 underpowered calculations that were done based on
4 the information available at the time. The
5 population mix between zone I and zone II appears
6 to make a difference in what the efficacy rate is,
7 and also it's been alluded having photographs to
8 assure adequate laser treatment is likely to have
9 increased the efficacy of the laser population, or
10 the patients treated with laser. So we're left
11 with the natural history that was expected to be
12 about 55 percent, cryo therapy that was expected to
13 be somewhat around 75 percent, and both aflibercept
14 and laser in FIREFLEYE and BUTTERFLEYE around
15 80 percent.

16 Our goal was not necessarily to match what
17 is laser therapy, but to know what aflibercept
18 therapy would be so that we could inform clinicians
19 and the parents of patients what to expect. The
20 reason for doing a non-inferiority trial is usually
21 because you do not believe you could have an
22 untreated or natural history, and we continue to

1 believe it would have been unethical to not treat
2 babies with some therapy that we thought was
3 potentially effective.

4 The labeling that's been submitted includes
5 changes to the indication; dosing and
6 administration; adverse events section; pediatric
7 use section; pharmacodynamics; pharmacokinetics;
8 and clinical trials sections. These are all
9 appropriate sections to potentially change, but we
10 would like comments from the committee on both the
11 proposed changes and any modifications they have,
12 or any other labeling changes which they think
13 would be appropriate to better inform the public on
14 the findings of these trials and the potential best
15 use of this product for retinopathy of prematurity.

16 The indication that was added was treatment
17 of retinopathy of prematurity; this or some
18 modifications we would like to consider. For
19 dosing administration, as you've heard, the dose is
20 different than what is given with aflibercept for
21 the other adult indications. The agency has
22 proposed some minor modifications to the labeling

1 that was proposed by Regeneron. As is the case for
2 all of these labeling recommendations, this is a
3 work in progress, and we very much are looking
4 forward to comments from the committee on any
5 potential changes, both from the original and from
6 the modified.

7 We struck the limitation on giving the
8 treatment within the first year because, in
9 general, we've not seen any safety reasons why you
10 couldn't give it at, say, week 53. That doesn't
11 mean we are necessarily recommending treatments
12 outer the longer periods of time. It's not just
13 with laser treatment; it becomes more difficult to
14 give individual injections as these infants get
15 larger, but we thought one year was arbitrary to
16 limit it.

17 The same thing with day 28; we thought
18 day 28 was relatively arbitrary. We have for
19 anti-VEGF therapies, from a timing perspective,
20 thought that day 28 is not magic. We do think
21 there needs to be some time so that the anti-VEGF
22 systemic level is reduced before the next therapy,

1 but we are proposing day 25 as a limitation there.

2 EYLEA currently comes in both a glass-filled
3 vial, as well as a prefilled syringe. Because the
4 dosing amount is different, we believe that using
5 the syringe may potentially lead to dosing errors,
6 so we agree with Regeneron's suggestion stating
7 that they should not use the prefilled syringe, but
8 instead draw it up from the vial.

9 There is currently not stability
10 information, to my knowledge, on a smaller
11 prefilled syringe. We certainly are willing to
12 entertain comments about whether that's good or not
13 a good idea to go to a prefilled syringe with a
14 smaller fill volume. We also think, in general,
15 it's better to state what should be done as opposed
16 to what should not be done.

17 To describe the dosing, there was also a
18 section that was added in the dosing administration
19 section. We do recognize that giving
20 0.01 milliliters, or 10 microliters, is a very
21 small dose and frequently difficult to see in the
22 size syringe that is being proposed and that was

1 used for the clinical trial; the clinical trials,
2 plural.

3 The site of the injection is slightly
4 different in the neonate, so that's described.
5 Adverse reactions were relatively few, as seen
6 within the 52-week period of time. Whether
7 additional adverse events can be recognized during
8 the further follow-up in 5 years remains to be
9 seen. Because of this relatively small number, we
10 don't think that tenths of percentages adds
11 anything to those numbers; in fact, makes it
12 probably more difficult to read, so we rounded
13 those numbers.

14 Pediatric use is a specific section that
15 describes pediatric use in the labeling of a
16 product. We have proposed a modification for the
17 pediatrics use section, describing what the
18 rationale for using it is, i.e., that the clinical
19 course expected from using an anti-VEGF would be
20 better than the expected natural history in
21 untreated subjects. Again, we would welcome any
22 proposed changes to this.

1 Pharmacodynamics, we have not found to be
2 useful. While it was measured in the ROP patients,
3 we don't think it provides useful information to
4 treating these neonates with retinopathy of
5 prematurity, so we suggested that it get struck.
6 Pharmacokinetics provides the actual numbers. We
7 think it's probably more for academic purposes, but
8 we have the numbers, so it's probably useful to
9 provide them.

10 Immunogenicity was evaluated in these
11 patients and found to be the same low level of
12 immunogenicity, and we have yet to see any
13 consequences either in the neonates or in the
14 adults treated with aflibercept, so we've sought to
15 state that, but minimize the importance since it
16 hasn't been clinically relevant with the use of
17 aflibercept.

18 The clinical studies section is supposed to
19 get described so that clinicians understand what
20 was done. This section has been significantly
21 altered and, again, what you see in the next couple
22 of slides is an agency proposal. We would welcome

1 comments on how this section should be written.

2 This next slide is just a further portion.

3 Again, we tried to reduce some of the redundancy

4 since both trials were essentially the same, with

5 the exception of a couple different modifications,

6 the 2 to 1 randomization versus the 3 to 1

7 randomization. Those differences in the number of

8 patients in each group does make it a little

9 difficult to sometime figure out what happens with

10 rare events such as deaths because there were more

11 patients exposed in aflibercept than there were

12 with laser. So when you look at numbers such as

13 deaths, you had significantly more patients exposed

14 to aflibercept than the laser during the trials.

15 This ratio is described in this paragraph.

16 The efficacy has been listed and described.

17 We have not described any of the secondary

18 endpoints, and we did not describe them because by

19 the general issues that are involved with

20 multiplicity -- meaning if you look at multiple

21 different endpoints -- you have the opportunity,

22 just by chance, of seeing findings. So we ask that

1 endpoints be prespecified as far as order to
2 control for the multiplicity.

3 If you follow that type of statistical
4 approach and you fail at any one point, you are not
5 allowed to look at the next set of endpoints. So
6 while there was originally a primary endpoint and
7 secondary endpoint for this trial, once each of the
8 two trials failed their primary endpoint, meaning
9 they had not shown non-inferiority, by statistical
10 rules you cannot look at the secondary endpoints,
11 and so they are not currently described in the
12 clinical trials section.

13 I'm happy to take any questions. Thank you
14 very much for the opportunity to present.

15 **Clarifying Questions to FDA**

16 DR. CHODOSH: Thank you, Dr. Chambers.

17 This is Dr. Chodosh again. We will now take
18 clarifying questions for the FDA specifically, and
19 again, please use the raise-hand icon to indicate
20 that you have a question, and remember to lower
21 your hand by clicking the raise-hand icon again
22 after you have asked your question.

1 When acknowledged, please remember to state
2 your name for the record before you speak and
3 direct your question to a specific presenter if you
4 can. If you wish for a specific slide to be
5 displayed, please let us know the slide number, if
6 possible. Finally, it would be helpful to
7 acknowledge the end of your question with a thank
8 you, and the end of your follow-up question with,
9 "That is all for my questions," so we can move to
10 the next panel member.

11 It looks like our first question will come
12 from Dr. Joniak-Grant. Please go ahead.

13 DR. JONIAK-GRANT: Thank you. Elizabeth
14 Joniak-Grant. I have three questions. I'll just
15 ask each in turn.

16 As a patient representative, I am not an
17 ophthalmologist; so do VEGF and -- I'm not sure how
18 you pronounce this -- PIGF -- and maybe you
19 do -- which aflibercept binds to, are we aware of
20 the roles that these supplements play in the
21 development of any other structures outside of the
22 eyes? That's my first question.

1 DR. CHAMBERS: This is Wiley Chambers. I do
2 not know that I know the answer to that. PIGF is
3 placental growth factor. I'll turn it over to see
4 if Regeneron has an answer, but I do not know the
5 answer.

6 DR. HIRSHBERG: This is the sponsor here.
7 Dr. DiCioccio will address the question.

8 DR. DiCIOCIO: Yes. Hi. Tom DiCioccio.
9 Outside of the indication, we are also not aware of
10 any known implications of inhibiting PIGF. It does
11 seem to have some minor role possibly in
12 ophthalmology, but I'm not aware of anything else
13 either, as is Dr. Chambers.

14 DR. CHODOSH: Dr. Joniak --

15 DR. JONIAK-GRANT: I'm talking about the
16 VEGF.

17 (Crosstalk.)

18 DR. JONIAK-GRANT: Sorry. What about the
19 VEGF?

20 DR. CHAMBERS: This is Wiley Chambers.
21 There are clear potential implications. VEGF is
22 not limited to the eye. The body uses VEGF as a

1 marker of tissue to grow new blood vessels where it
2 believes it's necessary. The exact level of
3 anti-VEGF that occurs, that basically systemically
4 is available that would inhibit the body from its
5 necessary functions, to my knowledge is not known.
6 So while it's possible to measure it, we know there
7 is some. We do not know what level would stop any
8 additional growth of tissues systemically within
9 the body.

10 DR. JONIAK-GRANT: Thank you.

11 Then my second question is do you believe
12 that the laser comparison groups -- seeing that
13 we've had some unexpected results in efficacy with
14 it being the comparison group sizes of 27 and
15 38 -- are large enough to make reasonable
16 comparisons? A lot of the things with adverse
17 events and such, we're always comparing these two
18 groups. In FDA's estimation, are these sample
19 sizes large enough, despite the large confidence
20 intervals?

21 DR. CHAMBERS: So more is always better, but
22 we are faced with a balancing act of how long do

1 you study a particular product and how many
2 patients do you expose, because during the study
3 development period, you are not necessarily
4 labeling a product for that use, and that has
5 implications.

6 So the numbers that were arrived at were
7 based on the hypotheses prior to the trials being
8 done. It's always better to have the results in
9 hand and know what was going on, but we didn't have
10 that at the time we planned the trial. We think
11 this was a reasonable estimation to give an idea of
12 what was going on with anti-VEGF therapy, and
13 aflibercept in particular, so we thought it was a
14 reasonable number to be able to make an assessment
15 about whether the product should be approved or
16 not.

17 DR. JONIAK-GRANT: Okay. Then my last
18 question, is there any concern with the lack of
19 black or African-American participants? I'm
20 thinking, for example, there's more risk of sickle
21 cell, and that can cause clotting issues. It was
22 mostly a white and Asian population, but would

1 including individuals of other races impact adverse
2 event outcomes?

3 DR. CHAMBERS: The trials did enroll -- I
4 mean, there were no restrictions on who was able to
5 be enrolled. It did enroll multiple different
6 races. I don't know that the -- the population
7 that was studied is probably a little bit higher in
8 the Asian than would be expected in the U.S.
9 population, but otherwise is relatively comparable
10 to what you would expect in the U.S. population.

11 The impact on sickle cell, I do not know
12 what the implications are. The ability to have
13 included enough patients with sickle cell in this
14 rare population I think would be extremely
15 difficult to find, but obviously it would be nice
16 to know the answer, and to my knowledge, we don't
17 have that.

18 DR. JONIAK-GRANT: Okay. Thank you for the
19 information.

20 DR. CHODOSH: Great. Thank you.

21 I believe Dr. Michael Chiang is next with a
22 question.

1 DR. CHIANG: Jim, thanks.

2 I have two questions. One of them is if you
3 could clarify what the basis was for saying that we
4 can inject up to 3 times per eye. My second
5 question is that I think in a lot of these slides
6 we present, you've got option A, which is
7 aflibercept, and then option B, which is is laser.
8 And in the real world, I think what happens is, as
9 it's been alluded to, many of these babies get
10 aflibercept followed by laser.

11 One of the challenges is that there's not
12 universal consensus on when to laser after
13 aflibercept , and then what the criteria are for
14 laser. I see more and more people doing laser
15 after almost every anti-VEGF injection because so
16 many of these babies don't fully vascularize or
17 develop reactivation of disease.

18 So my question is with the aflibercept
19 versus laser question. I hear one question from
20 parents all the time, which is, "If you're going to
21 treat with anti-VEGF and then follow with laser,
22 why not just do the laser?" I just think that

1 that's something -- so my question would be if
2 there's a way that that can be just clarified for
3 patients up front in the labeling?

4 DR. CHAMBERS: This is Wiley Chambers. To
5 answer your first question, the choice of three was
6 arbitrary. The goal of the trials that the agency
7 asked for, we're just trying to get an
8 understanding of aflibercept. As I'm sure everyone
9 on this call knows, a single trial, or even two
10 trials, do not answer all the questions that people
11 might have about the safe and effective use of a
12 particular product, and certainly not all the
13 different situations.

14 The FDA's goal was to try and determine
15 whether there was a safe and effective way to go
16 and use aflibercept in the treatment of retinopathy
17 prematurity. It was not to necessarily come up
18 with all of the different ways that it could be
19 used. I certainly encourage additional trials
20 being done in this area, and specifically I would
21 have no objection to the National Eye Institute
22 funding additional trials to look at some of those

1 questions.

2 DR. CHIANG: Thanks, Wylie.

3 DR. CHODOSH: Okay. I'm looking for more
4 questions.

5 Dr. Murray, do you have a question for the
6 FDA?

7 (No response.)

8 DR. CHODOSH: I believe you may be on mute.

9 DR. CHAMBERS: Tim, you're on mute.

10 DR. CHODOSH: Dr. Murray, you are on mute.

11 If you have a question for FDA, can you
12 please [inaudible - feedback].

13 DR. MURRAY: Can you hear me?

14 DR. CHODOSH: We can hear you now. Thank
15 you.

16 DR. MURRAY: Okay. My phone says I'm not on
17 mute.

18 My question is for Dr. Chambers. We have
19 two clinical trials, neither of which meets the
20 primary endpoint of the trial, yet we're discussing
21 indications and potential usage. And I just
22 wondered what the thought process is when a primary

1 endpoint is not met in two clinical trials for a
2 change in labeling. Thank you.

3 DR. CHAMBERS: This is Wiley Chambers. Two
4 things happened here. One is the question about
5 whether the product really is safe and efficacious,
6 and the trial endpoint was based on our feeling
7 that it would be unethical to have included a
8 no-treatment arm, but the reason for having the
9 control is to get a better estimate of -- well, in
10 this particular case, we did a non-inferiority
11 because we couldn't include a no-treatment arm.

12 So the question that we really want to know
13 is whether the product is safe and efficacious.
14 And we believe that the difference between what the
15 natural history would be for this particular
16 product -- well, what the treatment arm
17 demonstrated versus what the natural history would
18 have been, demonstrates efficacy for this
19 particular product. But the second point to this
20 is we are required by law, when we write a written
21 request, to include information about that trial in
22 the label, whether or not the trial was successful.

1 DR. MURRAY: Thank you; very helpful.

2 DR. CHODOSH: Thank you.

3 So Wiley, if I might, as I understand it,
4 there are enough concerns about the particulars of
5 laser therapy, and in context, it would be
6 unethical to do a no-treatment arm, and that's why
7 you've sort of come to the place you are now? Does
8 that express it?

9 DR. CHAMBERS: Yes. No, it is really both.
10 It is, one, we're required to put it, so we're
11 going to have to write something in the label that
12 talks about using it; and, two, we do know what the
13 natural history would be. The natural history is
14 not a good outcome. So to the extent that we
15 believe this is better than the natural history, we
16 think it's worth identifying that it is better than
17 the natural history in the labeling.

18 DR. CHODOSH: Thank you, Dr. Chambers.

19 We have another question from
20 Dr. Joniak-Grant. Please go ahead.

21 DR. JONIAK-GRANT: I'm sorry. I forgot to
22 put my hand down.

1 DR. CHODOSH: Okay. Alright. That's fine.

2 DR. JONIAK-GRANT: No question.

3 DR. CHODOSH: This is Dr. Chodosh. Hang on
4 a minute. We're a bit early, and I'm trying to get
5 some instructions on whether we should break now
6 or go back to Regeneron. Dr. Murray has a
7 question, and --

8 DR. CHAMBERS: We've --

9 DR. CHODOSH: I'm sorry. Say again.

10 DR. CHAMBERS: There's another hand up.

11 DR. CHODOSH: Dr. Atillasoy has put his hand
12 up. Please go ahead.

13 (No response.)

14 DR. CHODOSH: You're muted. There you go.

15 (No response.)

16 DR. CHAMBERS: No, still muted.

17 DR. CHODOSH: Dr. Atillasoy, did you have a
18 question? Your hand went down, but you're still
19 muted.

20 (No response.)

21 DR. CHODOSH: Okay. If everybody would just
22 hang on for one minute.

1 (Pause.)

2 **Clarifying Questions to Applicant (continued)**

3 DR. CHODOSH: Dr. Murray, are you still
4 there? And if so, would you like to ask your
5 question for the sponsor?

6 DR. MURRAY: I am still here. Thank you.
7 Tim Murray, Miami.

8 I wanted to go back to the slides with the
9 40 percent recurrence rate from the use of
10 aflibercept and ask for a little bit of
11 clarification for that. It seems that the
12 recurrence rate of disease was significantly
13 different over time between laser and aflibercept,
14 and I wondered if that registers a concern.

15 DR. HIRSHBERG: Let me ask Dr. Vitti to
16 address the first question about the data, and then
17 Dr. Öрге to provide his clinical assessment.

18 DR. VITTI: This is Bob Vitti, Regeneron.
19 Let's look at the rates again; 40 percent
20 recurrence rate in the BUTTERFLEYE study for
21 aflibercept and 31 percent in FIREFLEYE.

22 I'm not a hundred percent sure of your

1 question when you asked about over time. What did
2 you mean by that?

3 DR. MURRAY: Yes. The other data point was
4 a Kaplan-Meier analysis looking at time to failure
5 and then overall failure. This chart also is
6 helpful. It does suggest a significant recurrence
7 of ROP within the first 52 weeks, and I think that
8 reiterates Dr. Chiang's concern when he mentioned
9 that many children now are being treated with an
10 anti-VEGF followed by a consolidating laser, and
11 that is a key point that often the parents will ask
12 why not laser up front?

13 I'm just interested in what the thoughts are
14 for this recurrence rate. It, for me, was higher
15 than expected with an anti-VEGF treatment, and
16 again reiterates my concern that the dosing
17 structure for aflibercept may be too low for this
18 unique population. Thank you.

19 DR. HIRSHBERG: Dr. Öрге will address your
20 question.

21 DR. ÖRGE: Faruk Öрге from ophthalmology.
22 Coming to the practical points on different

1 treatments, first of all, we had discussed the
2 laser treatment at a very early stage, meaning when
3 the baby is younger, when we apply the treatment,
4 as we initially have seen in the disease process,
5 it requires the entire avascular area to be
6 lasered.

7 Now with the anti-VEGF treatment that is
8 applied to the patient -- and I'm going to show you
9 this slide again -- again, coming back to this
10 example that I had given, when we apply the
11 anti-VEGF, it appears to not only regress the
12 disease or the abnormal vessels to go away, but
13 allows the normal vasculature to continue to grow.

14 At least in the immediate action where we
15 tend to see the babies to be still fairly
16 vulnerable systemically in the NICU, who are more
17 susceptible for anesthesia and sedation and
18 somewhat difficult to transfer, even to a different
19 room, these effects, if you allow the abnormal
20 vessels to go away and allow the normal vessels to
21 grow, then we will have a difference on how much
22 laser needs to be applied, even if you need the

1 laser.

2 Now, when we look at our study, a good
3 portion of the babies who just received treatment
4 is all they needed, at least at the 52-week mark,
5 which some of them actually came to the point that
6 they did not need laser at all. So the vasculature
7 had matured enough that it came to the 1-disc
8 diameter that we tend to see. So doing an
9 injection has these advantages for the long run,
10 but acknowledging that a certain amount of a group
11 still will need the vasculature to be followed
12 until they either come to this or we know they will
13 not be progressing, then maybe the follow-up laser
14 needs to be done.

15 As we were discussing priorly, I think that
16 is going to be an ongoing discussion. We're still
17 learning quite a bit about what is the appropriate
18 treatment. Do you do laser initially, anti-VEGF,
19 or in combination? When do you apply the laser
20 treatment? I think it's pretty much in a flux at
21 this point in the community and the comfort level,
22 but what we know is, again, the anti-VEGF allows us

1 to at least buy more time to apply less laser and
2 have less complications due to that. Not only
3 that; if we apply the laser, it tends to be more in
4 an outpatient basis or in a little bit more stable
5 condition when the babies are older so the systemic
6 problems somewhat have subsided.

7 I think beyond all, when we look at the
8 community, the dosage of the anti-VEGF and which
9 anti-VEGF to use is so variable, and having an
10 approved product really allows us to really study
11 for this amount, or for this dosage, on what needs
12 to be done a little more appropriately. So I think
13 for the overall discussion, while we're learning,
14 having that kind of a stability on what needs to be
15 done as a community is extremely helpful for us to
16 really understand what needs to be done laser, and
17 when. Thank you.

18 DR. CHODOSH: Dr. Murray, did you have any
19 follow-up?

20 DR. MURRAY: I'm good with that. Thank you,
21 Dr. Chodosh.

22 DR. CHODOSH: Thank you so much.

1 Are there any other questions? I don't see
2 any other hands up.

3 Not seeing those, we are going to right now
4 break for lunch. This is a little bit earlier than
5 planned, and instead of 1:45, we're going to
6 reconvene at 1:15 p.m. Eastern time. Please,
7 everybody take note, 1:15, not at 1:45 what's in
8 your schedule.

9 Panel members, please remember there should
10 be no chatting or discussion of the topics with
11 other panel members during this lunch break.
12 Additionally, unlike what you may have been told,
13 since we're starting lunch early and finishing
14 lunch early, please rejoin at 1 p.m. to the network
15 to be sure that you're connected before we
16 reconvene at 1:15 p.m. Thank you very much, and
17 we'll see you all after the break.

18 (Whereupon, at 12:04 p.m., a lunch recess
19 was taken.)
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

Open Public Hearing

DR. CHODOSH: Hi, everybody. This is Dr. James Chodosh rejoining after lunch. We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the applicant, its product, and if known, its direct competitors. For example, this financial information may include the applicant's payment of your travel, lodging, or other expenses in connection with your participation in this

1 meeting.

2 Likewise, FDA encourages you, at the
3 beginning of your statement, to advise the
4 committee if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your statement, it will not preclude you from
8 speaking.

9 The FDA and this committee place great
10 importance in the open public hearing process. The
11 insights and comments provided can help the agency
12 and this committee in their consideration of the
13 issues before them.

14 That said, in many instances and for many
15 topics, there will be a variety of opinions. One
16 of our goals for today is for this open public
17 hearing to be conducted in a fair and open way,
18 where every participant is listened to carefully
19 and treated with dignity, courtesy, and respect.
20 Therefore, please speak only when you are
21 recognized by the chairperson, myself. Thank you
22 very much for your cooperation.

1 Speaker number 1, your audio should be
2 shortly connected. Will speaker number 1 begin and
3 introduce yourself? Please state your name and any
4 organization you are representing for the record.
5 Thank you.

6 MS. PRATT: Good afternoon. My name is
7 Nicole Pratt. I have no conflicts or interests. I
8 am not receiving any financial compensation. I'm
9 here only because I want to share my experience
10 with my son, Jordan Pratt, an ROP.

11 Jordan was born premature on July 13, 2000,
12 weighing 2 pounds 4 ounces. He had a grade 4 brain
13 bleed. The doctor explained to me that Jordan
14 could develop developmental disabilities, vision
15 loss, and other medical issues. Jordan was in the
16 NICU for about 2 months. About 2 days prior to
17 being discharged, they did a vision screening.
18 That's when they saw that he was at risk of retinal
19 detachment. I was informed that he needed to see a
20 specialist, including an eye doctor. I got
21 referred to a pediatric eye doctor, first, to get a
22 comprehensive eye exam, then was referred to a

1 pediatric vision specialist who specialize in ROP.
2 The specialist confirmed the diagnosis of ROP
3 stage 3, and informed me that treatment wasn't
4 recommended at that point; just to watch and wait.

5 We had to go back every 2 weeks for about
6 3 months. I also enrolled Jordan in the Early
7 Intervention Vision Therapy program where I lived,
8 which he continued until he was about 3 years old.
9 It was a lot to handle, and this was more than
10 20 years ago, and I didn't have a lot of
11 information. I was and am a single working mom
12 with a child with many sorts of medical needs and
13 not a lot of options, especially if Jordan's
14 condition had changed.

15 We went to the ROP specialist, a vision
16 therapist/retinal therapist eye doctor. Jordan had
17 early intervention services until three, as I
18 mentioned, and that was key, and that's what helped
19 him to do very well with his vision. The only
20 thing, while he was young, the sunlight would
21 bother his eyes so that he would have to wear hats.
22 When he turned 21, he started having headaches, and

1 in addition he also has mild cerebral palsy. I
2 took him to the eye doctor, and he was diagnosed
3 with nearsightedness, which he now wears glasses
4 for.

5 I am very pleased here to share our story.
6 Thankfully, Jordan's ROP didn't require treatment
7 like the one you're considering today, but having
8 additional options supported by clinical data,
9 which is clear information for parents, could be
10 and is vitally important. I also would like to say
11 I was pleased when I saw your discussion questions.
12 Communicating the information about this treatment
13 is such an important part of making it available to
14 families. I'd like to also ask that you make sure
15 pediatricians especially have the information as
16 much as possible so that they can give parents
17 information and help parents/families make informed
18 decisions for their children.

19 I faced multiple diagnoses over the last
20 some odd years with him. I would have loved
21 more information and more options for Jordan's ROP
22 if he had needed it. Based on what you all are

1 doing today, parents in the near future may have
2 additional options for ROP, as well as the
3 information to understand the pros and cons of
4 different treatments and the possible outcomes.
5 Safety is the importance of their kids and
6 families.

7 Thank you for your time and listening to
8 Jordan's experience, and listening to my thoughts,
9 and as a mom who went through this many years ago
10 about how important what we're discussing today
11 gives patients and families some diagnoses
12 [indiscernible]. Thank you.

13 DR. CHODOSH: Thank you so much for your
14 comments.

15 Speaker number 2, your audio should be
16 connected now. Will you begin and introduce
17 yourself, stating your name and any organization
18 you're representing for the record? Thank you.

19 DR. DUNBAR: Hello. My name is Jennifer
20 Dunbar, and I'm a pediatric ophthalmologist. My
21 financial disclosures include, in the past, I've
22 participated as a subinvestigator in the RAINBOW

1 clinical trial for a VEGF inhibitor for ROP and was
2 paid for this, and I have also participated as a
3 site principal investigator in the BUTTERFLEYE
4 trial for EYLEA, and I was paid for this. I'm not
5 compensated for presenting today, however.

6 I completed my pediatric ophthalmology
7 fellowship in 1996, and since that time, I have
8 cared for infants with retinopathy of prematurity
9 in the tertiary care setting. Since 2002, I've
10 practiced at Loma Linda University in California,
11 who's neonatal intensive care is licensed for
12 86 beds. I often see 20 inpatient babies each
13 week, many of them with gestational age in the
14 23- to 24-week range. In addition, I see these
15 patients in the years to follow for their
16 outpatient follow-up.

17 Because of my 20 years experience at one
18 institution, I have a unique perspective on the
19 long-term suffering of individuals with severe ROP.
20 While a clinical trial may last around a year,
21 laser may have effects which last a lifetime. This
22 highlights the need for VEGF inhibitors such as

1 EYLEA to receive FDA approval for the ROP
2 indication. To illustrate this, I would like to
3 share the stories of three patients who I have
4 known since infancy.

5 The first patient is an 11-year-old female
6 who received laser in both eyes as an infant for
7 very severe ROP. Although her ROP resolved, after
8 laser and in infancy she experienced ocular
9 ischemia syndrome in her left eye, which led to a
10 serious retinal detachment in that eye, and her
11 vision is currently counting fingers in that left
12 eye.

13 About one month ago, she experienced
14 herpes-related anterior uveitis, which followed
15 herpes keratitis. This was also complicated by
16 glaucoma and threatened vision in her only seeing
17 right eye. This illustrates that these patients
18 are not immune to others severe eye problems
19 unrelated to ROP happening later in life, and that
20 every little bit of vision that we can save can
21 help protect them.

22 The second patient is a 19-year-old female

1 who received laser as an infant in both eyes. This
2 has been complicated by cataracts and glaucoma.
3 Her remaining vision is 20/200 in her better right
4 eye and hand motions in the left eye. In addition,
5 she has experienced two late retinal detachments in
6 the left eye at ages 10 and 15 years, both
7 requiring surgery.

8 Finally, the third patient is a 13-year-old
9 female who had the worst ROP I have ever seen. In
10 addition to severe ROP in the back of the eye, in
11 the retina she had very severe iris-plus disease
12 with engorged vessels in the tunica vasculosa
13 lentis, which surrounds the lens in premature
14 infants. When I attempted laser, these vessels
15 broke and bled. They took away view of the retina
16 and they prevented any further laser, and they
17 prevented complete treatment of the ROP.

18 We were able to use VEGF inhibitor to be
19 injected under compassionate use. This was the
20 first time I saw VEGF inhibitor injected off label
21 to help control the ROP. It calmed the ROP down
22 enough to enable laser to be performed later in

1 this very difficult case. At the present time, I
2 still see this patient, and her vision is 20/40.
3 She's able to participate in regular school and to
4 walk around.

5 In summary, ROP remains a significant
6 challenge to this day. Laser for severe ROP has
7 the potential for late side effects, which are not
8 reflected in the time frame of clinical trials.
9 Children deserve their ophthalmologist to have a
10 full armamentarium of tools, including FDA-approved
11 VEGF inhibitors like EYLEA to fight this blinding
12 disease. Thank you so much for your time.

13 DR. CHODOSH: Thank you, Dr. Dunbar.

14 Speaker number 3, your audio should be
15 connected now. Please begin and introduce yourself
16 by stating your name and any organization you're
17 representing for the record. Thank you.

18 DR. CHAN: Hi. Thank you, Dr. Chodosh. My
19 name is Paul Chan. I'm the professor and
20 department head of ophthalmology at the University
21 of Illinois at Chicago. I'm also the director of
22 the pediatric retinopathy service.

1 Let's go to the next slide. I'm currently
2 here presenting as a member of the board of
3 directors of Prevent Blindness. For disclosures, I
4 participated in the BUTTERFLEYE study and had
5 potential patients who were part of the study, but
6 none were selected for enrollment. I'm also an
7 advisor and a consultant for Genentech, and an
8 owner of Siloam Vision, which deals with ROP care.

9 What I want to go through today, as the
10 previous speaker had mentioned, is the discussion
11 around treatment options for ROP and why it's so
12 critically important that we have these options.
13 When we go through the evolution of treatment and
14 how treatment has evolved for ROP, we first started
15 with peripheral ablation with fusion treatment, and
16 then with laser, and then subsequently with
17 bevacizumab.

18 I think when we have these discussions of
19 why do we need treatment options, well, even with
20 laser, especially in the most aggressive forms of
21 retinopathy prematurity, there is a significant
22 fill rate, and many children are very difficult to

1 treat. So now we are fortunate to have options
2 such as anti-VEGF in the form of aflibercept and
3 other medications to treat these children, and now
4 we have data. With the BUTTERFLEYE and the
5 FIREFLEYE studies, we're starting to see data, and
6 most recently, data from the FIREFLEYE study was
7 published, most recently, in 2022 with the
8 international group, showing non-inferiority to
9 laser using aflibercept 0.4 milligrams.

10 I just want to provide an example of a case
11 that benefited from anti-VEGF, and here you can see
12 these pictures, and I'm sure many on the call and
13 many on the panel know this very well. You can
14 have increased VEGF, which will produce new vessels
15 in front of the eye, and you can see here in this
16 picture those lines going radially into the center
17 of the pupil, which will make it difficult to also
18 perform laser.

19 As we look at the findings here, and as we
20 all know right now, retinopathy prematurity is a
21 disease that most commonly occurs in both eyes, so
22 if a child is not treated appropriately or if

1 treatment fails, then that baby can be blind
2 bilaterally. We can see here the plus disease in
3 the vessels, in the vessels of the periphery and
4 hemorrhage. This is posterior disease, and this is
5 a patient that would benefit from anti-VEGF
6 therapy.

7 This baby was treated with anti-VEGF, and
8 what you'll see here is that instead of ablating
9 and destroying the peripheral retina, you're
10 allowing the vessels to grow more peripherally,
11 improving outcomes potential. As a comparison, in
12 this picture this patient had laser, and you can
13 see here the destructive nature of laser treatment
14 and how this might affect vision in the long term,
15 and as previously presented, patients may develop
16 significant myopia through life.

17 When we talk about retinopathy prematurity,
18 it's a condition that will affect children. It is
19 lifelong and can be devastating in terms of the
20 visual disability and also lifelong morbidity. I
21 think that right now we have options. We have
22 options regarding treatment with laser and also

1 with anti-VEGF injections, and I think it's
2 critical for physicians and families to have
3 guidance on the safe use of intravitreal anti-VEGF
4 injections, as we're starting to see more data come
5 through.

6 In addition, it's incredibly important to
7 educate families to empower them to make informed
8 decisions for their children, and I think this is
9 really one of the most critical points that we
10 discuss. So now that we have the options, such as
11 laser and these other intravitreal agents, we have
12 to make sure that parents are aware that these are
13 possibilities to treat their children. In general,
14 having treatment options will not only save vision,
15 but it will also save the lives of these children.
16 As we know, many children who can't see will have
17 more mortality than children who can, and it's
18 important to save them that risk of developing
19 blindness or ROP.

20 So again, I want to thank the committee and
21 the panel for having me speak to you today and to
22 discuss these treatment options for retinopathy

1 prematurity. Thank you.

2 DR. CHODOSH: Thank you, Dr. Chan.

3 Speaker number 4, your audio is now
4 connected. Will you begin and introduce yourself,
5 while stating your name and any organization you
6 are representing for the record?

7 MS. CUNDIFF: Good afternoon, and thank you
8 for allowing me to speak today. My name is Kathy
9 Cundiff, and I have no financial interest in the
10 outcome of this meeting, and I'm not being
11 compensated for my time to share my family story.
12 I do have a slide if you're able to pull it up.

13 I wanted to share stories of our family's
14 experience with ROP after our triplets, Layla,
15 Cameron, and Matthew, were born emergently at
16 24-weeks gestation in October of 2016. We were
17 told early on that our children had ROP, and they
18 would be closely monitored through their NICU stay
19 and thereafter.

20 As a parent, eye exams and also head
21 ultrasound testing days were the most gut-wrenching
22 days for me. I would wait outside the room for our

1 retina specialist to tell me how much more advanced
2 their ROP disease had progressed. I vividly
3 remember one evening where I was alone in the
4 hallway waiting for the retina specialist to give
5 me an update after their exams, and he said, "All
6 three of your children will very likely go blind."
7 Cameron, Layla, and Matthew all had stage 3 plus
8 ROP.

9 I immediately fell to the floor in tears.
10 After I composed myself, I was told there was a
11 couple of treatment options that may help stop the
12 progression of their disease. Both laser surgery
13 and an off-label injection were discussed. We
14 spoke about the pros and cons of both treatments,
15 including that the injection was not an
16 FDA-approved treatment for ROP, and that there
17 could be side effects along with the risk of
18 peripheral vision loss with laser surgery. In that
19 moment, I had to decide what to do, and together we
20 decided the injections were the best option for our
21 babies due to their advanced disease. I felt I had
22 no choice but to say yes due to how bad their eyes

1 had gotten and the urgent need for treatment. I
2 then had to sign off on medical waivers for all
3 three of my babies for a treatment that I was
4 fearful of and had not been proven for premature
5 babies.

6 In December of that year when the triplets
7 were 8 weeks old, we were told our son Matthew's
8 ROP was on the verge of retinal detachment, and the
9 best chance for him was to be transferred to a
10 university level NICU where there was a retina
11 specialist with more expertise for a second opinion
12 on treatment. Again, we felt like we had no choice
13 that day but to separate our family and transfer
14 our son an hour away to give him the best chance at
15 saving his eyes.

16 We spent the holidays that year between two
17 hospitals while Matthew had laser surgery on both
18 eyes. For that transfer for Matthew, we received a
19 \$15,000 ambulance bill that we could not pay at the
20 time. We ignored the bill and focused on our
21 babies hopefully coming home one day. Sadly,
22 Matthew passed away at 4 months old after fighting

1 for his life. His severe brain injuries were
2 worsening and his small body could not handle any
3 more trauma. We buried our son, and then the
4 following day brought Layla home, and shortly
5 thereafter Cameron joined us. Both babies were on
6 oxygen, and Layla with a G-tube.

7 The first 6 months of Cameron and Layla
8 being home, we either had weekly or bi-weekly
9 retina appointments with our local physician. I
10 would transfer them to their portable oxygen tanks
11 and had my camping chair in tow. You're probably
12 wondering why a mom would bring a camping chair to
13 a doctor appointment. You see, the local retina
14 doctor who treated our babies, who is one of the
15 only retina specialists for babies in the area, was
16 so busy, his waiting room would be overflowing, but
17 Cameron and Layla could not be around all those
18 people due to their weak immune systems, so I would
19 sit in the hallway in my camping chair, double
20 stroller, and oxygen tanks in tow, while we waited
21 2 hours each visit to see the doctor after
22 dilation.

1 Both Cameron and Layla received more
2 injections after some of those visits, off-label
3 injections, where again I had to make a quick
4 decision and sign those waivers. I would walk away
5 pale as a ghost wondering if I made the right
6 decision for my babies. Throughout that first
7 year, I was also harassed by creditors for
8 Matthew's \$15,000 ambulance transfer for his second
9 opinion for his eyes. We had to dig into our
10 savings to pay for the negotiated payment for our
11 son who is no longer alive. As you can imagine,
12 this was both mentally and financially draining.

13 Eventually, Layla also had laser surgery on
14 both eyes. The first year of their life, we were
15 fighting for their eyesight, among many other
16 battles, and multiple hours of therapy each week.
17 The micro preemie journey is one that is absolutely
18 gut-wrenching, heartbreaking, and life-altering.
19 The decisions we as parents had to make were
20 absolutely awful and things no parent should ever
21 have to endure.

22 I will say that after all our children went

1 through, their battle to save their eyesight was
2 one of the most difficult. We have transferred
3 their care to that university retina physician whom
4 we still see every 6 months. If there is an
5 FDA-approved treatment to give our babies a better
6 chance at seeing the world, I urge you to please
7 help babies like Cameron and Layla and Matthew, and
8 families like ours. Thank you very much for
9 allowing me to share our story.

10 DR. CHODOSH: Thank you so much for sharing
11 your comments with us.

12 Speaker number 5, your audio is now
13 connected. Please begin and introduce yourself by
14 stating your name and any organization you're
15 representing for the record.

16 DR. CLELAND: Hi. Good afternoon, and thank
17 you for allowing me to speak. My name is Tim
18 Cleland, and I am a retina specialist in private
19 practice here, based in San Antonio, Texas. I'm
20 speaking on my own behalf, and what follows is my
21 own perspective. It is based on my experiences and
22 interactions with other retina specialists,

1 neonatologists, and parents of premature infants.
2 By way of financial conflict, I was an investigator
3 in the BUTTERFLEYE study.

4 By way of background information, I have a
5 bachelor's degree in electrical engineering and a
6 master's degree in biomedical engineering from the
7 University of Texas at Austin, and medical school
8 and ophthalmology residency training while also
9 here in Texas. I completed a fellowship in
10 intravitreal retinal surgery prior to entering
11 private practice.

12 I have been involved in the screening and
13 treatment of retinopathy of prematurity for more
14 than 25 years. I have also been in the ROP
15 research arena for longer than that. Our group was
16 involved in the CRYO-ROP study, ETROP study, the
17 RAINBOW study, and the BUTTERFLEYE study. Our
18 group currently provides ROP coverage and inpatient
19 pediatric retina consultation services for the
20 major San Antonio children's hospitals.

21 I personally cover the Methodist Children's
22 Hospital neonatal intensive care unit, which is

1 110-bed, level 4 NICU. On average, I examine
2 30 premature infants per week in the NICU, as well
3 as in the clinic. Over just the past 12 weeks, I
4 have performed laser surgery on 6 eyes of 3 babies,
5 and I've injected 8 eyes of four more premature
6 babies. The smallest of these four is a baby born
7 at 22 weeks gestation, with a birth weight of over
8 just 1 pound. I'm happy to say he's doing very
9 well.

10 Currently for treatment-indicated ROP, we
11 know the gold standard is laser. Now, the
12 indications for laser treatment are well described,
13 however, there are times when a baby needs
14 treatment but is too medically unstable for laser.
15 There are other times when the retina is so
16 immature, it makes sense to inject an anti-VEGF
17 agent and then allow the retina to grow and apply
18 what turns out to be much less laser at a later
19 date. The other times, a baby has had laser but
20 the disease remains active, and what to do?

21 Laser surgery for me involves a trip to the
22 operating room and 90 minutes of general

1 anesthesia, typically. It happens occasionally
2 that the pediatric anesthesiologist wants the baby
3 to remain on the ventilator after the laser surgery
4 is completed, and sometimes they do for days;
5 sometimes longer. This is a proper and correct
6 treatment for most babies, and we do it. The
7 complication rate is low; success rate is high.

8 Intravitreal anti-VEGF injections on the
9 other hand can be done easily and quickly at the
10 bedside, usually with sedation and a topical
11 anesthetic; but, as we all know, there currently
12 are no FDA-approved anti-VEGF medications for ROP.
13 We all do it off label because it works and because
14 there are many papers in the literature that
15 support its use, and as I have previously
16 mentioned, sometimes we don't have any other
17 option.

18 It can be a very difficult conversation with
19 the parents of this fragile patient population; for
20 example, on telling them their baby's ROP has
21 progressed to the point where we need to treat, yet
22 the attending neonatologist says general anesthesia

1 is too risky. So I tell them we're going to inject
2 this medicine that works very well into their
3 baby's eyes. Of course, I'm also obligated to tell
4 them that the medicine I'm going to inject is not
5 FDA approved for this indication, and currently
6 there is no other medicine that is. Most parents
7 respond with, "You're the expert; do what is best."
8 Some respond with, "So you're telling me you're
9 going to perform an experimental procedure on my
10 baby?"

11 We need the FDA to support what we already
12 do, specifically to approve a drug for
13 treatment-warranted ROP. We as practicing retina
14 specialists need an FDA-approved anti-VEGF
15 medication. As I see it, our intent is not to
16 replace laser with anti-medications; we need an
17 FDA-approved drug that we can use along with laser
18 to best treat these premature infants. Thank you
19 for your time.

20 **Clarifying Questions (continued)**

21 DR. CHODOSH: Thank you, Dr. Cleland.

22 This is Dr. Chodosh again. This concludes

1 the open public hearing portion of this meeting,
2 and we will no longer take further comments from
3 the audience.

4 We will now entertain remaining clarifying
5 questions. Please use the raise-hand icon to
6 indicate that you have a question, and remember to
7 put your hand down after you've asked your
8 question. Please remember to state your name for
9 the record before you speak and direct your
10 question, if possible, to a specific presenter. If
11 you wish for a specific slide to be displayed, let
12 us know the slide number, if possible.

13 As a reminder, it would be helpful to
14 acknowledge the end of your question with a thank
15 you, and the end of your follow-up question with,
16 "That is all for my questions," so we can move to
17 the next panel member.

18 Now, I do believe that Dr. Joniak-Grant had
19 asked a question of the sponsor that they needed
20 further information or further time to gather that
21 information, and we could go back to that question
22 now if the sponsor is available and ready to

1 respond.

2 DR. HIRSHBERG: Yes, we are. Dr. Vitti will
3 address this question.

4 DR. VITTI: Bob Vitti, Regeneron. The
5 question was how many patients did not reach the
6 week 52 visit and needed to have their data carried
7 forward from week 40? And the answer is, there
8 were 2 patients in the BUTTERFLEYE study that fell
9 under this category, and none from FIREFLEYE.

10 DR. CHODOSH: Thank you.

11 DR. JONIAK-GRANT: Thank you.

12 DR. CHODOSH: Dr. Joniak-Grant, did you have
13 any follow-up?

14 DR. JONIAK-GRANT: I don't. Thank you.

15 DR. CHODOSH: Are there any additional
16 clarifying questions from anyone on the panel?

17 (No response.)

18 DR. CHODOSH: I'm not seeing any hands
19 raised. Perhaps we should give a moment --

20 DR. CHAMBERS: No, there is.

21 DR. CHODOSH: -- okay; there's one.

22 Dr. Atillasoy?

1 DR. ATILLASOY: Hi. It's Dr. Atillasoy.

2 Can you hear me?

3 DR. CHODOSH: Yes. Please go ahead, sir.

4 DR. ATILLASOY: Yes. This is Dr. Ercem
5 Atillasoy from Jazz Pharmaceutical. I'm the
6 non-voting industry rep. I do have a question for
7 the agency, and a statistical question.

8 Both yourselves and the sponsor mentioned
9 what I would call a relatively conservative margin
10 for non-inferiority, so the question I had is, was
11 there any consideration that the agency had of
12 widening that margin, given the demonstration of
13 efficacy, effectiveness, that we see here? I just
14 was curious about that in terms of the thoughts
15 around that; so just a question on the margin, and
16 perhaps it was too conservative, and that may have
17 been the issue, given what I view as a
18 demonstration of effectiveness and safety. So that
19 was the question.

20 DR. CHAMBERS: This is Wiley Chambers. In
21 evaluating non-inferiority trials and non-
22 inferiority margins, we will normally look for two

1 things. One, if we can find the equivalent of
2 placebo-controlled or no-treatment controlled data,
3 we will look to see what the difference is between
4 that and the presumed active treatment, and we
5 determine what's called an M1. An M1 basically
6 tells you how much better you would be than no
7 treatment or a placebo treatment.

8 We then try and preserve some of that
9 because you don't want to have a treatment that
10 uses all of that efficacy and basically puts you
11 back at being a placebo. So we take a fraction of
12 that, and that fraction is typically called M2.
13 The M2 we also want to be clinically meaningful,
14 and we want it to be what physicians would consider
15 to be essentially equivalent treatments. And
16 5 percent, at least internally within the FDA, we
17 believe physicians would call treatments that were
18 equivalent. So we think the 5 percent was an
19 appropriate margin for being an equivalent
20 treatment. That said, it's smaller than the M1, so
21 it still preserves some benefit over no treatment,
22 but it is tight enough to say these two treatments

1 would determine whether the treatments were
2 equivalent.

3 So we think the conclusion that the
4 aflibercept treatment is not necessarily equivalent
5 to laser but is superior to no treatment is
6 appropriately justified from the data that we've
7 received.

8 Does that answer your question?

9 DR. ATILLASOY: Yes, it does. I very much
10 appreciate -- given the 2016 guidance, one of the
11 examples the agency provides is the example of the
12 10 percent margin, but I agree and understand.
13 Thank you very much.

14 DR. CHODOSH: Thank you. This is
15 Dr. Chodosh again. We have a question from
16 Dr. Chiang please.

17 Please go ahead, Michael.

18 DR. CHIANG: Jim, thanks. I'm sorry if my
19 question is a little bit naive, and I'm not sure
20 who to address it to. Maybe I'll address it to
21 Dr. Chambers.

22 I noticed that all five of the presenters in

1 general spoke about anti-VEGF agents in the general
2 sense; that it would be good to have anti-VEGF
3 agents approved, whereas just hearing about one
4 particular anti-VEGF, aflibercept. And it possibly
5 may be the one that's used the least right now just
6 because it's been validated, and the trial was the
7 most recent of the trials.

8 Can you just describe the outcome of today
9 and what the implications are going to be for other
10 anti-VEGF agents that are out there?

11 DR. CHAMBERS: Wiley Chambers. At least
12 within the field of ophthalmology, the most common
13 reason -- or the answer that I most commonly give
14 to the question similar to what you're asking, why
15 a particular agent has not been approved for a
16 particular indication, is because no one has
17 submitted an application for that product for that
18 indication. That is the case here, too. We we
19 cannot approve products where no one has submitted
20 an application requesting that indication.

21 In this particular case, we have Regeneron
22 requesting that aflibercept be indicated for the

1 treatment of retinopathy of prematurity. It will
2 have no impact on any other anti-VEGFs unless the
3 particular companies that manufacture those
4 products also ask for the indication. For any of
5 them, we also would expect adequate and
6 well-controlled trials to demonstrate that the
7 product is safe and efficacious. That doesn't
8 necessarily mean new trials, but it means trials
9 need to be conducted that show the product is safe
10 and efficacious.

11 DR. CHIANG: Thank you very much.

12 DR. CHODOSH: Thank you.

13 Are there any other clarifying questions
14 from the committee?

15 (No response.)

16 **Questions to the Committee and Discussion**

17 DR. CHODOSH: The original schedule, of
18 which we're well ahead on, had us taking a short
19 break. But I think it's so soon after lunch, and I
20 checked with Dr. Bonner, and we don't need to take
21 a break unless I hear something dramatic from the
22 panel. Therefore, we will now turn our attention

1 to address the task at hand, which is the careful
2 consideration of the data before the committee, as
3 well as the public comments we heard earlier.

4 We will now proceed with questions to the
5 committee and panel discussions. I would like to
6 remind public observers that while this meeting is
7 open to public observation, public attendees may
8 not participate except at the specific request of
9 the panel. After I read each question, we will
10 pause for any questions or comments concerning its
11 wording, and then we will open the question to
12 discussion.

13 I'm going to read the first question.
14 Question number 1, and this is to the panel,
15 discuss how the studied use of aflibercept in the
16 treatment of retinopathy of prematurity can best be
17 communicated to physicians and the caregivers of
18 these premature infants? We will be following the
19 raise-your-hand method, please, so that we can do
20 this in an orderly fashion.

21 Dr. Joniak-Grant, I see your hand is raised.
22 Please go ahead.

1 DR. JONIAK-GRANT: Hi. I'm going to focus
2 on the caregiver part of this question. I'm also a
3 parent of, fortunately, a late preterm infant but
4 one that had complications, and as the parents
5 mentioned, I think we have to think about this in
6 two ways.

7 One, when you're in the hospital, and you're
8 in the NICU, and you're dealing with everything,
9 and then perhaps information later when you have
10 been hopefully released and you're doing follow-up,
11 you kind of focus on the hospital side of things.

12 I think one thing that's really important to
13 remember in all of this is that parents, when
14 you're in the NICU and things, children have
15 multiple health issues, you have multiple
16 specialists that are coming in and out all day
17 long; you're having to make these sort of what
18 feels like spur-of-the-moment decisions that have
19 extreme impact on your infant; and you're there all
20 day all the time because you never know when
21 someone's going to show up at the door finally to
22 talk to you.

1 So it's a lot of hurry up and wait, and then
2 make quick decisions. You're tired, and for a lot
3 of parents, this is the first time they've had to
4 deal with anything really medically complex.

5 I think one thing we really need to think
6 about is not just having to be solely dependent on
7 your physician to fill you in, because I think you
8 don't even know what questions to ask at those
9 points, but maybe thinking about there being even
10 handouts that are tables that can do some
11 comparison charts of the benefits and risks of
12 different approaches.

13 What does it look like long term in terms of
14 follow-up in terms of frequency, length of time,
15 some of the rates of recurrence, and just trying to
16 make it into really a basic bullet-point table, I
17 guess, of how to digest all this really complex
18 information, recognizing that this person can't go
19 and look things up because probably they're waiting
20 to meet with the next specialist, and in line
21 30 minutes later to make the next decision. I
22 think that's something that we have to be really

1 mindful of and aware of, and helping them have the
2 tools that they need to make the decision.

3 On the other hand, I think it's also
4 important that because there are a lot of unknowns,
5 that those unknowns be communicated, but not in a
6 way that it then puts the burden on the caregivers.
7 Unfortunately, sometimes as things get more
8 unknown, some physicians tend to say, "Well, you
9 know, it's really up to you; you have to make the
10 call," and that is an extremely difficult position
11 for parents to be in. I think if there could be
12 some way to help manage some of those details, it
13 would be really beneficial.

14 DR. CHODOSH: Thank you, Dr. Joniak-Grant.

15 Dr. Chiang?

16 DR. CHIANG: I would say just a few things.
17 Number one, as a physician myself, if I can just
18 share my opinion, I believe having used anti-VEGF
19 agents has allowed me to take better care of
20 babies, and in my opinion helped prevent vision
21 loss in some babies. That's just my personal
22 opinion.

1 Now, in terms of communicating to the
2 physicians, to answer the question, I think that
3 for some physicians, there's a narrative, "Oh, I
4 can just spend 5 minutes instead of 120 minutes,"
5 which is the data from these studies, but I think
6 that really oversimplifies the burden on the
7 physician. For me as a physician, I think the
8 challenge is that the physician ends up needing to
9 see the patient and follow up much more often, and
10 then there's a very high chance that the physician
11 ends up doing laser anyway because of peripheral
12 avascular retina or because of reactivation. I
13 think that needs to come across to physicians, that
14 there's that trade-off.

15 I think the other thing is that for the
16 caregivers, I think it's also really important to
17 communicate that it's not just that 5-minute
18 treatment that's a cure-all; that you will have to
19 be committing to bring your baby back really pretty
20 frequently and potentially be readmitted, and that
21 the standard of care for that, which we discussed
22 in the earlier session, is evolving. I don't know

1 that we have answers for when is the right time for
2 treatment of disease reactivation or even what the
3 threshold is that should warrant there's a
4 treatment after anti-VEGF injections.

5 So those are my thoughts about issues that I
6 think would help to communicate to physicians and
7 also to caregivers.

8 DR. CHODOSH: Thank you, Dr. Chiang.

9 Dr. Clayton?

10 DR. CLAYTON: Yes. Janine Clayton. I think
11 that one of the issues I'd like to bring up is the
12 fact that -- and piggying back on what Dr. Chiang
13 mentioned -- there are a lot of nuances and
14 contingencies that go into clinical decision
15 making, generally, and that is amplified in the
16 setting of ROP.

17 In terms of this specific question, how to
18 convey that best to physicians, I do think that a
19 variety of means need to be employed to reach
20 physicians. Case studies are one way to do that.
21 And again, amplifying Dr. Chiang's message, that
22 each decision isn't being made in isolation; it's

1 in a context of the overall care of that patient.
2 I am heartened to hear from the family members and
3 caregivers that this really is a challenging
4 circumstance for them in terms of decision making,
5 which makes it even more critical that clinicians
6 have in mind a broader context -- which they do, of
7 course -- and not just that individual injection or
8 individual laser decision.

9 So the bottom line is I'd like to say that
10 it would need to incorporate content that speaks to
11 the overall outcome of the ROP for that particular
12 patient, and shouldn't be just a single decision
13 point. End.

14 DR. CHODOSH: Thank you, Dr. Clayton.
15 Thanks so much.

16 I believe Dr. Murray.

17 DR. MURRAY: Thank you, Dr. Chodosh. My
18 comment is that I believe that virtually all the
19 retina specialists have an understanding of
20 anti-VEGF use in ROP. I think that the issue here
21 will be broadening that understanding outside of
22 the retina community to our support caregivers and

1 our families.

2 Further, I'm interested in a comment on the
3 potential unintended consequences of aflibercept
4 receiving FDA approval for the use of a
5 non-FDA-approved drug such as bevacizumab. Thank
6 you.

7 DR. CHODOSH: Thank you.

8 Dr. Atillasoy?

9 DR. ATILLASOY: Yes. Ercem Atillasoy again,
10 non-voting industry rep, and I speak on my own
11 behalf and not on behalf of Jazz Pharmaceuticals.
12 I do still represent the industry in general.

13 Just for a quick background for the audience
14 and committee, I am a dermatologist by training.
15 There are some points of connectivity. I have had
16 a late-stage healthy preemie. I've had a father
17 who had retinitis pigmentosa, so I know firsthand
18 the devastating effects of retinal disease, so I
19 really want to commend all the investigators and
20 parents, and very heartfelt condolences to the
21 Cundiff family. So I have clearly heard the
22 devastation that retinal disease and loss of sight,

1 what the impact may be.

2 Therefore, just to state my own personal
3 view, certainly the best way for the sponsor to
4 communicate by regulation is, of course, for the
5 indication to be approved so that we can have the
6 best information provided to the physicians and
7 caregivers to have a more informed discussion as
8 opposed to the off-label use, so the compassionate
9 use of the product.

10 So I just wanted to state the obvious. An
11 approval of this supplement and the indication for
12 ROP makes the most sense. So I just wanted that
13 commentary. Thank you very much.

14 DR. CHODOSH: Thank you so much.

15 I still see a few hands raised. If you're
16 done with your question, you can lower your hand so
17 that we know that you're not making a second
18 question.

19 Dr. Clayton, did you have an additional
20 question or comment?

21 DR. CLAYTON: Sorry about that. No. Let me
22 fix that.

1 DR. CHODOSH: Thank you.

2 Are there any other -- oh, I see
3 Dr. Joniak-Grant's hand is up. Please go ahead,
4 Elizabeth.

5 DR. JONIAK-GRANT: Thank you. Elizabeth
6 Joniak-Grant, and just more of a comment. I think
7 in terms of a parent, the information that would be
8 important I think is it's just mindful to say what
9 we like to hear. I think the recurrence and the
10 retreatment rates, and that laser would be
11 possible, I think is helpful and what follow-up
12 looks like.

13 I think the unknowns are a really important
14 point. What is not known in terms of systemic
15 effects, and what adverse event likelihood with
16 multiple injections? That's not known. There were
17 a lot of things we just talked about today that
18 it's like, "Well, we don't know." I think that's
19 all really important things that parents would want
20 to know, and also to maybe think about -- and we
21 can talk about this more with the next
22 question -- possible contraindications, especially

1 in families that have bleeding or clotting
2 disorders with the increased likelihood of
3 hemorrhage; that impact.

4 I have von Willebrand, so I am forever
5 dealing with that, with that side, and my husband
6 has clotting stuff. So I think also parents would
7 want to know that because these are some details
8 that might not always come up in these types of
9 consults.

10 DR. CHODOSH: Thank you so much.

11 Are there any other clarifying questions for
12 this question number 1, or comments?

13 (No response.)

14 DR. CHODOSH: Okay. Before we move on, I'm
15 going to just summarize what I heard as the
16 chairperson. This is Dr. Chodosh again.

17 Dr. Joniak-Grant commented mostly on
18 communicating to the caregivers and often the
19 parents, but not always, and reflected that in the
20 hospital setting with a premature birth -- to use
21 my own words -- there's chaos, and decisions need
22 to be made spur of the moment, and wondered what

1 sorts of materials could be provided to patients.

2 Dr. Joniak-Grant then later highlighted what
3 we don't know and really what will follow, making
4 sure that caregivers know about recurrence and
5 retreatment rates, that laser is still possible,
6 but understanding that no one has really determined
7 what the perfect follow-up schedule should look
8 like and how that should be individualized,
9 et cetera.

10 Dr. Chiang commented that the availability
11 of anti-VEGF medications off label has allowed him
12 to take better care of babies, but he commented on
13 our need to figure out how best to communicate to
14 physicians because -- and I thought of this
15 also -- it's not just a one-time injection, one and
16 done, and it would be unfortunate if that was the
17 message.

18 Dr. Murray qualified, I think, that
19 providers who are currently doing this therapy will
20 know because most of them are using off-label,
21 anti-VEGF therapies, and they've learned that
22 follow-up is needed. Dr. Murray also asked a

1 question as to what might be the unanticipated
2 effects of approval of aflibercept on the use of
3 other medications, perhaps unknowable.

4 Dr. Clayton highlighted there are lots of
5 nuances to clinical decision making that in this
6 particular scenario are particularly amplified, and
7 that we need multiple -- in my own words -- and
8 overlapping ways to communicate to physicians
9 around this decision making. I think some of this
10 might be reflected in the language that the FDA
11 puts forward.

12 Dr. Atillasoy, as a family member of someone
13 with retinal disease, commented on its impact on
14 the individual and their family, and highlighted
15 that just approving aflibercept for this indication
16 would really mean improved communications to
17 physicians based on the approval alone over its
18 use, and would highlight the availability of this
19 to caregivers.

20 I think the other comment that was made was
21 the need to communicate this not just to
22 ophthalmologists taking care of these babies, but

1 also to other physicians in the NICU environment
2 about what it means for babies. But again, if it's
3 already in common use off label -- and I don't have
4 personal experience with that -- then perhaps that
5 might be less necessary than we think.

6 With that, we're going to stop with
7 question 1 and move, please, to the components of
8 question 2. This is perhaps longer, but we're
9 going to just go through all of these at once.

10 Our job is to discuss potential labeling,
11 including, A, wording of indications and usage; B,
12 wording of warnings and precautions; C, wording of
13 dosing and administration; D, wording of pediatric
14 use; and E, wording of the clinical trials section.
15 I suppose we could probably pull up the document we
16 had earlier if we need to.

17 Dr. Chiang's hand is still up, or its newly
18 up; I'm not sure. But go ahead.

19 Dr. Chiang?

20 DR. CHIANG: Jim, I'm sorry to raise my hand
21 again. I had a comment really about the previous
22 question because I think that Dr. Murray raised the

1 point that was really important, and I just want to
2 make sure that it gets emphasized. I think there
3 are a lot of challenges here in terms of who the
4 caregiver really is.

5 In ROP care, it's a little bit unique in
6 ophthalmology in the sense that there's quite a few
7 handoffs that occur. Very often a paradigm is that
8 a retina specialist comes in, or a pediatric
9 ophthalmologist will examine these babies week
10 after week and then be the person who talks within
11 the NICU team; and when they want treatment, they
12 call somebody else to do the treatments, regardless
13 of whether that treatment's anti-VEGF or whether
14 that's laser. Then the person who does the
15 treatment says something, and the person who does
16 the treatment often has a different background.
17 They're often the ones who know more about
18 anti-VEGF, the long-term sequelae compared to, for
19 example, the pediatric ophthalmologist, who's
20 really the one who follows the child.

21 I think where this comes in the care is that
22 the NICU team talks to, quote, "the

1 ophthalmologist," and they may not always know the
2 nuance of which ophthalmologist knows what.
3 Furthermore, in the medium, and in the even short
4 term and long term, in a lot of cases many
5 hospitals will have a situation where it's a
6 different ophthalmologist every week, or every
7 month, who examines, so there's so many handoffs in
8 care.

9 I've seen enough cases in my career of
10 miscommunications that occur when something got
11 told to somebody or not everybody knows everything,
12 so I think that just really emphasizes how
13 important it is to have a consistent line of
14 communication and whatever can come across in these
15 labeling things, so that everybody hears kind of
16 the same thing.

17 That came into my mind when Tim mentioned
18 the point about that the treating doctor always
19 knows, and I completely agree with that. And I
20 think one of the challenges is that a lot of other
21 people may not know, and I think that is sometimes
22 the root of the problem.

1 DR. CHODOSH: Yes. In that
2 context -- Dr. Chiang, thank you -- I wondered how
3 the failure of the trial to meet a primary outcome
4 of non-inferiority, as now must be written in the
5 labeling, may generate concerns and confusion at
6 numerous levels, obviously. But perhaps since it
7 seems that most retinologists who give this care is
8 already convinced, I wonder whether the biggest
9 impact may be on families who choose to learn this
10 information and whether it will reduce their
11 confidence in therapy, and whether there might be
12 some way in the labeling to emphasize that the
13 therapy was clearly better than historical rates of
14 no treatment to somehow buttress the failure of the
15 non-inferiority trial to meet its endpoint.

16 As I was reading through it, I did have this
17 pause in thinking when I read that, as to someone
18 who perhaps doesn't understand the nuances that
19 laser historically didn't do quite as well as it
20 did in the trial, and that perhaps these particular
21 trials -- again, looking retrospectively, looking
22 now -- may have been underpowered and might have a

1 lot of pause about agreeing to anti-VEGF therapy or
2 even perhaps create problems for physicians when
3 things don't go perfectly with those babies.

4 I think it's a difficult question, and I
5 have to say I really like and appreciate that the
6 FDA convened the committee for the purpose of
7 looking at labeling and communication because I
8 think in this particular instance, that's where the
9 really difficult decisions are going to be made,
10 and it's going to have a downstream impact that I
11 think may be pretty broad, so it needs to be done
12 just right.

13 Dr. Joniak-Grant, please go ahead.

14 DR. JONIAK-GRANT: I think speaking to your
15 point about how to phrase it, I'm not a fan of
16 double negatives, so the way this hypothesis is
17 written was stressful. But perhaps saying
18 something along the lines of demonstrated and
19 improved clinical course compared to untreated
20 subjects, but not an improved course compared to
21 those treated with laser photocoagulation;
22 something like that would help clarify for parents,

1 and then obviously for parents to make the language
2 simpler but have that be the general message.

3 DR. CHODOSH: Do you think,
4 Dr. Joniak-Grant -- this is Dr. Chodosh
5 again -- that it might be worthwhile to flush out
6 the differences that were highlighted by some of
7 the previous comments, that although the results
8 being what they were, it appears to be
9 efficacious -- the treatment -- that it would
10 require perhaps additional follow-up, and perhaps
11 more treatments, and perhaps even laser treatment
12 at a later age, and then, again, qualifying that
13 laser treatment at a later age would be expected to
14 cause less peripheral vision loss, and perhaps be
15 less likely to lead to myopia.

16 I mean, it gets really detailed, and that's
17 the problem. This is not sort of a clean study
18 outcome where you go and you say, "Oh, this is
19 equivalent or better than existing therapy" because
20 no one can say that here.

21 DR. JONIAK-GRANT: Yes. This is Elizabeth
22 Joniak-Grant. I think that would be helpful. I

1 think that not writing things in long sentences is
2 helpful. Putting them in bullet points is useful,
3 because even reading through all of these documents
4 and all the briefing documents, I didn't know if
5 laser was -- I assumed it was still possible since
6 it was done, but would it be possible after
7 3 injections? I didn't know.

8 So I think clarifying some of that
9 information -- and there could be the benefit of
10 less peripheral vision loss -- would be really
11 useful information to have, and being clear, and
12 not possible follow-up but definite follow-up, and
13 what does that look like because we have to be
14 mindful that there are people that live 3 hours
15 from a facility that may not be able to get there,
16 and what does that look like.

17 So I think we have to be really mindful,
18 too, of what does that look like, and in daily
19 life, how does that play out that these infants are
20 getting the best care that they need?

21 DR. CHODOSH: This is Dr. Chodosh again. In
22 New Mexico, it could be 8 hours from an individual

1 that can give care, depending on the financial
2 situation for the family. So I agree, and perhaps
3 the need for follow-up should be emphasized
4 regardless of the decision. But it seems from the
5 data that it's even more important with the use of
6 anti-VEGF therapy than perhaps it might be with
7 complete laser treatment.

8 We have gone back to question 1. Are there
9 any other comments related to discussion of
10 question 1?

11 (No response.)

12 DR. CHODOSH: No one's hands are raised. We
13 allow you to come back if you wish, but can we flip
14 to question 2 again?

15 Do any of you have comments, or questions,
16 or concerns about the potential labeling?

17 Dr. Murray?

18 DR. MURRAY: Tim Murray, Miami. I think
19 that Wiley's discussion and comments from the
20 initial labeling in the comments were really
21 spot-on, and that I think should target maybe how
22 we move forward in that discussion. Thanks.

1 DR. CHODOSH: This is Dr. Chodosh again. I
2 got a notice that the network was lost.

3 CDR BONNER: I can hear you, Dr. Chodosh.

4 DR. CHODOSH: Okay. It just came back on.
5 I can't tell if that was local, Dr. Bonner, or
6 whether it was here.

7 DR. CHAMBERS: It was local to you, because
8 we didn't [indiscernible].

9 DR. CHODOSH: Okay. Alright.

10 CDR BONNER: We didn't have that. Yes,
11 that's correct.

12 DR. CHODOSH: Okay good; not a surprise.

13 Alright. Please, everybody, hang on just a
14 second because I have a request that I want to
15 respond to.

16 (Pause.)

17 DR. CHODOSH: It looks like Dr. Joniak-Grant
18 has raised her hand again, and we want to get to
19 that.

20 I want to make sure that everyone on this
21 panel understands that we're going to come to a
22 point soon where if you have no further comments,

1 we're going to move back, and I may want to also
2 allow Dr. Chambers to ask something more specific,
3 if he would like, because I have the sense that we
4 haven't really gotten very specifically to question
5 number 2.

6 So let's first go to Dr. Joniak-Grant.

7 DR. JONIAK-GRANT: Thank you. Just a quick
8 question as we move forward discussing this label.
9 Is this essentially the label insert that only the
10 physicians will see? Because if they're receiving
11 the medication, I'm guessing caretakers will not be
12 seeing any of this information.

13 DR. CHODOSH: Dr. Chambers, can you answer
14 that question?

15 DR. CHAMBERS: Yes. This is Wiley Chambers.
16 This is what's called the physician package insert.
17 It is the basis for basically everything else. So
18 any patient insert which is really not
19 a -- patients obviously don't read this; at this
20 point, it would be more caregivers. But anything
21 that's in lay language, any advertising that's
22 done, is basically derived from this.

1 DR. JONIAK-GRANT: Okay. Thank you. That's
2 helpful.

3 DR. CHODOSH: Okay. I want to make
4 sure -- this is Dr. Chodosh again -- that we fully
5 address these questions so that the FDA has our
6 opinions, so let's go through this one by one,
7 then.

8 Indications and usage. Are there any
9 comments about it?

10 (No response.)

11 DR. CHODOSH: This is Dr. Chodosh again. My
12 personal view is that all that can be said is what
13 the indications were for entry into the trial and
14 how it was used.

15 By the way, Dr. Joniak-Grant, your hand is
16 still raised. I don't know if you have an
17 additional question. If so, please go ahead.

18 DR. JONIAK-GRANT: I do. Thank you.

19 One thing in the dosage and usage I noticed
20 is that it said up to 3 injections may be
21 administered, but it was noted that this is kind of
22 an arbitrary number, and I think perhaps that

1 should be indicated because, at least to me, it
2 reads as though that's just the normal protocol;
3 that that is how we do this. So I think clarifying
4 that is important. Thank you.

5 DR. CHODOSH: Dr. Atillasoy, you're next.

6 DR. ATILLASOY: Yes. I would recommend that
7 perhaps we could pull up the slide the agency,
8 Dr. Chambers, [indiscernible].

9 DR. CHODOSH: Thank you. I was wondering
10 the same thing. Thank you.

11 DR. CHAMBERS: Slide 26 for my presentation.
12 This is Wiley.

13 (Pause.)

14 DR. CHODOSH: It looks like we're getting
15 there.

16 Slide 25? Was that correct?

17 DR. CHAMBERS: Twenty-six. Well, 25 is the
18 indication, if you want to start there.

19 DR. CHODOSH: Yes, let's start there.

20 Go back one, please. Okay. This is it for
21 indications? Okay.

22 DR. CHAMBERS: This is Wiley Chambers.

1 This is a relatively broad indication. It's
2 not subclassified. It's all treatment of
3 retinopathy. It's not written as has to be done in
4 conjunction with something else. I'm not
5 suggesting that it needs to be different than this;
6 I'm just giving you the possibilities of what
7 happens with some other indications.

8 DR. CHODOSH: This is Dr. Chodosh. So then
9 my question is, since treatment was indicated for
10 specific stages, does that need to be stated as an
11 indication -- and this reflects my ignorance,
12 perhaps -- or is it just retinopathy of
13 prematurity?

14 DR. CHAMBERS: This is Wiley Chambers. So
15 trials may be either the whole indication or they
16 may be representative of the indication where you
17 believe you can extrapolate to a larger population.
18 Just because the trial was only done in lesions
19 that were at a particular location does not mean
20 you necessarily need to make the indication just
21 that. The best example is a trial may include
22 34 year olds and 37 year olds. That doesn't mean

1 you say the trial was good for 34 and 37 year olds.
2 You still put in 35 and 36 year olds.

3 DR. CHODOSH: Thank you, Dr. Chambers.

4 Dr. Atillasoy?

5 DR. ATILLASOY: Yes. Just from my view,
6 this would be an agreeable indication. Later on, I
7 think a discussion about perhaps an additional
8 sentence in the clinical studies section,
9 Section 14, would support the indication. I think
10 we can come back to that issue, so I'll bring it up
11 at that point. Thank you.

12 DR. CHODOSH: Thank you.

13 Dr. Murray?

14 DR. MURRAY: My comment for this is that
15 retinopathy of prematurity is not uncommon, but
16 treatment-warranted threshold retinopathy of
17 prematurity is much rarer. So do we need to make
18 it clear that this is not for the treatment of
19 retinopathy of prematurity broadly, but only for
20 threshold-warranted infants? Thank you.

21 DR. CHODOSH: Dr. Chambers, would you like
22 to respond?

1 DR. CHAMBERS: Yes. This is Wiley Chambers.
2 So basically, the permission is given to treat
3 patients with this condition. That does not mean
4 that everybody with this condition necessarily
5 warrants treatment.

6 DR. CHODOSH: Dr. Joniak-Grant?

7 DR. JONIAK-GRANT: Elizabeth Joniak-Grant.
8 I'm not sure if this would be the area to include
9 this information, but just that it was noted that
10 the response rates were lower in infants with
11 zone I ROP and the advanced progression ROP than in
12 zone II ROP. I didn't know if that goes into the
13 indications section or if that goes more into like
14 the clinical trials section, or how that works, but
15 I felt that might be worth noting.

16 DR. CHAMBERS: This is Wiley Chambers. That
17 would normally be described more in the clinical
18 trials section, unless you're saying it's only good
19 for zone I or only good for treatment of zone II.

20 DR. CHODOSH: Great.

21 Dr. Murray?

22 DR. MURRAY: I'm good with that. I'm

1 looking at the next one and comment.

2 DR. CHODOSH: Dr. Lai?

3 DR. LAI: Yes, just a question for
4 Dr. Chambers.

5 Currently, laser is an FDA-approved
6 treatment of retinopathy of prematurity. I'm
7 wondering if that is simply how the indication's
8 left because, if so, perhaps we could do it the
9 same way for aflibercept; that we understand,
10 again, to give clinicians the maximum flexibility
11 to use this treatment ROP, knowing that elsewhere
12 we'll have data and guidelines on how more
13 appropriately to use it.

14 DR. CHAMBERS: This is Wiley Chambers. I
15 don't know the exact wording of all of the
16 different lasers or that they're all even exactly
17 the same. It would be laser-specific. It wouldn't
18 be a general claim.

19 DR. CHODOSH: Dr. Chiang?

20 DR. CHIANG: I actually just lowered my hand
21 because I had basically the same question as
22 Dr. Murray, but if I can just maybe make another

1 point to that.

2 Wiley, I totally understand your rationale
3 for leaving it as treatment of retinopathy of
4 prematurity broadly, and I would be fine with that,
5 but I just wanted to point out one comment for the
6 record.

7 About 10 years ago, when people started
8 using anti-VEGF agents, which was at the time of
9 bevacizumab, there was some word on the street that
10 people were beginning to treat more aggressively;
11 in other words use anti-VEGF agents when they
12 otherwise wouldn't, or potentially even when
13 treatment wouldn't have been warranted, according
14 to usual published guidelines. And the rationale
15 for that was that it was, quote, "easier to do," so
16 people were just doing it more often.

17 I saw some survey data at the time, or some
18 data actually backing up that statement that I just
19 made, and I don't know what current practices are
20 like, and it's really hard to get that sort of data
21 anyway. But I just wanted to say for the record
22 that I think that Dr. Murray's comments -- I just

1 want to back up that I have some question about
2 whether we should define that specific
3 treatment-warranted disease, even if we leave it
4 vaguely in the opinion of the examining
5 ophthalmologist.

6 DR. CHAMBERS: This is Wiley Chambers.
7 Well, it's why I brought the question up. You also
8 need to consider, or may want to consider, what
9 justification you would need, if it's indicated
10 that way, for insurance company. In other words,
11 if you make it very vague, what are you
12 contemplating would be the information that a
13 clinician would need to have?

14 DR. CHIANG: Wiley, just my opinion, it's
15 complicated to attach specific criteria in the
16 instructions about what your cutoff for treatment
17 should be just because there's always room for
18 individual clinical judgment, and standards of
19 practice may change over time based on new data.

20 I feel that the options would be, A, leave
21 it as is, treatment of retinopathy of prematurity,
22 or B, make it something to the effect of treatment

1 requiring retinopathy of prematurity, or something
2 like that just to specifically say that it's
3 treatment of severe retinopathy of prematurity or
4 some modifier, just to indicate that there is a
5 threshold, but leaving the threshold for the
6 individual clinician to interpret for themselves
7 when a baby needs treatment.

8 DR. CHAMBERS: This is Wiley Chambers. I
9 agree with you. I just didn't want to put
10 something that was vague that caused additional
11 problems in the definition since I couldn't define
12 what the specifics would be.

13 DR. CHODOSH: This is Dr. Chodosh. In
14 thinking about it, as long as the clinical trials
15 section is explicit, I think that the indication
16 here is appropriate and allows some flexibility
17 later, as opposed to having to change this as we
18 learn more about it. If you make it too
19 specific -- in fact, there may be future trials
20 with new information.

21 When I look at this, I always think, well,
22 wow; does that mean that you can take a 20-year-old

1 who technically has retinopathy of prematurity but
2 is out of the treatment age and give them this
3 drug? That would be a bit absurd, and I think it's
4 up to the insurers, obviously, to determine what
5 they want to pay for and what they don't; and the
6 clinical trials section being very explicit and
7 detailed could already be a problem since it wasn't
8 shown to be noninferior. So they may decide that
9 they don't want to cover it, but that's a different
10 discussion than we're having today.

11 Dr. Atillasoy, did you have a further
12 comment?

13 DR. ATILLASOY: Yes. I just wanted to
14 comment briefly also just for background. I'm a
15 physician in industry. I've headed up product
16 labeling for large sponsors. I think the intent,
17 as I said earlier, is that this is U.S. prescribing
18 information, so it is directed at the physicians.
19 I do commend the agency for what would be a broad
20 indication in this case.

21 One of the things that we all collectively
22 need to ensure is that the labeling does not become

1 obsolete; so beyond laser therapies, there could be
2 a change in another modality and another device
3 approved, so sometimes becoming too specific or too
4 narrow can be problematic. I do think some of the
5 discussion -- I want to make sure we don't
6 commingle issues. This is for the prescribers.
7 Many are on the call. Then other information and
8 guidelines, those are derivative, and some of
9 that's really outside the purview of the sponsor.

10 So I just want to make sure that we stay
11 focused on the topic, but I do agree with the
12 comments that have been made about, I think in this
13 case, the benefit of the proposed indications, so
14 thank you.

15 DR. CHODOSH: Thank you, Dr. Atillasoy.

16 This is Dr. Chodosh again. I think to
17 summarize 2A, wording of indications and usage, the
18 consensus is that this broadly stated indication is
19 appropriate.

20 Let's discuss the wording of warnings and
21 precautions, and maybe we can see those slides.

22 Dr. Chambers, it would be the next slide or

1 the one after.

2 DR. CHAMBERS: The next one was dosage and
3 administration.

4 DR. CHODOSH: We're on warnings and
5 precautions, so we stay in order. We can jump, I
6 suppose.

7 DR. CHAMBERS: Alright, but your choice.

8 DR. CHODOSH: Okay. Let's go to dosing and
9 administration because that's what we're looking
10 at. Are there comments by the committee about this
11 particular language?

12 I'll start. This is Dr. Chodosh still. I
13 like that you added some flexibility to scheduling
14 because people do have personal schedules and care
15 for their children, and physicians also have
16 schedules that might make the 28-day limit an
17 obstacle, actually, if there's no availability on
18 days 29 through 35, for example. And I agree that
19 there's not enough information to limit the
20 treatment up to one year.

21 So that's my my personal feeling. I was
22 happy with this.

1 Any other comments? Dr. Murray?

2 DR. MURRAY: I have two major comments. It
3 says, in total, up to 3 injections per eye may be
4 administered from treatment initiation. I would
5 just say retreatment may be required because some
6 of these children may potentially even need more
7 than 3 injections in that eye to achieve control,
8 and we have seen that clinically. We've also seen
9 local ROP recurrent activity at 2 weeks.

10 So I think that we might want to think about
11 not limiting to a 25-day window for reinjection if
12 we see activity. Those would be my two comments.
13 Thank you.

14 DR. CHODOSH: Thank you, Dr. Murray.

15 Dr. Chambers, do you have any response to
16 that?

17 DR. CHAMBERS: No, that was one of the
18 reasons for -- this is Wiley Chambers -- putting
19 this up. The three is what was done in the trial,
20 but it's not that we've seen safety problems with
21 the three, so eliminating it, I would view --

22 (Crosstalk.)

1 DR. CHAMBERS: -- [indiscernible] supported.

2 DR. CHODOSH: Dr. Chambers, there are
3 sustained levels of bound drug antibody. As your
4 treatments get closer together and add up, would
5 you have some concern about systemic downside if
6 you eliminate any particular number of injections
7 or time frame entirely?

8 DR. CHAMBERS: I don't have any data to
9 say -- personally, I'm concerned that if we were to
10 go less than 2 weeks -- I don't know that I have
11 any of the curves. The falloff systemically is
12 fairly rapid over the first couple weeks.

13 DR. CHODOSH: This is Dr. Chodosh. So is
14 there enough scientific data to choose a date
15 shorter than day 25, then, as Dr. Murray might be
16 suggesting?

17 DR. CHAMBERS: This is Wiley Chambers again.
18 The problem is I don't know at what level there is
19 a safety issue.

20 DR. CHODOSH: This is Dr. Chodosh. I assume
21 that what happens -- and I'm not a caregiver in
22 this particular venue; I'm a corneal specialist. I

1 assume what may happen is that insurance
2 authorization is needed. So if Dr. Murray, for
3 example, is seeing a patient who he believes needs
4 a second treatment at 2 weeks, this would have
5 perhaps an impact on his ability to get the
6 medication for the patient; and then getting back
7 to an earlier comment, made earlier in the day,
8 about the unanticipated impact on use of other
9 off-label medications, lightly, to mixing and
10 matching, which could have other anticipated
11 effects as well.

12 I'm not sure who's next. I think Dr. Lai
13 might be next.

14 DR. LAI: Thank you. I just want to make a
15 few comments echoing what Dr. Murray said earlier.

16 Number one, it seems that, as we learned
17 earlier, 3 injections was an arbitrary number that
18 we'd take in the trial without any scientific
19 basis. I'm not sure that number needs to be in the
20 dosing and administration part of the labeling.
21 Then with respect to the dosing interval, I know I
22 personally have encountered cases in the past where

1 I needed to consider retreatment within a shorter
2 time interval than 25 days.

3 I think when one considers what's the
4 rationale in even putting an interval in the
5 labeling, I think some here have alluded to the
6 concern about systemic drug level being stacked on
7 top of one another, although there's little data in
8 the literature about whether that actually leads to
9 any documented cases of systemic toxicity.

10 I think the other rationale I'm kind of
11 weighting is basically to assess the efficacy of
12 the injection, and typically we would know, within
13 a week or two, if the anti-VEGF injection has done
14 anything. If it has, we should see signs of
15 regression or improvement on the clinical exam. So
16 I don't think it's unreasonable for a clinician to
17 consider retreatment as early as 2 weeks out.

18 Then lastly, as Dr. Murray had raised
19 concern earlier, the 0.01 milliliter dosing, the
20 dose of aflibercept used in the trial may be on the
21 low side. And if that were the case, then it would
22 support the notion that in some patients with

1 extremely severe and aggressive ROP, a second dose
2 may be beneficial as early as 2 weeks out; so thank
3 you.

4 DR. CHODOSH: Thank you.

5 Dr. Joniak-Grant?

6 DR. JONIAK-GRANT: Thank you. Elizabeth
7 Joniak-Grant. Yes. I mentioned earlier I'm fine
8 with the 3 injections coming out. I do have
9 concern with saying there's a need for more
10 treatment at 14 days, and therefore we should
11 reduce the limit to 14 days. We don't know the
12 systemic impact. We don't know the potentiality
13 for that. And you have to remember we're coming
14 from a place where lots of things they thought
15 wouldn't have systemic impact, especially on
16 infants, turn out that they do, and they don't show
17 up for a few years.

18 So I just want to proceed with caution with
19 that a bit, and be mindful that until we have this
20 data of some of the outcomes further down the road,
21 if there are any, that we want to be mindful and
22 not just pick a date because it's convenient in

1 clinical care, but to also balance that against,
2 okay, well what's our best estimate for when this
3 has been processed systemically before we do
4 more -- would this also increase antibody as well;
5 could that be a possible unintended
6 consequence? -- and be mindful that these are
7 infants that are getting all kinds of other
8 medications and have a lot of other things going
9 on, so it's a possibility that there could be some
10 interactions at times, too. Thank you.

11 DR. CHODOSH: Thank you.

12 Dr. Lai, did you have a follow-up?

13 DR. LAI: Well, sure.

14 We don't know specifically what the
15 long-term systemic effect of aflibercept is in
16 patients with ROP, but we know that worldwide we've
17 been using anti-VEGF injections, namely
18 bevacizumab, for over a decade, and I personally
19 have followed patients that I treated more than
20 10-plus years ago. Now granted, my own personal
21 sample is low, but when you survey the literature
22 and consider the number of anti-VEGF injections

1 that have been given to this population, what's
2 extraordinary is that there's little, if any,
3 long-term systemic issues that we're aware of.

4 Granted, this is a population that's
5 medically very complicated and can sometimes be
6 very difficult to tease out if VEGF blockade leads
7 to a certain systemic issue later on, but what's
8 difficult to argue is that the visual benefit
9 that's been seen on these patients has been so
10 remarkable that, really, it's hard to think of very
11 specific cases that are strongly linked to
12 individual injections of these drugs in this
13 particular setting.

14 DR. CHODOSH: Thank you.

15 Dr. Joniak-Grant, follow-up?

16 DR. JONIAK-GRANT: Yes. Thank you.

17 Dr. Lai, just to clarify, when you're saying
18 it's been used for 10 years, are you saying with
19 this infant premature population?

20 DR. LAI: That's correct. We began using
21 off-label bevacizumab in ROP patients probably
22 10-15 years ago, and by we, it's not just doctors

1 in the United States, but also in other countries.
2 There was a graph earlier that one of the speakers
3 showed the increase in the use of anti-VEGF
4 injections in this population, and both of those
5 are actually off-label use of bevacizumab.

6 DR. JONIAK-GRANT: Okay. Thank you. That
7 is helpful information. Thanks.

8 DR. CHODOSH: Thank you so much.

9 Dr. Murray?

10 DR. MURRAY: Dr. Murray, Miami. Yes, our
11 first injection with an off-label anti-VEGF was in
12 2007, and there's extensive national and
13 particularly international experience over the last
14 15 years.

15 For the label indication here, I would
16 suggest that we use a 2-week retreatment interval.
17 I think that is protective to our current
18 knowledge, but also allows the treating specialist
19 to be able to use an anti-VEGF within the
20 guidelines of its indication. I don't want to
21 hamstring treating specialists who feel the child
22 needs to be treated and give them an arbitrary

1 follow-up when the child is clearly progressing;
2 and that is always, in my experience, as being
3 usually within the first 14 days. Thank you.

4 DR. CHAMBERS: This is Wiley Chambers. Can
5 I ask 12 days, 13 days, 14 days, 15 days?

6 DR. MURRAY: Dr. Murray.

7 Wiley, you're always a troublemaker. I
8 think the clarity of the timing is trying to weigh
9 the potential risk against the potential benefit
10 here, and I think, from my clinical experience, the
11 earliest that I have retreated in the setting with
12 anti-VEGF has been 10 days, without complication.
13 Thank you.

14 DR. CHODOSH: This is Dr. Chodosh.
15 Obviously, they're looking for what to write down,
16 so specificity is the game here. They're
17 responsible for these decisions, and that is what
18 we're here to do.

19 I see that we have some additional comments.
20 It looks like Dr. Lai wants to say something, and
21 then Dr. Chiang will follow.

22 DR. LAI: Just a very brief comment, that

1 practically speaking, most of these eyes are
2 examined on a weekly basis, and sort of the cadence
3 of how the ROP screening is typically set up at
4 most institutions. So I suppose intervals of seven
5 would make sense practically, and 14 is not a bad
6 number, although Tim has an experience of having to
7 do this as early as 10.

8 I wonder if there's a way to say it to make
9 it -- I don't think it's necessary to do it shorter
10 than 10, and I think I would not do it longer than
11 14, just because there may be eyes that need to be
12 retreated at that interval.

13 DR. CHODOSH: Dr. Lai, this is Dr. Chodosh.
14 Could it be said, then, that in general, treatment
15 would be expected no more often than every 2 weeks,
16 but that clinical judgment might -- again, this is
17 not final wording, but there might be exceptions to
18 the interval or something like that, and the FDA
19 can come up with a language that blurs that day a
20 little bit to give the practitioners room to
21 institute treatment should it be indicated in that
22 individual baby?

1 DR. LAI: I think that would be reasonable,
2 but I see a few other hands up, so I could defer to
3 some of the other panel members.

4 DR. CHODOSH: Dr. Chiang?

5 DR. CHIANG: Just a question about the
6 intervals. The point was made earlier that there's
7 not a huge evidence base -- or maybe that's an
8 overstatement -- for any specific day, 28/14, and
9 my question is, do you need to list a date or could
10 it be something vague? You had mentioned earlier
11 maybe something like retreatment may be warranted.
12 In other words, do we need to put a number?

13 DR. CHAMBERS: This is Wiley Chambers.

14 DR. CHODOSH: Go ahead, Dr. Chambers.

15 DR. CHAMBERS: Our experience comes from the
16 adult indication, which we originally labeled as
17 being monthly, and then received multiple reports
18 of insurance companies denying coverage because
19 people had given it at day 20 -- at day 30, and
20 they said a month went 31 days. And when asked
21 further, we had others that were being denied at
22 27 days because we were told, "Well, a month is

1 28 days." So to alleviate the confusion and allow
2 for what we thought was flexibility basically
3 weekly, we set 25 days for the adult indications,
4 and that stopped the complaints.

5 DR. CHODOSH: I have a comment here. This
6 is Dr. Chodosh. I don't provide this therapy, but
7 it sounds like nobody would be making this decision
8 certainly earlier than a week because it takes time
9 to see the effect of therapy. So perhaps one of
10 the retinologists on the phone can comment on that.

11 What's the earliest you would need to make a
12 decision to retreat? Because first it was 28 days,
13 then 25, and now we're at 14, and maybe 10. I
14 personally think that there needs to be a date on
15 here because we wouldn't want people doing daily
16 injections of this. That would really raise my
17 concerns about systemic drug build-up and the
18 unknown unknowns regarding that.

19 (No response.)

20 DR. CHODOSH: Anybody who gives this therapy
21 want to comment on what's the earliest they would
22 make a decision to want to retreat?

1 DR. MURRAY: Dr. Murray in Miami. I would
2 suggest that we typically would not make a decision
3 to retreat within the first 7 days, so I think a
4 1-week retreatment interval is appropriate for
5 virtually every patient we would see. Thank you.

6 DR. CHODOSH: Any others giving this
7 treatment? Dr. Lai?

8 DR. LAI: Yes. I want to second that, and I
9 do also want to echo Dr. Chambers' statement. That
10 issue that he raised is something we deal with on a
11 daily basis in my group.

12 When a patient inadvertently comes in one
13 day too early because of a scheduling issue, if
14 they're there in our clinic 27 as opposed to
15 28 days, we would have to either reschedule the
16 appointment or use a sample because the insurance
17 will not reimburse the anti-VEGF injection, even if
18 there's clinical evidence of disease activity.

19 I just want to commend the FDA for
20 recognizing that issue, and going through the
21 effort of making it possible so that these babies
22 aren't caught in that same situation.

1 DR. CHODOSH: Thank you, Dr. Lai.

2 At this point, I'm going to recognize
3 Regeneron to speak. Typically during this part of
4 the meeting, we do not have the sponsor speak, but
5 we're going to make an exception with one slide and
6 a comment, and be specific to the question of
7 dosing and administration. Thank you.

8 DR. HIRSHBERG: Yes. This is Boaz
9 Hirschberg. Just to add to the discussion, we can
10 model the PK and work with the agency on the
11 questions raised by the panel.

12 DR. CHODOSH: Thank you, sir.

13 So no further hands are raised. I'd like to
14 summarize our comments on dosing and
15 administration.

16 I think the focus was on the arbitrariness
17 of the choices made for the clinical trial. We all
18 know that we do clinical trials because we don't
19 know the answer to something and that decisions
20 have to be made that are often based on very
21 limited data with regard to dosing frequency,
22 et cetera. I think our discussion really, really

1 focused on that.

2 I think that Dr. Joniak-Grant raised the
3 concern that I also raised about us not knowing the
4 systemic impact of giving more frequent therapy.
5 That was countered by those who cited greater than
6 10 years of giving other anti-VEGF medications
7 without seeing those problems.

8 I would comment on that, that without really
9 looking at registry data very carefully, I'm not
10 sure that we would necessarily -- when you deal
11 with a rare complication of a rare treatment, you
12 compound the rareness, and it's very easy for
13 complications to escape the identification of such
14 by individual practitioners because if you see one
15 case, you may not be stimulated to think that it
16 might be due to a therapy that was given some time
17 previously.

18 So I'm not sure that we can use case
19 reports, small case series, or our own personal
20 experience reliably to state that there wouldn't be
21 a problem for more frequent dosing, but on the
22 other hand, we also want to make sure that vision

1 is preserved. So it comes down to balancing the
2 retention or preservation of vision versus an
3 unknowable complication. I think we can have some
4 assurances that it would probably be rare given the
5 clinical experience to date.

6 That's my take on this discussion. I'm
7 gratified that the sponsor will work further with
8 the FDA on this particular language.

9 Dr. Chambers, what's next in your slide set?
10 We can just go through these questions in whatever
11 order your slides are in.

12 DR. CHAMBERS: There were other dosing and
13 administration.

14 DR. CHODOSH: Okay. Let's look at those,
15 please.

16 If I might -- this is Dr. Chodosh still -- I
17 had a question about this. I'm not a retina
18 specialist, but I am called on occasionally to give
19 intravitreal injections, and as those amounts get
20 lower and lower, the confidence that I have when
21 pushing the syringe down to a certain mark and then
22 delivering the drug, my confidence reduces as the

1 volume gets lower.

2 I don't really have an answer to this. It
3 was just a concern about dosing errors, either not
4 enough, which might lead to a need for early
5 retreatment, or too much, which I think, after
6 hearing from others about use of higher doses, I'm
7 a little less concerned when I read the document
8 than I am now.

9 I don't know if you have any responses to
10 that. You're asking practitioners basically to
11 push the syringe down to a very small mark on the
12 syringe.

13 DR. CHAMBERS: This is Wiley Chambers. I
14 have the same concern, although this is what was
15 done in the clinical trial.

16 DR. CHODOSH: Yes.

17 Do any of those who do this in practice have
18 a concern about this part of the instructions for
19 use?

20 DR. MURRAY: Dr. Murray in Miami. I think
21 that has been a concern with these small volume
22 injections since we have begun intravitreal

1 injections. It's more of a concern when the volume
2 becomes significantly smaller, as it is for this
3 dose for aflibercept.

4 Having said that, I think there is a range,
5 a therapeutic window, that we have, either to have
6 a slight increase or a slight decrease in the
7 delivered dose, and without having a differential
8 preparation of aflibercept, specifically in this
9 population, I don't see an alternative other than
10 what we currently do. And most of us are
11 comfortable that we can deliver an effective dose
12 appropriately. Thank you.

13 DR. CHODOSH: Thank you, Dr. Murray.

14 I suppose that if the frequency of
15 administration in the dosing and administration
16 language is reduced -- and whether that's going to
17 be, 14 days or 10 days, or whatever it's going to
18 be, I don't know -- then that would relieve some of
19 my concern about not giving sufficient medication
20 because that could be an issue, right? You give an
21 injection. It turns out that you didn't really
22 give the injection or didn't give the full dose,

1 and then at the 1-week follow-up, you decide you
2 need to do more.

3 Any other comments here? Dr. Joniak-Grant?

4 DR. JONIAK-GRANT: I don't see why you can't
5 say -- I think it would be useful. You can say do
6 not use the prefilled syringe, but then you could
7 also say use the vial, and then in parentheses, see
8 section blah, blah, blah, to kind of direct people
9 where to go to find the information that they're
10 looking for instead of going through the pages, and
11 pages, and pages to get to the next information
12 that they need.

13 DR. CHODOSH: This is Dr. Chodosh.

14 Can we look at the next slide or set of
15 slides? Because I think what follows this is some
16 instructions.

17 Dr. Joniak-Grant, does this address your
18 concern? Because these are the instructions to
19 describe how to do it in the absence of a prefilled
20 syringe.

21 DR. JONIAK-GRANT: Yes. I think these
22 instructions are fine. I think the biggest thing

1 that struck me with going through some of this is
2 that there'd be a little information related to
3 infants, and then you'd have to go through 4 or
4 5 pages to get to the next part that was related to
5 infants, and then you had to go through more pages
6 to get to the next part that might still be
7 speaking to what you just read.

8 I think you start with dosage and
9 administration, and you go through a number of
10 sections; and you get to 2.6, which talks a little
11 bit about prematurity; then you get to 2.7 that
12 talks about the prefilled syringe; and you just
13 have the note that says, "Do not use it," for
14 treatment of ROP; and then you get the whole
15 discussion of a prefilled syringe for many, many
16 pages; and then you get finally to administration
17 in preterm infants.

18 So I'm wondering if particularly in dosing
19 and administration a section that just is
20 addressing infants. And I would recommend for ROP
21 maybe that the label have the section that has
22 everything all in one place that people

1 need -- because there is so much
2 information -- could be beneficial. But
3 particularly with the dosing, I think it might be
4 useful if it is all contained in one spot instead
5 of a couple different sentences here and there, and
6 then the meat of it several lines later.

7 DR. CHODOSH: Thank you.

8 Dr. Chambers, is it possible to segregate
9 the ROP part, or should there be a C section,
10 whatever, added to the previous don't use the
11 prefilled syringe?

12 DR. CHAMBERS: This is Wiley Chambers. It's
13 possible to do what was just described.

14 DR. CHODOSH: Okay. So we'll leave that for
15 your judgment.

16 Can we go to the next slide, please? Any
17 comments about this from the committee?

18 Dr. Lai?

19 DR. LAI: Yes. Maybe I'm being nitpicky,
20 but when I do my injection, I do not aim the needle
21 toward the optic nerve. The reason is because in
22 the neonatal eye, the lens, it's proportionally

1 larger. It's larger in proportion to the volume of
2 the eye compared to an adult. This would be a
3 technique appropriate for treating an adult eye,
4 but typically the way I was trained and the way I
5 trained my residents and fellows, if the patient
6 was supine and the eye was looking straight up, the
7 needle should go perpendicular to the ground. It
8 should go straight back to avoid hitting the side
9 of the lens.

10 I wonder if others who do injections on this
11 call feel the same way.

12 DR. CHODOSH: Dr. Murray?

13 DR. MURRAY: I think the concern is, with
14 this extended indication, if non-trained
15 intravitreal injection specialists were to inject,
16 then this becomes very critical. So we need to
17 have an understanding of the unique anatomy of
18 these premature infant eyes, and I think that's
19 what Dr. Chiang's alluding to.

20 I also would like to echo that having the
21 specific instructions for ROP separated is
22 important because if you were to read the dosing

1 and administration for injection in an adult, it
2 would read very differently. If you injected one
3 eye 1 millimeter from the limbus, you'd likely
4 compromise the lens in that patient. So I echo the
5 separation of the labeling, and I would agree that
6 there is some issue with either contact with the
7 lens during the injection or contact with the
8 retina that are technique related. Thanks.

9 DR. CHODOSH: This is Dr. Chodosh.

10 Dr. Murray, how would you, quote, "say"
11 this? Where should the needle point? If it's
12 1 millimeter from the limbus in a premature infant,
13 how do you direct your needle? Is it simply
14 perpendicular?

15 DR. MURRAY: I don't do perpendicular
16 because you have the potential with less experience
17 to actually contact the lens with that approach,
18 and I don't want them to inject strictly straight
19 at an angle because they can contact the retina.
20 So typically you will aim at a space in what I
21 consider the posterior vitreous, which is where
22 you're looking -- and it depends, because we're not

1 telling people to inject temporally or nasally, and
2 the anatomy differs from a nasal or temporal
3 injection approach.

4 We recommend temporal injections typically
5 just above or below the midline, and I'll have my
6 fellows, where what I ask them to do is think of
7 where the macula would be and inject in that
8 direction. So it's a little temporal to the optic
9 nerve and spares the lens and also spares the
10 retina. But this is technique dependent and
11 requires some significant training or experience.

12 Thank you.

13 DR. CHODOSH: So do you think the needle
14 pointing toward the optic nerve comments should be
15 omitted entirely? Because it sounds like -- I
16 thought of this, too, that depending on where you
17 do the injection, your angle is quite different if
18 you're aiming for the optic nerve, and that could
19 either cause damage or perhaps a change in the
20 outcome.

21 DR. MURRAY: Dr. Chodosh, I agree with you
22 with that. That's exactly correct. This is not a

1 simple technique, and one of the things that has
2 been commented on as we moved from laser -- which
3 was complex and required expertise -- to
4 intravitreal injection therapy, most people feel
5 that that is a simplistic treatment and requires
6 little experience, but in fact in these eyes, it's
7 exactly the opposite.

8 So I think that the 1 millimeter is an
9 appropriate point, but then the issue of how the
10 needle is directed becomes key, and that differs
11 from where you enter. So for me, it would not be
12 perpendicular. It's an oblique angle temporally
13 aimed toward the macula, which is just temporal to
14 the optic nerve.

15 DR. CHODOSH: And because these eyes are so
16 small, does there need to be something specific
17 about the length of the needle?

18 DR. MURRAY: That's also an excellent
19 comment. There is a needle that was designed
20 specifically for use in ROP, and I think that was
21 reported. And the uniqueness of that needle was
22 that it had a shorter needle length from the hub of

1 the needle to the tip, and it was felt that that
2 significantly lowered complications from
3 injections. But for the majority of sites that are
4 participating, I believe they do not purchase a
5 specific needle for ROP, and that therefore becomes
6 the concern.

7 DR. CHODOSH: So then would it be best to
8 have a distance into the eye? I think, to the
9 degree that it is specific, it should be correct,
10 and then the question is, is it specific enough to
11 aid a practitioner? I think although it's unlikely
12 in most circumstances that someone, aside from a
13 retinologist or pediatric ophthalmologist, would be
14 giving these injections, I can tell you in some
15 environments, it's possible that someone else would
16 be asked to give these, and we want it to be safe
17 as possible, obviously.

18 DR. MURRAY: I think that's a critical
19 aspect of this, and we train our injecting fellows
20 to enter these eyes to no more than 2 millimeters
21 from the needle tip. That allows them to clear the
22 space in the injection site for pars plana/pars

1 plicata without compromising the lens or the
2 retina. So it's the distance from the limbus; the
3 approach, temporal or nasal; the angle of injection
4 of the needle; and the depth of the needle. Those
5 are all critical to a successful injection. Thank
6 you.

7 DR. CHODOSH: Okay. Not to belabor this, so
8 there's a level at which the detail becomes
9 counterproductive, right? Because there may be
10 people who differ, and then it creates a new
11 problem. I don't have the answer to this,
12 obviously, because this is not something that I do,
13 or hope to do.

14 Any other comment about --

15 (Crosstalk.)

16 DR. MURRAY: I think --

17 DR. CHODOSH: Go ahead.

18 DR. MURRAY: -- the 1-millimeter from the
19 limbus, I think that's a valid statement, and then
20 maybe we do it indirectly by commenting that the
21 needle should be directed to avoid the retina or
22 the lens, and that way allows some disparity

1 between the injection approach of the injecting
2 surgeon.

3 DR. CHODOSH: Thank you. This is
4 Dr. Chodosh. It also would alert the practitioner,
5 perhaps, who might not be thinking about that. I
6 shudder to think that that could happen, but --

7 Dr. Chiang?

8 DR. CHIANG: Yes. My only comment about
9 this is that what I've been seeing is that I think
10 that there are a lot of differences, clearly, in
11 what people are being taught and what people are
12 teaching.

13 I think, Tim, what I'm hearing from you,
14 you're about as close to the standard of care, I
15 think, that anybody would say, but my comment is I
16 feel like what we're hearing here is what should
17 the standard of practice be for intravitreal
18 injections in a neonate.

19 My question is just how much of that belongs
20 on this sheet versus how much of that belongs in
21 practice guidelines and other things, and is there
22 a way where this statement here -- and should FDA

1 be defining the standard way to perform these? So
2 that's really a question for Dr. Chambers, I guess.

3 DR. CHAMBERS: This is Wiley Chambers. So
4 to the extent that we believe it's going to cause
5 harm, we generally will include it. We're not
6 generally trying to push standard of care per se.
7 I do tend to like things like you're not hitting
8 the lens and retina because there's clearly safety
9 concerns, without getting into some of the specific
10 techniques, which I think are better taught in
11 programs than described in labeling.

12 DR. CHODOSH: Thank you.

13 This is Dr. Chodosh. I'm looking at the
14 hands up, and I'm not sure who has failed to lower
15 their hands. I think, Dr. Lai, you may have raised
16 your hand again.

17 DR. LAI: Yes, I just wanted to follow up.
18 I think what I would advocate is removing the
19 phrase, "the needle pointing towards the optic
20 nerve" because I think that's wrong, or that's
21 incorrect. And I'd like your suggestion of perhaps
22 just leaving it to say, "with the needle directed

1 at an angle that avoids injuring the lens and the
2 retina." Thank you.

3 DR. CHODOSH: Thank you, Dr. Lai.

4 Dr. Atillasoy?

5 DR. ATILASOY: Yes. Ercem Atillasoy. I
6 disagree with the commentary. I was looking at the
7 product labeling, and there is a statement along
8 the lines of for use with a qualified physician, so
9 I might propose and suggest, given the commentary,
10 perhaps some additional qualification there.

11 If that's something in this section for the
12 label, I leave that question to the agency and the
13 experts in this area. Should there be additional
14 commentary about the qualification for use either
15 maintained with a qualified physician or expanding
16 that to slightly along the lines of either
17 pediatrics, retinologists, et cetera? Just
18 something for the agency, the sponsor, and the
19 panel to consider.

20 DR. CHODOSH: This is Dr. Chodosh. I have a
21 comment about that.

22 Dr. Atillasoy, with respect, I have a

1 concern about that just because in large urban
2 centers, there are usually enough providers to take
3 care of such things. For example, in my
4 environment, getting ROP care, well, it's being
5 done now, but if somebody retires or leaves, you
6 can't really send a NICU baby to another city for
7 their care.

8 I can imagine there could be circumstances
9 in this country where a comprehensive
10 ophthalmologist who has experience with
11 intravitreal injections might be called upon to
12 assist with these, and then the question is, what
13 does qualified mean, and who gets to define that
14 gets to the scope of practice, which might not be
15 what the FDA wants to engage in.

16 So I don't know. I think it's a really good
17 concern, and I guess it's a concern with every
18 procedural drug that we give, that the person know
19 how to do it, but I'm not sure whether that's in
20 the FDA purview.

21 Dr. Chambers, do you have any comment about
22 that as a discussion item?

1 DR. CHAMBERS: I agree with you. There's a
2 middle ground that we generally try to follow
3 unless there's clear safety -- we generally don't
4 label things for particular titles of people. We
5 expect people, by virtue of education and training,
6 to be able to do skills, and that's the most we
7 would normally label for.

8 DR. CHODOSH: Thank you, Dr. Chambers. This
9 is Dr. Chodosh again.

10 To summarize, there was a lengthy discussion
11 about the injection itself. I heard no
12 disagreement with leaving 1 millimeter from the
13 limbus. It's an important thing, particularly for
14 those with less experience in the very small
15 infants. And the idea that the needle should be
16 directed so as to avoid injury to the lens and
17 retina would at least alert the person reading this
18 to think about it, which is really what we want
19 them to do because they might be injecting nasally,
20 they might be injecting temporally, and the actual
21 direction then could be different, or the angle
22 would be quite different if injected toward the

1 optic nerve with the nasal versus a temporal
2 injection.

3 Can we go to the next slide, please? I
4 think this corresponds to our question 2B, wording
5 of warnings and precautions. This is simply a
6 table. I imagine there are no comments or concerns
7 about the issue of rounding.

8 Can we go to the next slide, please?

9 This is Dr. Chodosh again. I don't really
10 have a suggestion. I had to change it. I think I
11 reflected earlier my concern of how this was going
12 to be seen both by insurers, and perhaps -- but
13 maybe not by practitioners, who seem to be already
14 convinced.

15 Can we see the next slide so I know what
16 follows? I forget. Yes, you can go back.

17 Dr. Chambers, my question here would
18 be -- and Dr. Joniak-Grant, you'll be
19 next -- whether there needs to be something more
20 because I suppose insurance might look at this and
21 say, "You know what? Your trials failed. Why
22 should we pay for this?"

1 I think that the intent here is to get an
2 approved drug, or to get approval for an already
3 marketed drug for this specific indication, and I
4 wonder whether the language here could better
5 reflect that intent because it's almost like, well,
6 we're going to approve this, but it didn't really
7 seem to work as well as laser, or it wasn't as good
8 as laser. It wasn't inferior, but it wasn't
9 non-inferior. And for the average person and the
10 insurance company making those judgments, I'm not
11 sure they'll appreciate the subtleties.

12 Don't respond to that yet, Dr. Chambers.
13 Let's hear from Dr. Joniak-Grant first.

14 DR. JONIAK-GRANT: Hi. Not just insurance;
15 I would say most people that aren't statisticians.
16 I've taught sessions on statistics, and even the
17 way it was worded, I had to stop for a second and
18 think. And people are pressed for time, so I think
19 definitely making a point of trying to remove the
20 double negatives, trying to say it failed to
21 demonstrate, and putting it in more language that
22 demonstrated an improved clinical course compared

1 to untreated subjects, and then FDA and you all can
2 weigh in, but not an improved course compared to
3 those treated with laser photocoagulation.

4 It's hard, because I wonder if extra
5 specifications, at times when -- is it more
6 advisable in certain situations to use this over
7 laser, for example? Would it be worthwhile to
8 include that? Is this not the place for that?
9 That's definitely where I have to turn to all of
10 you who deal with this in your daily lives.

11 One quick thing is there was the adverse
12 event slide that we saw briefly. Are we going to
13 come back to that --

14 DR. CHODOSH: We certainly can.

15 DR. JONIAK-GRANT: -- or are we sort of past
16 that? Okay. If we could come back to that later
17 after we discuss this, I would appreciate that.
18 Thank you.

19 DR. CHODOSH: This is Dr. Chodosh. Sure.

20 Dr. Chambers, would you like to comment or
21 respond to what's been said about this slide?

22 DR. CHAMBERS: Wiley Chambers. So it's

1 there for discussion for exactly the reasons you're
2 not talking about it. There is not a requirement
3 when we approve a product that it be the best that
4 is available, and the question comes up, do we in
5 this section -- we're obviously going to talk about
6 the comparison in the clinical trials section. It
7 does not necessarily need to be described in the
8 pediatric use section that it failed to demonstrate
9 non-inferiority. We usually do try and describe
10 the rationale for the use, but we're certainly open
11 to a variety of language.

12 DR. CHODOSH: Yes. This is Dr. Chodosh
13 again. Thank you.

14 Dr. Atillasoy, you had your hand up briefly.
15 Would you like to say something?

16 DR. ATILLASOY: Yes. I would recommend, as
17 was stated, that either it's moved, it's relegated
18 to clinical studies Section 14.6 or, one, the
19 agency and panel could consider just a slight
20 rephrase, essentially detaching the two, that there
21 was failure to demonstrate non-inferiority.

22 Yet, it certainly is very clear -- my

1 understanding from the presentations and all of the
2 data, it's really clear that obviously a
3 placebo-controlled study would have been unethical.
4 It's clear to me that there is a high rate of
5 effectiveness and efficacy from the studies, and
6 therefore you can detach the two sentences and
7 maybe make a brief statement about the efficacy
8 rate seen.

9 So I would just perhaps detach using the
10 word "while" there because I think that "while"
11 sort of connotes either change the sequence to
12 bring up the failure of non-inferiority, then
13 mention the efficacy, or just, as Dr. Chambers
14 mentioned, move it all to 14.6.

15 I do think, based on the conversations we've
16 had and the public session, it's really important
17 that there is some brief statement about the
18 efficacy and the effectiveness of the product. I
19 mean, clearly, there are other sources of data that
20 the sponsor has. I'm sure they have aggregated
21 some, such as the compassionate use, so it's pretty
22 clear there are other bases for evidence or

1 effectiveness. So at least one sentence in the
2 clinical studies section should suffice and address
3 the concerns about what I understand now, the
4 insurance issues, so thank you for that.

5 DR. CHODOSH: Thank you. This is
6 Dr. Chodosh.

7 Dr. Durham, you have your hand up.

8 DR. DURHAM: Yes. I would agree with the
9 last comment, so I wanted to endorse the concept
10 of using the word "expected natural history" since
11 no one made an attempt here to do a direct
12 comparison versus the historical control rates.

13 DR. CHODOSH: Thank you.

14 Dr. Chambers, are you still on?

15 DR. CHAMBERS: I am.

16 DR. CHODOSH: Okay. Sorry. We couldn't see
17 it.

18 I think in summary here, there were concerns
19 about this particular section, and I like the idea
20 very much of just stating what the results, the
21 efficacy was in the trial. I don't know whether
22 you're comfortable with saying that these rates

1 were similar to those with laser, but the concern
2 is that this may undo the purpose of approving this
3 drug with regard to getting insurance so that the
4 drug can be used and covered by insurers. As to
5 how to parse that language exactly, that's why they
6 pay you the big bucks, I guess.

7 Can we go back one slide, please?

8 Dr. Joniak-Grant, what was your question or
9 comment about the slide?

10 DR. JONIAK-GRANT: My question with this was
11 the adverse reactions. I had two things. One was
12 that we discussed how due to the smaller sample
13 sizes, the risks were somewhat unknown with how
14 they change with an increase of additional rounds
15 of injections, and that is something, definitely as
16 a caretaker, I would want to know. I'd want to
17 know it as a patient, too, especially if I had a
18 physician that was maybe more aggressive in trying
19 to do multiple ones.

20 That was my first comment on that, and my
21 second one was here, with the adverse reactions
22 being a bit higher with the hemorrhaging and

1 things, should there be any warning or precaution
2 about -- and this is for all of you who are medical
3 doctors, any notes about contraindication if a
4 family history of sickle cell anemia or von
5 Willebrand's disease, or those types.

6 I don't know if that would impact this and
7 cause more risk for hemorrhage. I don't know how
8 those mechanisms would work in the situation, but
9 that we're calling out so people are aware that
10 those are the main differences from laser.

11 DR. CHODOSH: Thank you. I'm going to take
12 those two, so I'll leave it up to Dr. Chambers
13 about any knowledge of the interaction between
14 intravitreal injections and bleeding disorders, in
15 a general way, and perhaps there are others on the
16 call who want to comment.

17 I think perhaps, Dr. Chambers, a note could
18 be added to this that these data reflect somewhere
19 between 1 and 3 injections over 52 weeks, and that
20 the adverse reactions in patients who are given
21 more injections, that they were no more than
22 28 days apart. So there could be a footnote in the

1 top section to reflect how these injections were
2 actually given, because this is cumulative, I
3 believe, for the 52 weeks.

4 So if that's true, then I think it's helpful
5 to the practitioner to know that, at most, these
6 were 3 injections given over that time period, and
7 that the side effects or the adverse reactions
8 associated with more injections and more frequent
9 administration are unknown.

10 Dr. Chambers?

11 DR. CHAMBERS: This is Wiley Chambers. We
12 certainly can look into what other qualifications
13 we put along with the table. Conjunctival
14 hemorrhage is, in general, not a concern, even with
15 most bleeding disorders. Retinal detachment you
16 know tends to occur late, so differentiating
17 whether that's based on the first, second, or third
18 injection would be difficult.

19 Intraocular hemorrhages clearly are more of
20 a concern with individuals with bleeding disorders.
21 That's sort of the reason for even listing them, as
22 if you know you have a bleeding disorder,

1 potential, there is more concern for an intraocular
2 bleed.

3 DR. CHODOSH: Yes, and I guess my comment,
4 Dr. Chambers -- this is Dr. Chodosh again -- is
5 based on the assumption, which I think most would
6 agree with, that the more times you inject the eye,
7 the more adverse reactions you're likely to see.
8 So if your injection caused a retinal detachment in
9 a direct way, that would be very unfortunate, but
10 that rare complication would be more likely the
11 more times a needle goes in the eye.

12 The same thing for hemorrhages, pressure,
13 defects, all of these side effects theoretically,
14 adverse reactions, the numbers would be -- so if
15 you gave 3 injections, or 1 to 3 injections, as I
16 assume this data means, you would see a certain
17 rate, but if you gave 12 injections, for example,
18 over a year, you would expect higher numbers of
19 these.

20 That's why I think it's worthwhile to
21 emphasize that these rates reflect a particular
22 trial procedure, and that the practitioner should

1 know when they look at these numbers that
2 lenticular opacities of 1 percent may not be the
3 case if they inject every 2 weeks for months. That
4 might be obvious, but maybe not.

5 With regard to the bleeding, it wasn't clear
6 to me the difference between a conjunctival
7 hemorrhage and an injection site hemorrhage. Maybe
8 injection site hemorrhage is more localized, but I
9 don't know how the study would differentiate that.
10 I assume injection site hemorrhage means
11 externally, but maybe not.

12 Can you comment on that?

13 DR. CHAMBERS: Wiley Chambers. I honestly
14 don't remember the --

15 DR. CHODOSH: Okay.

16 Dr. Joniak --

17 DR. CHAMBERS: If you want an answer, I
18 would ask the sponsor for what the distinction was.

19 DR. CHODOSH: Dr. Joniak-Grant, you have a
20 hand up.

21 DR. JONIAK-GRANT: Yes, and this is the last
22 thing on this; perhaps something about long-term

1 safety data is still being collected. It's seen as
2 important enough to do it --

3 DR. CHODOSH: Yes.

4 DR. JONIAK-GRANT: -- and if this is the
5 info that trickles down to caretakers, I think
6 that's important to know.

7 DR. CHAMBERS: Wiley Chambers. We certainly
8 can do that, as well as, Dr. Chodosh, your
9 suggestion of qualifying the table. We can do
10 that, too.

11 DR. CHODOSH: This is Dr. Chodosh.

12 Dr. Joniak-Grant, I think that's really an
13 excellent suggestion because it lets everybody know
14 there may be more information than contained in the
15 table, and that was my goal also.

16 Can we go two slides ahead, please?

17 Does anyone disagree with removing this
18 information in this section? I'm looking for hands
19 raised.

20 (No response.)

21 DR. CHODOSH: Going once, going twice.

22 Okay.

1 Next slide, please. Any comments looking at
2 hands?

3 DR. CHAMBERS: This is Wiley Chambers. I'll
4 just point out, because of the discussions, and we
5 were having discussions earlier, you see what the
6 systemic concentration was at day 1 versus day 28
7 in each of the two trials, and see how dramatically
8 it falls off over the month period.

9 DR. CHODOSH: But we don't have the
10 day-by-day study -- this is Dr. Chodosh -- of what
11 that curve looks like. I don't know if the sponsor
12 has that data to know or not.

13 I see Dr. Joniak-Grant, and then Dr. Chiang
14 will be next.

15 DR. JONIAK-GRANT: I agree that having more
16 info about how it falls off, especially if we're
17 talking about having it not recommended but allowed
18 earlier, would be useful. I also wonder if it
19 would be useful to put in the information about
20 where adults line up to give some
21 contextualization, because you read this, and you
22 say, "Okay, well that's great, but what does that

1 mean?" And perhaps being able to compare it to the
2 adults would give people some frame of reference
3 when they look at this.

4 DR. CHAMBERS: This is Wiley Chambers.
5 There was a different section that has the adult
6 pharmacokinetics. It's in the same label.

7 DR. CHODOSH: Thank you.

8 Dr. Chiang?

9 DR. CHIANG: Actually, that was my same
10 question. I think it would be useful to know
11 whatever is possible about what these numbers
12 actually mean. Thanks.

13 DR. CHODOSH: Okay. This is Dr. Chodosh. I
14 don't think we heard anyone suggesting that this be
15 changed, and it sounds like this is about as
16 granular as it gets for this particular trial. We
17 don't really know the relationship between
18 pediatric or neonatal levels, particularly in the
19 premature neonatal levels and adult levels, and
20 they might be quite different. So I would be
21 concerned about extrapolating too closely from
22 adult levels.

1 Can we go to the next slide, please?

2 I think that Dr. Joniak-Grant raised an
3 earlier concern about what more frequent dosing
4 might do to antibodies. It could go in any
5 direction, based on my scientific American
6 understanding of immunology, in that more frequent
7 dosing might actually have the reverse effect or it
8 might increase.

9 So I guess, Dr. Chambers, the only thing
10 here might be to add the comment as to how the
11 doses were given so that if there was a maximum of
12 3 doses given, at the least, this far apart over
13 52 weeks to generate this data, I think that helps
14 interpretation because, otherwise, you sit there
15 and say, okay, the antibodies are not a problem,
16 and when you're not thinking about something, you
17 don't see it; so letting practitioners know that
18 the data was limited by the specific protocol in
19 the trial.

20 The conclusion here shouldn't be, I don't
21 think, that EYLEA does not induce antibodies; that
22 the conclusion should be that under this dosing

1 schedule and actual dose, the antibodies were
2 detected in less than 1 percent.

3 DR. CHAMBERS: This is Wiley Chambers. The
4 majority of this paragraph is not from the
5 pediatric studies, but it's from the multitude of
6 studies in adults.

7 DR. CHODOSH: Right.

8 DR. CHAMBERS: It really has not been an
9 issue in a wide variety of different settings.

10 DR. CHODOSH: I'll leave it to your
11 judgment. I was thinking of saying, similarly, in
12 pediatric ROP studies in which dosing was at
13 4 milligrams per -- or in these particular studies,
14 the two studies that are cited here, dosing was at
15 4 milligrams, given no more than 3 times during a
16 year, and then it qualifies it.

17 DR. CHAMBERS: And we can certainly do
18 something like that.

19 DR. CHODOSH: I can think about it. I'm not
20 sure whether it's absolutely necessary, but that's
21 what I would do if you thought there was any reason
22 to be concerned. Thank you.

1 Can we go to the next slide, please?

2 This is Dr. Chodosh. I think this may
3 relate to E, wording of clinical trials section.
4 If anybody has concerns about the wording, or
5 questions, or comments, please raise your hand.

6 Dr. Durham?

7 DR. DURHAM: Yes. This is Todd Durham. My
8 comment has to do with previous discussion, which
9 is what's been tested here as randomized initial
10 treatment to EYLEA versus laser, with the option at
11 the investigator's discretion to use a second
12 treatment or even a second modality.

13 I acknowledge Dr. Chambers in his
14 presentation referenced the fact that for secondary
15 outcomes, for the statistical plan, you typically
16 don't include the data for the secondary, but my
17 thought is that caregivers, parents especially,
18 would find it very useful to know -- i.e.,
19 anticipate -- that a successful outcome that is
20 shown in this table is also made up of study
21 participants in whom a second treatment, or third
22 treatment, or even a rescue treatment was

1 administered. So I wonder if it's possible either
2 to include it as a separate row just as a
3 descriptor or in some of the text or footnote.

4 DR. CHODOSH: Dr. Chambers?

5 DR. CHAMBERS: Yes. This is Wiley Chambers.
6 As you point out, it is integral to some of these
7 success rates, so I think it may make more sense to
8 describe potentially what also could have been used
9 to come up with these rates. We'll certainly
10 figure out how to incorporate more of that.

11 DR. CHODOSH: Thank you. This is
12 Dr. Chodosh.

13 Can we go forward in the slide set, please?
14 Next slide. Okay. Sorry. Go back one. I'm
15 sorry.

16 This is Dr. Chodosh again. It's hard for me
17 to see what you would want to change here. This is
18 very descriptive from the trials.

19 Anyone going to comment on this?

20 (No response.)

21 DR. CHODOSH: Not seeing any hands, let's go
22 to the next slide, please.

1 This is Dr. Chodosh. If I moved too fast
2 and you feel we've missed something, please just
3 raise your hand, and we'll go back. I don't want
4 to shortchange anything.

5 Dr. Atillasoy, please?

6 DR. ATILLASOY: Just one minor comment on
7 the first slide of the clinical studies. In
8 looking at the product labeling, most of the
9 sections are explicit saying the number of studies,
10 so I would just add "determine the first slide, two
11 studies" also, so it's clear to the reader that
12 it's the original. It's the first slide in this
13 section of clinical studies.

14 We don't have to go per se, but just to say
15 "two." The other indications have the words, like
16 two studies, because I think it will also be
17 helpful given that I'm not sure the audience, the
18 reader, will know what the difference is between
19 FIREFLEYE and FIREFLEYE NEXT, so it would be
20 helpful to add the word "two" there, "in the two
21 studies" in that first sentence.

22 DR. CHODOSH: Okay. Any comments on this

1 slide? This does show the two studies' names.

2 (No response.)

3 DR. CHODOSH: Next slide? Again, very
4 descriptive.

5 Next slide? And again, this is the data.
6 There's not much to say about it.

7 Dr. Atillasoy, are you still -- your hand is
8 still up.

9 DR. ATILLASOY: Just on this slide I was
10 going to comment, if it's ok.

11 DR. CHODOSH: Yes.

12 DR. ATILLASOY: I think, based on the prior
13 discussion we were having in the pediatric section,
14 here's where I'd recommend the consideration of an
15 insertion of one sentence. That should help better
16 define the efficacy outcomes we see below in the
17 table; so something along the lines of -- just to
18 address the discussion we had earlier, Dr. Chodosh.

19 Here's where it might be an opportunity to
20 add a sentence, a summary sentence, about efficacy
21 in the context of natural history and things like
22 that just to consider -- insertion here or

1 subsequent to this just so that we address the
2 discussion we had earlier with regard to the
3 pediatric section. Thank you.

4 DR. CHODOSH: Thank you.

5 Would it be fair to say after that EYLEA was
6 not demonstrated to be noninferior, again, with
7 double negatives that clearly triggered
8 Dr. Joniak-Grant, as it does me? Should it then be
9 said that both treatments are far superior to no
10 treatment, or something to that effect?

11 Dr. Chiang, you have a comment?

12 DR. CHIANG: Yes. My comment is something
13 that came up earlier in the morning discussion, and
14 I know it's going to be difficult because this is
15 the way the study was written up and published.
16 But I feel like this comment, this row of patients
17 with absence of active ROP and unfavorable
18 structural outcomes, the phrase "active ROP," I
19 just think is misleading because I think what
20 active ROP really means is treatment requiring ROP,
21 and I don't know if that's changeable at this
22 point.

1 But I feel like that really would describe
2 more of what actually -- and the reason I think
3 it's important is that the community is still
4 working out what to do with babies who have disease
5 that didn't regress fully or with retina that
6 remains avascular; in other words, not fully
7 vascularized. So I'd just love if you could
8 consider that.

9 DR. CHODOSH: Dr. Joniak-Grant?

10 DR. JONIAK-GRANT: Yes. I think it would be
11 really useful -- and we did, as Dr. Chiang
12 mentioned, talk about this a little bit
13 earlier -- to include in the clinical studies
14 information section that the recurrence rates, the
15 retreatment rates, that 7 to 14 percent needed
16 laser rescue, and that the response rates were
17 lower in infants with the zone I ROP and the AP-ROP
18 versus zone II.

19 There was something that really caught my
20 eye in going through the briefing documents that
21 said that the anti-VEGF therapy, when compared to
22 laser, causes disease regression to occur faster,

1 and that has a higher likelihood of disease
2 reactivation.

3 I think having some of those details and
4 also recurrence. They mentioned most recurred
5 within 16 weeks, but then some were within
6 6 months, but then an indication that this does not
7 preclude recurrence after 6 months to kind of help
8 manage that follow-up.

9 I think these are the details that are
10 really important, and these are the details, in
11 particular, that I want to see trickle down,
12 especially to caretakers. And as I'm reading some
13 of the labeling right now, I feel like a lot of
14 those important pieces are missing.

15 DR. CHODOSH: Thank you.

16 This is Dr. Chodosh again. We heard from
17 Dr. Chiang the question of what does active mean,
18 and the suggestion that perhaps even though that
19 wasn't in the published literature for this study,
20 that it should be changed to ROP requiring further
21 treatment. And then Dr. Joniak-Grant brought up
22 something that I think gets to the first question

1 we've discussed on communicating to physicians and
2 caregivers with a little bit more granularity.

3 I agree with that a lot. The thing that
4 really struck me in reading all this was the idea
5 that EYLEA in the studies seemed to get a more
6 rapid response that was less associated with some
7 of the feared complications of laser such as loss
8 of peripheral vision and high myopia, but also
9 required increased alertness for recurrence,
10 meaning more follow-up visits, and that there were
11 burdens to each of those, and that families would
12 have to decide, unfortunately, on which burdens
13 were manageable and which were not, and to help
14 physicians understand that in communicating to
15 patients what the potential benefits and risks
16 were, would need that more granular information.

17 Dr. Joniak-Grant, did you have something
18 else to say? Your hand is still up?

19 DR. JONIAK-GRANT: No. It's just a long
20 day, and and I'm getting forgetful. Thanks.

21 DR. CHODOSH: I'm with you on the long day;
22 long here, too.

1 Does anyone else have any comments about
2 these discussion questions?

3 (No response.)

4 DR. CHODOSH: Barring that, Dr. Chambers,
5 what among the things that we discussed are you
6 still left wanting to hear from those that are,
7 more than me, experts in this particular field that
8 would be helpful to you? What's still sitting for
9 you unanswered that you were hoping to get out of
10 this full day?

11 DR. CHAMBERS: This is Wiley Chambers. I
12 think this has been very helpful. We will look
13 into a number of the points that were made in this
14 last series of discussions. There is some
15 difficulty -- some of the things that people may
16 like to have pointed to are not statistically
17 significant, which means they could have happened
18 by chance, and we generally don't put things that
19 are trends as opposed to definitive statements.
20 We'll look back into what we think we can and
21 cannot do.

22 I also hear what Dr. Chiang is talking about

1 as far as having an endpoint that is really
2 treatment requiring ROP. That's always difficult
3 to put as an endpoint because you can't say an
4 endpoint is treatment requiring to evaluate a
5 treatment. We usually try and describe it in terms
6 of actual anatomic features as opposed to saying
7 it's treatment requiring because that's frequently a
8 judgment call, as well as sometimes based on
9 socioeconomic factors, not just anatomic findings,
10 but we'll relook at that language.

11 I think you've covered everything we were
12 expecting, so besides just thanking everybody for
13 their time, I don't know that I have anything else
14 to direct you to.

15 DR. CHODOSH: Does anyone else on the panel,
16 barring Dr. Chambers for the moment, have any other
17 comments about today, about the process, or about
18 the specific task?

19 (No response.)

20 DR. CHODOSH: Dr. Chambers, any last
21 comments outside of what you just said, and thanks?

22 DR. CHAMBERS: No. I'm just going to

1 repeat, we very much appreciate the time and effort
2 that everybody has put into reviewing this and your
3 comments and suggestions, and we will take all of
4 that into account as we have further discussions
5 with Regeneron on potential language.

6 **Adjournment**

7 DR. CHODOSH: Thank you, Dr. Chambers.

8 As chair, I'll take the prerogative to echo
9 that. First of all, I know how difficult it is to
10 take an entire day from work, and as all of you on
11 this committee did, I very much want to call out
12 your service, because it is service.

13 I also want to recognize the FDA and
14 Dr. Chambers and his crew for what has always
15 appeared to me to be a highly collaborative
16 process. Unlike what you might read about in the
17 newspaper with regard to medication approvals, my
18 experience with Dr. Chambers and his team has
19 always been that they strive very hard to serve the
20 public, and it's not about creating obstacles, but
21 it's about doing things in a proper way so that the
22 public gets what they need, with as much safety

1 along with that as possible. So I really
2 appreciate you, Dr. Chambers, and your whole team,
3 and I thank the committee.

4 I want to thank Dr. Bonner, who did an
5 excellent job keeping me on track and avoiding
6 major mishaps for me through our personal chat; and
7 to the sponsor, thank you for your excellent
8 presentation and for your work on a rare but
9 critically important disease.

10 So with that, I'm going to adjourn this
11 meeting. We will now adjourn the meeting. Thank
12 you very much. Have a great evening.

13 (Whereupon, at 3:56 p.m., the meeting was
14 adjourned.)

15
16
17
18
19
20
21
22