

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

CARDIOVASCULAR AND RENAL DRUGS
ADVISORY COMMITTEE (CRDAC) MEETING

Virtual Meeting

Wednesday, September 13, 2023

9:00 a.m. to 4:19 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****Joyce Frimpong, PharmD**

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

CARDIOVASCULAR AND RENAL DRUGS ADVISORY COMMITTEE**MEMBERS (Voting)****C. Noel Bairey Merz, MD, MACC, FAHA, FESC**

Professor of Cardiology

Smidt Heart Institute

Cedars-Sinai Medical Center

Los Angeles, California

Javed Butler, MD, MPH, MBA

(Chairperson)

Distinguished Professor of Medicine

University of Mississippi

President

Baylor Scott and White Research Institute

Dallas, Texas

1 **Edward K. Kasper, MD, FACC, FAHA**

2 Director of Outpatient Cardiology

3 E. Cowles Andrus Professor in Cardiology

4 Johns Hopkins School of Medicine

5 Baltimore, Maryland

6

7 **Csaba P. Kovesdy, MD, FASN**

8 The Fred Hatch Professor of Medicine

9 University of Tennessee Health Science Center

10 Nephrology Section Chief

11 Memphis Veterans Affairs (VA) Medical Center

12 Memphis, Tennessee

13

14 **David Moliterno, MD**

15 Professor of Internal Medicine

16 Division of Cardiovascular Medicine

17 University of Kentucky Medical Center

18 Lexington, Kentucky

19

20

21

22

1 **Christopher M. O'Connor, MD, MACC, FESC, FHFA, FHFA**

2 Professor of Medicine, Duke University

3 President and Executive Director

4 Inova Heart and Vascular Institute

5 Falls Church, Virginia

6

7 **Eric Peterson, MD, MPH**

8 Vice Provost, Senior Associate Dean

9 Professor

10 University of Texas Southwestern Medical Center

11 Dallas, Texas

12

13 **Prabir Roy-Chaudhury, MD, PhD, FRCP**

14 Drs. Ronald and Katherine Falk Eminent

15 Professor and Co-Director

16 University of North Carolina Kidney Center

17 Staff Nephrologist

18 Salisbury VA Medical Center

19 Chapel Hill, North Carolina

20

21

22

1 **Ravi I. Thadhani, MD, MPH**

2 Executive Vice President for Health Affairs

3 Emory University

4 Executive Director

5 Woodruff Health Sciences Center

6 Atlanta, Georgia

7

8 **INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

9 **(Non-Voting)**

10 **David G. Soergel, MD**

11 Global Head

12 Cardiovascular, Renal and Metabolism Development

13 Novartis

14 East Hanover, New Jersey

15

16 **TEMPORARY MEMBERS (Voting)**

17 **Rita L. Abernathy, M Arch, AIA Emeritus**

18 *(Patient Representative)*

19 Washington, District of Columbia

20

21

22

1 **David Cella, PhD**

2 Professor, Departments of Medical Social Sciences,
3 Psychiatry, and Neurology
4 Northwestern University Feinberg School of Medicine
5 Chicago, Illinois

6
7 **Ashley Wilder Smith, PhD, MPH**

8 Chief, Outcomes Research Branch
9 National Cancer Institute
10 National Institutes of Health
11 Rockville, Maryland

12
13 **FDA PARTICIPANTS (Non-Voting)**

14 **Hylton V. Joffe, MD, MMSc**

15 Director
16 Office of Cardiology, Hematology, Endocrinology and
17 Nephrology (OCHEN)
18 Office of New Drugs (OND), CDER, FDA

19
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Norman Stockbridge, MD, PhD

Director

Division of Cardiology and Nephrology (DCN)

OCHEN, OND, CDER, FDA

Rosalyn Adigun, MD, PharmD

Clinical Reviewer

DCN, OCHEN, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Javed Butler, MD, MPH, MBA	10
Introduction of Committee	
Joyce Frimpong, PharmD	10
Conflict of Interest Statement	
Joyce Frimpong, PharmD	15
FDA Opening Remarks	
Norman Stockbridge, MD, PhD	19
Applicant Presentations - Alnylam Pharmaceuticals, Inc.	
Introduction	
Pushkal Garg, MD	22
Unmet Need	
John Berk, MD	30
Efficacy	
John Vest, MD	36
Impact of Patisiran on Patient Health Status	
John Spertus, MD, MPH	54

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Safety	
Elena Yureneva, MD, MHA	61
Clinical Perspective	
Ronald Witteles, MD	66
Clarifying Questions to Applicant	75
FDA Presentations	
Patisiran for Transthyretin Amyloidosis (ATTR) Cardiomyopathy	
Rosalyn Adigun, MD, PharmD	121
Clarifying Questions to FDA	139
Open Public Hearing	192
Clarifying Questions (continued)	240
Charge to the Committee	
Norman Stockbridge, MD, PhD	252
Questions to the Committee and Discussion	252
Adjournment	329

P R O C E E D I N G S

(9:00 a.m.)

Call to Order

DR. BUTLER: Welcome. I would first like to remind everyone to please mute your lines when you're not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her e-mail is currently displayed.

My name is Dr. Javed Butler, and I will be chairing this meeting. I will now call the September 13, 2023 Cardiovascular and Renal Drugs Advisory Committee meeting to order. Dr. Joyce Frimpong is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. FRIMPONG: Good morning. My name is Joyce Frimpong, and I'm the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Bairey Merz?

DR. BAIREY MERZ: Good morning. Noel Bairey

1 Merz, cardiology, Cedars-Sinai Heart Institute,
2 women's health and investigative ischemic heart
3 disease. Thank you.

4 DR. FRIMPONG: Dr. Butler?

5 DR. BUTLER: Javed Butler, heart failure
6 cardiologist, Baylor Scott and White Health,
7 Dallas, Texas.

8 DR. BUTLER: Thank you.

9 Dr. Kasper?

10 DR. KASPER: Ed Kasper, heart failure
11 cardiologist, Johns Hopkins.

12 DR. FRIMPONG: Dr. Kovesdy?

13 DR. KOVESDY: Good morning. Csaba Kovesdy,
14 nephrologist, Memphis VA Medical Center and
15 University of Tennessee Health Science Center,
16 Memphis, Tennessee.

17 DR. FRIMPONG: Dr. Moliterno?

18 DR. MOLITERNO: Hi. David Moliterno. I'm a
19 cardiologist at the University of Kentucky.

20 DR. FRIMPONG: Dr. O'Connor?

21 DR. O'CONNOR: Good morning. Christopher
22 O'Connor here. I'm president of the Inova Heart

1 and Vascular Institute in Northern Virginia and a
2 heart failure cardiologist.

3 DR. FRIMPONG: Dr. Peterson?

4 DR. PETERSON: Good morning. Eric Peterson,
5 cardiologist, vice provost for clinical research at
6 UT Southwestern, Dallas, Texas.

7 DR. FRIMPONG: Dr. Roy-Chaudhury?

8 DR. ROY-CHAUDHURY: Good morning. Prabir
9 Roy-Chaudhury. I'm a transplant nephrologist at
10 the University of North Carolina at Chapel Hill and
11 at the Salisbury VA Medical Center.

12 DR. FRIMPONG: Dr. Thadhani?

13 DR. THADHANI: Good morning. Ravi Thadhani,
14 executive vice president for Health Affairs at
15 Emory University. Thank you.

16 DR. FRIMPONG: And for our industry
17 representative, Dr. Soergel?

18 DR. SOERGEL: Good morning. David Soergel,
19 global head, Cardiovascular, Renal, and Metabolism
20 Development at Novartis.

21 DR. FRIMPONG: Ms. Abernathy?

22 MS. ABERNATHY: Rita Abernathy, retired

1 architect and ATTR-V patient, Washington, DC area.

2 DR. FRIMPONG: Dr. Cella?

3 DR. CELLA: David Cella. I'm a
4 psychologist, professor at Northwestern University,
5 and a clinical outcomes assessment researcher.

6 DR. FRIMPONG: Dr. Smith?

7 DR. WILDER SMITH: Good morning. Ashley
8 Wilder Smith. I am chief of the Outcomes Research
9 Branch at the National Cancer Institute, part of
10 the National Institutes of Health.

11 DR. FRIMPONG: Alright. And now for our FDA
12 participants, when I call your name, if you could
13 please come to the podium and introduce yourself.

14 We have Doctor Joffe.

15 DR. JOFFE: Good morning. I'm Hylton Joffe,
16 the director of the Office of Cardiology,
17 Hematology, Endocrinology and Nephrology in CDER at
18 FDA.

19 DR. FRIMPONG: Dr. Stockbridge?

20 DR. STOCKBRIDGE: Good morning. I'm Norman
21 Stockbridge. I'm the director of the Division of
22 Cardiology and Nephrology.

1 DR. FRIMPONG: And Dr. Adigun.

2 DR. ADIGUN: Good morning. I'm Rosalyn
3 Adigun, clinical reviewer, Division of Cardiology
4 and Nephrology. Thank you.

5 DR. FRIMPONG: Thank you.

6 Dr. Butler, I will now hand it back over to
7 you.

8 DR. BUTLER: Thank you, Dr. Frimpong.

9 For topics such as those being discussed at
10 this meeting, there are often a variety of
11 opinions, some of which are quite strongly held.
12 Our goal is that this meeting be a fair and open
13 forum for the discussion of these issues and that
14 individuals can express their views without
15 interruption. Thus, as a gentle reminder,
16 individuals will be allowed to speak into the
17 record only if recognized by the chairperson. We
18 look forward to a productive meeting.

19 Also, in the spirit of the Federal Advisory
20 Committee Act and the Government in the Sunshine
21 Act, we ask that advisory committee members take
22 care that their conversations about the topic at

1 hand take place in the open forum of the meeting.

2 We are aware that members of the media are
3 anxious to speak with the FDA about these
4 proceedings; however, FDA will refrain from
5 discussing the details of this meeting with the
6 media until its conclusion. Also, the committee is
7 reminded to please refrain from discussing the
8 meeting topics during breaks or lunch. Thank you.

9 Dr. Frimpong will read the Conflict of
10 Interest Statement for the meeting now.

11 **Conflict of Interest Statement**

12 DR. FRIMPONG: Thank you, Dr. Butler.

13 The Food and Drug Administration is
14 convening today's meeting of the Cardiovascular and
15 Renal Drugs Advisory Committee under the authority
16 of the Federal Advisory Committee Act of 1972.
17 With the exception of the industry representative,
18 all members and temporary voting members of the
19 committee are special government employees or
20 regular federal employees from other agencies, and
21 are subject to federal conflict of interest laws
22 and regulations.

1 The following information on the status of
2 this committee's compliance with federal ethics and
3 conflict of interest laws, covered by but not
4 limited to those found at 18 U.S.C. Section 208, is
5 being provided to participants in today's meeting
6 and to the public.

7 FDA has determined that members and
8 temporary voting members of this committee are in
9 compliance with federal ethics and conflict of
10 interest laws. Under 18 U.S.C. Section 208,
11 Congress has authorized FDA to grant waivers to
12 special government employees and regular federal
13 employees who have potential financial conflicts
14 when it is determined that that agency's need for a
15 special government employee's services outweigh
16 their potential financial conflict of interest, or
17 when the interest of a regular federal employee is
18 not so substantial as to be deemed likely to affect
19 the integrity of the services which the government
20 may expect from the employee.

21 Related to the discussions of today's
22 meeting, members and temporary voting members of

1 this committee have been screened for potential
2 financial conflicts of interests of their own as
3 well as those imputed to them, including those of
4 their spouses or minor children and, for purposes
5 of 18 U.S.C. Section 208, their employers. These
6 interests may include investments; consulting;
7 expert witness testimony; contracts, grants,
8 CRADAs; teaching, speaking, writing; patents and
9 royalties; and primary employment.

10 Today's agenda involves the discussion of
11 supplemental new drug application 210922-s015 for
12 Onpattro, patisiran, lipid complex for injection,
13 submitted by Alnylam Pharmaceuticals, Incorporated,
14 for the treatment for the proposed treatment of the
15 cardiomyopathy of wild-type or hereditary
16 transthyretin-mediated amyloidosis in adults.

17 This is a particular matters meeting during
18 which specific matters related to Alnylam
19 Pharmaceuticals, Incorporated sNDA will be
20 discussed. Based on the agenda for today's meeting
21 and all financial interests reported by the
22 committee members and temporary voting members, no

1 conflict of interest waivers have been issued in
2 connection with this meeting.

3 To ensure transparency, we're encouraging
4 all standing members and temporary voting members
5 to disclose any public statements they have made
6 concerning the product at issue. With respect to
7 FDA's invited industry representative, we would
8 like to disclose that Dr. David Soergel is
9 participating in this meeting as a non-voting
10 industry representative, acting on behalf of
11 regulated industry. Dr. Soergel's role at this
12 meeting is to represent industry in general and not
13 any particular company. Dr. Soergel is employed by
14 Novartis.

15 We would like to remind members and
16 temporary voting members that if the discussions
17 involve any other products or firms not already on
18 the agenda for which an FDA participant has a
19 personal or imputed financial interest, the
20 participants need to exclude themselves from such
21 involvement, and their exclusion will be noted for
22 the record. FDA encourages all other participants

1 to advise the committee of any financial
2 relationships that they may have with the firm at
3 issue. Thank you.

4 DR. BUTLER: Thank you, Dr. Frimpong.

5 We will now proceed with the FDA
6 introductory remarks from Dr. Norman Stockbridge.

7 **FDA Opening Remarks - Norman Stockbridge**

8 DR. STOCKBRIDGE: Good morning again.
9 Norman Stockbridge. I want to first thank the
10 committee for the time they've already spent and
11 the time that they will be spending today in
12 support of this public health service.

13 I want to make a couple of comments about
14 responder analyses, which are likely to be a topic
15 that comes up through the day. First of all,
16 whenever you have a bell-shaped distribution and
17 provide some portion of it with a small incremental
18 benefit, even if that benefit is, let's say,
19 2 percent and applies to everybody, if you then
20 look at the characteristics of people who have a
21 later response that's above some threshold you've
22 set for responders, there will obviously be more in

1 the group that had the boost than in the unboosted
2 group, and that proportion of people who were in
3 the responder group will get larger, the larger you
4 set the boundary for the response threshold.

5 This is a property of the shape of the
6 original distribution and has nothing, really, to
7 do with anything about identifying a responder
8 group. It's also true when the measurement that's
9 under consideration is stable -- that is, patients
10 respond the same every time you ask them a question
11 or when there's real variability -- real
12 variability shows up in individual patient
13 responses because of variability in the
14 manifestations of the disease from one day to the
15 next, factors that are unrelated to the disease
16 itself but affect the measurement that you're
17 trying to make, and also measurement errors.

18 This variability within subjects is
19 responsible for the phenomenon that you may know as
20 regression to the mean. When you take a
21 population, select from it people who have a
22 measurement that's above or below some threshold,

1 randomize them and make the measurement again, you
2 will often see that people show up with
3 measurements that would have gotten them excluded
4 from the study originally, and that phenomenon is
5 known as regression to mean.

6 This phenomenon of regression also happens
7 with respect to responder thresholds. If you set a
8 threshold and see a group of people who meet that
9 threshold on one visit, they are not likely to be
10 the same people who would show up there on a
11 subsequent measurement. This, I assert, means that
12 a single observation of people above a certain
13 response threshold is a poor indicator of a
14 responder population. Thank you.

15 DR. BUTLER: Thank you, Dr. Stockbridge.

16 Both the Food and Drug Administration and
17 the public believe in a transparent process for
18 information gathering and decision making. To
19 ensure such transparency at the advisory committee
20 meeting, FDA believes that it is important to
21 understand the context of an individual's
22 presentation.

1 For this reason, the FDA encourages all
2 participants, including the applicant's
3 non-employee presenters, to advise the committee of
4 any financial relationships that they may have with
5 the applicant, such as consulting fees, travel
6 expenses, honoraria, and interest in the applicant,
7 including equity interests and those based on the
8 outcome of the meeting.

9 Likewise, the FDA encourages you at the
10 beginning of your presentation to advise the
11 committee if you do not have any such financial
12 relationships. If you choose not to address this
13 issue of financial relationships at the beginning
14 of your presentation, it will not preclude you from
15 speaking.

16 We will now proceed with Alnylam
17 Pharmaceuticals' presentation.

18 **Applicant Presentation - Pushkal Garg**

19 DR. GARG: Good morning, everyone. My name
20 is Pushkal Garg, and I'm the chief medical officer
21 at Alnylam, a company focused on discovering and
22 developing RNAi therapeutics. On behalf of

1 Alnylam, I want to thank the members of the
2 advisory committee and the FDA for the opportunity
3 to present to you today on our supplemental NDA for
4 patisiran, for the treatment of cardiomyopathy of
5 ATTR amyloidosis.

6 ATTR amyloidosis is a rare, multisystem,
7 rapidly progressive, and ultimately fatal disease
8 that's caused by the misfolding of transthyretin or
9 TTR. TTR is a hepatically produced protein that
10 primarily serves to transport vitamin A. But in
11 the setting of mutations, variant TTR, which leads
12 to the hereditary form of the disease, or with
13 aging, wild-type TTR, can misfold and form amyloid
14 fibrils. These amyloid fibrils deposit in the
15 peripheral nerves and the heart to cause the
16 polyneuropathy and the cardiomyopathy that are the
17 hallmarks of this disease.

18 Patisiran is a small interfering RNA that
19 targets a highly conserved region of the TTR gene
20 found in both variant and wild-type forms. It's
21 formulated as a lipid nanoparticle for
22 liver-specific delivery and is administered

1 intravenously at a dose of 0.3 milligrams per
2 kilogram every 3 weeks. When administered,
3 patisiran is taken up by the liver, where it
4 inhibits hepatic synthesis of both variant and
5 wild-type TTR by the process of RNA interference at
6 their source and before they can form
7 amyloid-causing monomers. This results in lower
8 circulating levels of the pathogenic protein TTR,
9 reducing further amyloid deposition in the nerves
10 and the heart, and thereby stabilizing or even
11 improving the manifestations of this disease.

12 The first test of patisiran's efficacy was
13 in the phase 3 APOLLO study in patients with
14 polyneuropathy due to the hereditary form of ATTR
15 amyloidosis, which led to the initial approval of
16 patisiran in 2018. In that study, patisiran
17 rapidly and sustainably reduced serum TTR by over
18 85 percent.

19 The impact of this TTR reduction on
20 neuropathy impairment and quality of life is shown
21 in the middle and right panels, respectively. The
22 steady progressive nature of the disease is evident

1 from the trajectory of the placebo patients, who
2 experienced steady worsening over just 18 months.
3 In contrast, patients given patisiran experienced
4 significant benefit with stabilization and even
5 improvement compared to baseline in both neuropathy
6 impairment and quality of life, with benefits seen
7 as early as 9 months.

8 Importantly, more than half of the patients
9 in the APOLLO study had evidence of concurrent
10 cardiac amyloidosis according to predefined
11 criteria, which enabled evaluations of the impact
12 of patisiran and the cardiac manifestations of this
13 disease. These assessments indicated that
14 patisiran improved cardiac structure and function
15 over 18 months, with an approximate halving of the
16 risk of all-cause mortality and CV
17 hospitalizations.

18 Thus, these results from the APOLLO study,
19 in addition to other published case reports and
20 case series, indicated that patisiran may also be
21 of benefit to ATTR patients with cardiomyopathy,
22 thereby forming the basis for the APOLLO-B study

1 we're here to discuss today.

2 Patisiran received orphan drug designation
3 for the treatment of ATTR amyloidosis, encompassing
4 both polyneuropathy and cardiomyopathy in December
5 of 2017, and was approved for polyneuropathy in
6 August of 2018. In December of that same year, we
7 aligned with the agency on a single study in
8 patients with cardiomyopathy with the 6-minute walk
9 test 12 months as the primary endpoint and the
10 Kansas City Cardiomyopathy Questionnaire as the
11 first secondary.

12 Selection of these endpoints was consistent
13 with FDA guidance issued in 2019, that stated that
14 functional ability or symptoms could serve as
15 approvable endpoints in heart failure. Another
16 consideration of the design of the study was the
17 approval of the TTR stabilizer, tafamidis, just
18 around the time of study initiation. My colleague,
19 John Vest, will explain how that was addressed in
20 the study design.

21 APOLLO-B enrollment began in September of
22 2019 and completed in June 2021, with top-line

1 results in August of 2022. APOLLO-B was positive,
2 showing benefits on both patient function and
3 symptoms in patients with ATTR cardiomyopathy. We
4 observed that patisiran lowered TTR, the pathogenic
5 protein, by more than 85 percent. By doing so, it
6 slowed the decline in functional capacity, as
7 measured by the 6-minute walk test, to a rate
8 comparable to normal aging.

9 It also stabilized patient health status,
10 symptoms, and quality of life, as measured by the
11 KCCQ, and it led to improvements of the clinically
12 relevant cardiac biomarkers, NT-proBNP and
13 troponin I. In fact, the APOLLO-B results closely
14 mirrored the benefits of patisiran that were seen
15 in the polyneuropathy aspects of the disease in the
16 original APOLLO study, where we also saw
17 functional, health status, and biomarker changes.

18 Thus, the efficacy of patisiran
19 cardiomyopathy is supported by confirmatory
20 evidence from APOLLO. Importantly, patisiran
21 demonstrated a favorable safety profile in APOLLO-
22 B, consistent with the profile observed in APOLLO,

1 and 5 years of postmarketing experience.

2 In our presentation today, we will explain
3 why these observed benefits of patisiran are
4 clinically meaningful and address important patient
5 needs. You'll hear from our clinical experts that
6 patients with this disease were typically in their
7 70s and 80s and greatly value their ability to
8 maintain function and health status and minimize
9 their heart failure symptoms. But unfortunately,
10 despite an approved TTR stabilizer, disease
11 progression is common.

12 This unmet need is highlighted by rapid
13 enrollment in a patisiran expanded access program
14 established after the APOLLO-B results were known.
15 The program was set up at just 20 U.S. centers for
16 patients who are experiencing progression on TTR
17 stabilizer and enrolled very quickly at a rate of
18 approximately 5 patients per week, filling up in
19 just 10 months. And specifically to the questions
20 posed by the FDA, the study results demonstrate
21 that the benefits shown are very meaningful.

22 Patisiran reduces disease progression as

1 assessed by multiple complementary measures,
2 including the objective evaluation of function,
3 patient-reported health status and symptoms, and
4 clinician assessments, in contrast to the
5 inexorable progression that patients otherwise
6 experience, and it's well tolerated with no major
7 safety concerns. At the same time, it's important
8 to acknowledge an important limitation of the data,
9 which is that the effect of patisiran when given in
10 combination with tafamidis has not been
11 established. We believe this limitation can be
12 readily communicated in the product label.

13 Thus, based on these data, we believe that
14 patisiran is a safe and effective treatment option
15 with a novel mechanism of action that should be
16 approved to slow the decline in functional capacity
17 and reduce symptoms in patients with ATTR
18 cardiomyopathy.

19 This is the agenda for the rest of our
20 presentation. Dr. John Berk will discuss the unmet
21 need. Dr. John Vest of Alnylam will present the
22 efficacy data from the APOLLO-B study, followed by

1 Dr. John Spertus, who will explain the impact of
2 patisiran on patients' symptoms and quality of
3 life. Dr. Elena Yureneva of Alnylam will present
4 the patisiran safety profile, and finally, Dr. Ron
5 Witteles will provide his clinical perspective on
6 the data.

7 We also have additional experts here today
8 who are available to address questions from the
9 advisory committee. All outside experts have been
10 compensated for their time and travel to today's
11 meeting. Thank you very much. I'm now going to
12 turn the presentation over to Dr. Berk.

13 **Applicant Presentation - John Berk**

14 DR. BERK: Good morning. I'm Dr. John Berk,
15 a professor of medicine and assistant director of
16 the Amyloidosis Center at Boston University. For
17 the past 25 years, I've cared for patients with
18 ATTR amyloidosis and have seen the devastating
19 impact this cardiomyopathy has on patients' health,
20 their capacity to perform daily activities, and
21 ultimately their quality of life. Today I'll be
22 discussing the significant unmet need for new

1 treatments in ATTR cardiomyopathy.

2 ATTR cardiomyopathy is a progressive and
3 debilitating disease. Misfolded TTR forms amyloid
4 fibrils that deposit in the heart, thickening and
5 stiffening the myocardium. As the ventricular
6 walls thicken, diminished myocardial compliance and
7 shrinking chamber volume limits ventricular filling
8 and reduces cardiac output. Congestion of the
9 lungs and body produce the symptoms of heart
10 failure. Arrhythmias, most notably atrial
11 fibrillation, occur due to amyloid infiltration of
12 the electrical wiring of the atrium and ventricles.

13 The trajectory of ATTR cardiomyopathy is one
14 of irreversible decline. Early in the disease,
15 compensatory mechanisms help patients cope, but as
16 amyloid deposition progresses, the compensatory
17 mechanisms fail, shortness of breath and fatigue
18 worsen, and exercise tolerance declines. Patients
19 report a sense of aging at an accelerated rate.
20 Patients walk less and more slowly. They perform
21 fewer activities of daily living. Bending over to
22 tie shoes and going upstairs to the bedroom become

1 overwhelming. Unable to keep up, patients
2 disengage from their partners, family, and friends.

3 Ultimately, patients reach a tipping point
4 where their decline accelerates. Cardiovascular
5 hospital admissions become frequent and longer.
6 Time at home is dedicated to rehabilitation
7 activities and adapting the house layout. This
8 trajectory of decline highlights the need for early
9 intervention and more impactful treatments.

10 The median survival of ATTR cardiomyopathy
11 ranges from 2-and-a-half to 5-and-a-half years, a
12 natural history that's worse than many cancers.
13 The challenge of this disease is not simply its
14 aggressiveness, but also the growing number of
15 patients being diagnosed mainly with wild-type
16 amyloidosis. The growth is driven by two advances
17 in the field. The first advance was tafamidis, a
18 TTR tetramer stabilizer and the only drug approved
19 for ATTR cardiomyopathy.

20 As these four graphs from the ATTR-ACT study
21 show, tafamidis unequivocally alters the course of
22 disease. The mortality benefit appeared after

1 18 months of treatment, with tafamidis reducing
2 mortality of 30 months from 43 percent in the
3 placebo arm to 30 percent among treated patients.
4 Despite treatment, nearly a third of
5 tafamidis-treated patients died in the 30-month
6 treatment period, however.

7 As you can see in the 6-minute walk test and
8 KCCQ figures, patients in the placebo arm showed a
9 rapid decline in their functional capacity and
10 quality of life, reflecting the late disease stage
11 that characterized participants in the ATTR-ACT
12 study in contrast to those in the APOLLO-B study.
13 Tafamidis slowed the decline compared to placebo.

14 While the treatment effect may seem large,
15 the effect is amplified by the precipitous decline
16 with placebo, yet patients in ATTR-ACT still
17 decline substantially by all of these metrics
18 despite the tafamidis treatment. Real-world
19 experience bears out these observations.

20 Functional decline and death march on despite
21 treatment. The course of these patients defines
22 the need for additional treatment options.

1 The second major advance, which occurred
2 after the ATTR-ACT began, was the development of
3 technetium scintigraphy as a simple non-invasive
4 test to diagnose ATTR cardiomyopathy. The normal
5 heart does not take up technetium tracer, whereas
6 cardiac uptake that is equal to or greater than
7 bone is seen in patients with diagnostic grade 2 or
8 grade 3 scans.

9 This simple non-invasive imaging test has
10 been rapidly adopted worldwide in recent years. It
11 has effectively replaced cardiac biopsy, which was
12 required to make the diagnosis prior to and during
13 the era of the ATTR-ACT study. Because of this,
14 more patients than ever are being diagnosed. In
15 addition, disease progression is slower than in the
16 past because patients are now diagnosed at earlier
17 stages of the disease, but the chronic erosion of
18 functional capacity and health is still a serious
19 problem.

20 In summary, there's a high unmet need for
21 more treatment options in ATTR cardiomyopathy.
22 ATTR cardiomyopathy steadily robs patients of their

1 health, and the problem is growing with the rising
2 number of new diagnoses. Tafamidis is the only
3 approved therapy. The drug slows the course of
4 disease, but patients continue to decline.
5 Patients want to maintain their functional capacity
6 and a good quality of life. In fact, patients
7 often place as much or more value on their quality
8 of life than longevity.

9 In the absence of new treatment options,
10 we're left watching our patients decline. We need
11 additional therapies, one with different mechanisms
12 of action than TTR stabilization. In combination
13 with early intervention, we must strive to stop
14 disease progression and preserve functional
15 capacity and quality of life; to settle for less
16 means that we accept continued irreversible
17 decline.

18 TTR is the disease-causing protein in ATTR
19 amyloidosis. In hereditary ATTR amyloidosis with
20 polyneuropathy, we know that suppressing levels of
21 TTR result in the best outcomes. This is how
22 patisiran works. I've cared for hundreds of

1 patients with hereditary ATTR polyneuropathy
2 treated with patisiran for more than 8 years, many
3 with concurrent cardiomyopathy. The impact of
4 patisiran on their health and quality of life has
5 been truly life-changing, and now with the APOLLO-B
6 results, I firmly believe that patisiran, a TTR
7 gene silencer, can address the high unmet need for
8 patients with a ATTR cardiomyopathy.

9 Thank you. I'll now turn the presentation
10 to Dr. John Vest.

11 **Applicant Presentation - John Vest**

12 DR. VEST: Thank you, Dr. Berk, and good
13 morning. I'm John Vest, senior vice president at
14 Alnylam, where I oversee clinical research for our
15 TTR amyloid programs. Today, I'll be sharing the
16 efficacy data from APOLLO-B.

17 APOLLO-B enrolled 360 patients with ATTR
18 amyloidosis with cardiomyopathy. Patients were
19 randomized 1 to 1 to patisiran or placebo and were
20 treated for 12 months in the double-blind period.
21 With regard to endpoints, one of the most important
22 things to patients with this disease is how they

1 function and feel, so the 6-minute walk test
2 assessing functional capacity and the Kansas City
3 Cardiomyopathy Questionnaire assessing
4 patient-reported health status and quality of life
5 were identified as clinically relevant assessments
6 for the primary and for secondary endpoint.

7 They were rigorously ascertained with a high
8 degree of data completeness, and although this
9 study was not designed to assess outcomes,
10 secondary composite endpoints of death and
11 hospitalization were included, and we were able to
12 ascertain vital status for 100 percent of patients
13 on the study. And finally, we assessed exploratory
14 endpoints, including commonly used cardiac
15 laboratory parameters, as well as clinically
16 relevant assessments of disease progression and
17 cardiac imaging parameters. After 12 months,
18 patients entered an ongoing open-label extension,
19 where all patients received patisiran.

20 APOLLO-B enrolled a global ATTR amyloidosis
21 population and includes patients reflective of the
22 United States population with this disease.

1 Baseline demographics were similar between the
2 patisiran and placebo groups. The median age
3 across groups was 76, most patients were male and
4 white, and patient distribution was generally well
5 balanced across regions.

6 Overall, baseline disease characteristics
7 indicated a wide range of disease severity;
8 however, the study focused on the patients
9 reflective of the current ATTR amyloidosis
10 population. Thus, patients were, on average,
11 identified earlier in the disease course compared
12 to previous studies. Disease characteristics were
13 balanced between treatment arms. Eighty percent of
14 patients had wild-type disease, 20 percent were
15 hereditary, 25 percent of patients in either group
16 were receiving tafamidis at baseline, and most
17 patients had NYHA class II heart failure symptoms.

18 With this background in mind, I'll now turn
19 to the primary study results supporting efficacy.
20 APOLLO-B met the primary endpoint of 6-minute walk
21 test, but first to contextualize the results, it's
22 important to recognize that for healthy adults in

1 this age group, 6-minute walk test distance is
2 expected to decline by 5-to-6 meters per year just
3 due to normal ages. In APOLLO-B, the decline
4 observed in the placebo arm of 21 meters was
5 3-to-4 times its expected age-related decline.

6 In contrast, the smaller decline of 8 meters
7 in the patisiran arm represents a 62 percent
8 reduction in the rate of decline compared to
9 placebo and is comparable to the expected
10 age-related decline in healthy adults, indicating
11 relative stability of functional capacity in
12 patisiran-treated patients. At month 12, the
13 median difference between patisiran and placebo was
14 14.7 meters with a p-value of 0.016, a result that
15 was consistent across multiple sensitivity
16 analyses, confirming the robustness of the data.

17 Importantly, as shown here, the treatment
18 effect observed during the double-blind period has
19 been maintained on the open-label extension up to
20 two full years, demonstrating ongoing preservation
21 of functional capacity, and looking at the placebo
22 arm, there's evidence of a treatment effect with

1 patients demonstrating relative stabilization of
2 functional capacity after crossing over to
3 patisiran on the open label.

4 For KCCQ, similar to what we saw for
5 6-minute walk test, placebo-treated patients showed
6 clear worsening of 3.4 points over 12 months, while
7 in contrast, the increase of 0.3 points in the
8 patisiran arm indicates clinical stability in
9 health status and quality of life. At month 12,
10 the mean difference was 3.7 points with a p-value
11 of 0.04.

12 Importantly, KCCQ results were also robust
13 across multiple sensitivity analyses. Of note, the
14 favorable effects on KCCQ were consistent across
15 all KCCQ domains and in the clinical summary score.
16 The two-year data on KCCQ mirror what we showed for
17 6-minute walk tests. Collectively, the results
18 underscore the ongoing meaningful preservation of
19 health status and quality of life through 24 months
20 with continued patisiran treatment.

21 Now, having reviewed the primary efficacy
22 results, I'd like to focus on several key topics.

1 We'll start with a discussion of efficacy in
2 subgroups. For both 6-minute walk tests and KCCQ,
3 we see a treatment effect of patisiran compared to
4 placebo that is generally consistent across
5 subgroups of baseline demographics. In subgroups
6 of female or black patients, the N's are very small
7 with wide confidence intervals. Likewise, the
8 treatment effect was also generally consistent
9 across the spectrum of baseline disease
10 characteristics.

11 I would like to specifically focus on the
12 treatment effect in patients on background
13 tafamidis. In assessing the results in the
14 subgroup on background tafamidis, which are shown
15 here, in comparison to patisiran monotherapy and to
16 the overall study population, it's important to
17 note that this was a small subgroup with only about
18 45 patients per arm. The treatment effect for both
19 6-minute walk test and KCCQ was less than what was
20 observed with patisiran monotherapy, but the
21 confidence intervals are wide and overlapping.

22 With background tafamidis, a muted decline

1 in the placebo group over the 12-month,
2 double-blind period may have limited the ability to
3 detect potential patisiran treatment effect on
4 clinical endpoints. Importantly, the
5 pharmacodynamic effect of lowering transthyretin
6 was the same with and without background tafamidis,
7 but overall, a treatment effect for patients on
8 background tafamidis was not established on
9 APOLLO-B.

10 Next, we'll look at mechanistic data that
11 provides support for the primary efficacy results
12 in APOLLO-B. Patisiran reduced serum transthyretin
13 by greater than 85 percent, which is the
14 fundamental pathogenic protein. This result is
15 consistent with the drug's well-described
16 pharmacodynamic profile and is comparable to the
17 transthyretin reduction observed on the original
18 APOLLO study. Of note, the pharmacodynamic effect
19 was highly consistent across all subgroups.

20 Looking at NT-proBNP, which is an important
21 cardiac laboratory parameter in ATTR amyloidosis,
22 we saw a beneficial effect with patisiran compared

1 to placebo, with the placebo group showing steady
2 worsening, while patisiran substantially reduced
3 this decline, a difference that was nominally
4 significant. With troponin I, a commonly used
5 laboratory marker of myocardial injury, we see a
6 similar pattern, with patisiran demonstrating a
7 nominally significant benefit compared to placebo.

8 The biomarker results are complemented by
9 echocardiographic assessments of cardiac structure
10 and function, shown here, where patisiran again
11 demonstrates a favorable treatment effect. In
12 contrast to the expected increase in LV mass seen
13 on the placebo arm, reflecting ongoing amyloid
14 deposition, patisiran patients demonstrated
15 stability in LV mass, consistent with the
16 suppression of amyloid deposition, which was in
17 turn accompanied by a substantially smaller
18 increase in global longitudinal strain compared to
19 placebo, which is an important measure of cardiac
20 function in this disease, and stable or slightly
21 improved LV stroke volume.

22 These results were all nominally significant

1 and suggest a beneficial effect on disease
2 pathophysiology, linking the mechanism of action of
3 patisiran, reducing the amyloidogenic protein to
4 the observed clinical improvements on APOLLO-B.
5 The results for technetium scintigraphy imaging
6 further supports this link [indiscernible]. As
7 Dr. Berk explained, this has become a standard in
8 the field for diagnosing ATTR cardiomyopathy.

9 These results reflect a prespecified
10 analysis from a planned substudy at select sites
11 and were analyzed by blinded readers at a central
12 lab. In the placebo arm on the right, at baseline,
13 it's expected that patients were all Perugini
14 grade 2 or 3, and the vast majority remain
15 unchanged at the end of the 12-month, double-blind
16 period, with no patient demonstrating improvement.
17 In contrast, on the patisiran arm, in the left-hand
18 panel, by month 12, 38 percent of patients had
19 improved by at least one Perugini grade. Of
20 specific note, 5 patisiran-treated patients
21 improved to Perugini grade 0 or 1, which is below
22 the standard threshold grade for diagnosis of ATTR

1 amyloidosis.

2 Next, we'll look at the impact of patisiran
3 on outcomes of mortality and hospitalization. It's
4 important to note that the study was not designed
5 for outcomes, and no secondary composite outcomes
6 endpoints were met, as was described in the
7 briefing document. Given the short duration of the
8 12-month, double-blind period, an analysis that
9 includes data from the open-label extension, after
10 all patients had completed month 24, which are
11 shown here, provides a more robust assessment of
12 outcomes.

13 The data reflect patients' overall
14 experience in the study, including the randomized
15 treatment and the double-blind period, plus
16 patisiran treatment in the open-label extension.
17 The composite outcome data of all-cause mortality,
18 all-cause hospitalization, and urgent heart failure
19 visits, shown on the left, as well as the analysis
20 of all-cause mortality, shown on the right, are
21 reassuring and indicate no detrimental effect of
22 patisiran. The apparent separation of the

1 composite outcome endpoint and all-cause mortality
2 curves between the randomized treatment groups and
3 the open-label extension suggest a beneficial trend
4 with longer follow-up and accumulating events.

5 Corroborating the results from APOLLO-B,
6 similar results and outcomes were observed in a
7 post hoc analysis of safety data from the original
8 APOLLO study in hereditary ATTR polyneuropathy,
9 which is shown here, which is further reassuring
10 that there's no detrimental effect of patisiran,
11 and collectively the outcomes results across two
12 studies suggest favorable trends.

13 Given that we have two studies of patisiran
14 and fundamentally the same rare disease, we took
15 the opportunity to increase the number of events
16 for analysis by pooling mortality data from the
17 double-blind periods of APOLLO and APOLLO-B, shown
18 here. We see a hazard ratio of 0.43, and the upper
19 bound of the 95 percent confidence interval is
20 0.94, which convincingly rules out harm.

21 Having reviewed the key efficacy results and
22 supporting data, I would now like to focus on

1 additional analyses underscoring the clinical
2 meaningfulness of the primary efficacy data. To
3 get at this, we first considered what constitutes a
4 minimal clinically important difference for
5 6-minute walk test, and it's important to recognize
6 that based on published data, MCIDs for 6-minute
7 walk tests are highly context dependent.

8 This slide summarizes learnings from a
9 systematic literature review performed. We're
10 showing results for all studies that reported an
11 anchor-based MCID for 6-minute walk test. What's
12 first apparent is that 6-minute walk test MCIDs
13 vary widely across different underlying diseases,
14 underscoring the need to think about the MCID in
15 the context of the specific disease being studied.
16 Important to this point, there is no established
17 MCID for ATTR cardiomyopathy, and literature
18 further establishes that MCIDs for 6-minute walk
19 tests are dependent on specific characteristics of
20 the patients being studied.

21 Importantly, increased age is associated
22 with lower MCIDs. This is illustrated here by the

1 red highlights that denote the studies of patients
2 with an average age greater than 70 years. This is
3 particularly relevant for the APOLLO-B population
4 who are in their mid to late 70s or older, and this
5 makes biological sense. As patients age, smaller
6 changes are more meaningful. A change that might
7 not be impactful for a healthy and active
8 50 year old may indeed be meaningful to a patient
9 in their late 70s or 80s, who may have more limited
10 functional ability or daily goals. Other factors,
11 including baseline functional status, may also have
12 substantial impact on what the patient perceives as
13 meaningful.

14 Accordingly, we derived thresholds for
15 meaningful change in 6-minute walk tests for the
16 current ATTR cardiomyopathy patient population
17 using KCCQ data from APOLLO-B as an anchor, which
18 conforms with recent FDA outcomes assessment
19 guidance. Indeed, the KCCQ meets all five
20 requirements outlined in this guidance.

21 The KCCQ includes assessments of physical
22 functioning, which is what 6-minute walk test

1 measures. It has well-established thresholds for
2 meaningful within-patient changes based on the
3 Seminal 2005 publication by Spertus, et al. The
4 questionnaire is plainly understood by respondents.
5 Changes in KCCQ correlate with changes in 6-minute
6 walk tests, and the KCCQ is assessed at the same
7 time points as the 6-minute walk test.

8 Our methodology for driving an MCID is shown
9 on this slide. We categorized the median 6-minute
10 walk test change observed on APOLLO-B, shown on the
11 Y-axis, across three well-established categories of
12 KCCQ change, shown across the bottom of the figure.
13 Study patients across both treatment groups, who
14 showed a small-to-moderate clinical deterioration
15 based on their KCCQ scores worsening by 5 to
16 10 points, are shown in the left-hand bar. Their
17 median change in 6-minute walk test distance was
18 negative 12.8 meters.

19 We did the same for patients who were
20 considered stable based on changes in KCCQ that
21 were less than 5 points in either direction.
22 They're represented here in the center, with a

1 median 6-minute walk test change of
2 negative 5.9 meters. Finally, on the right, we see
3 patients who showed a small-to-moderate improvement
4 in KCCQ by 5 to 10 points. Their median 6-minute
5 walk test distance improved by 2 meters.

6 To determine the MCID, we compared the
7 6-minute walk test value in patients with stable
8 KCCQ with a small-to-moderate improvement, which
9 yields an MCID for improvement of about 8 meters,
10 or to those with a small-to-moderate decline, which
11 yields an MCID for decline of approximately
12 7 meters. We then used these MCIDs to
13 contextualize the observed 6-minute walk test
14 treatment effect on APOLLO-B.

15 Here, we're showing the primary assessment
16 of MCID outlined on the previous slide using KCCQ
17 Overall Summary Score as the anchor, as well as
18 MCID generated as a sensitivity analysis using the
19 KCCQ physical limitations domain score as the
20 anchor. I would note that the physical limitations
21 domain assesses patients' reported ability to
22 execute physical activities, including high

1 cardiometabolic demand activities such as walking
2 and climbing stairs. And then for comparison, on
3 the bottom of the figure, we're showing our
4 6-minute walk test median estimate of 14.7 meters
5 and the 95 percent confidence interval.

6 You can see that regardless of which anchor
7 we consider, our median treatment effect of
8 14.7 meters falls above the MCID. Importantly, the
9 results collectively suggest that the median
10 treatment effect corresponds to a difference in
11 functional capacity that the majority of patients
12 would find clinically meaningful.

13 In considering clinical meaningfulness of
14 the benefit on functional capacity, we next look at
15 results across a spectrum of treatment-effect
16 thresholds for 6-minute walk test, including
17 thresholds reflecting the MCIDs we have discussed,
18 as well as change of 30 meters in either direction,
19 and we consistently see that the worst outcomes,
20 shown on the left, always occurred more frequently
21 in placebo, and the best outcomes, shown on the
22 right, always occurred more frequently in

1 patisiran. For example, if we consider a threshold
2 of 30 meters, patisiran-treated patients were
3 40 percent less likely to decline by this magnitude
4 and were twice as likely to improve by this
5 magnitude. Of note, results for these larger
6 thresholds are nominally significant.

7 We further considered clinical
8 meaningfulness by assessment of disease
9 progression, which is, of course, of high
10 importance to physicians and patients. There are a
11 number of tools that physicians use to track
12 progression, and data from APOLLO-B allows us to
13 look at the impact of patisiran on several of these
14 clinically important parameters.

15 On the left is data on New York Heart
16 Association class. First, you can see that a high
17 proportion of placebo patients demonstrated
18 worsening. In just 12 months, almost a quarter of
19 placebo patients worsened by at least one NYHA
20 class. This is a high bar clinically and
21 underscores the relentless nature of this disease.
22 And importantly, we see that the proportion of

1 patients who progressed on NYHA class was
2 substantially low among patients treated with
3 patisiran compared to placebo.

4 Complementary data looking at progression
5 based on ATTR amyloidosis disease stage showed very
6 similar results. Progression is common among
7 placebo-treated patients, and substantially fewer
8 patisiran-treated patients demonstrated worsening
9 by this widely accepted and clinically used
10 biomarker-based disease staging system, which is
11 based on NT-proBNP and eGFR. These are both
12 well-recognized clinical classification systems,
13 and for both, patisiran prevented at least a
14 full-class deterioration in 10 percent of patients,
15 which is clearly a clinically meaningful effect.

16 This difference can also be assessed as an
17 odds ratio. Here, we see that the odds of
18 progression, based on New York Heart Association
19 class and ATTR disease stage, are both
20 approximately 40 percent lower in patisiran-treated
21 patients compared to placebo, results that are
22 nominally significant.

1 Now, to continue the discussion of clinical
2 meaningfulness, I would like to turn the
3 presentation over to Dr. John Spertus, who
4 developed the KCCQ. Dr. Spertus will talk about
5 the clinical interpretation in meaningfulness of
6 the APOLLO-B KCCQ results.

7 **Applicant Presentation - John Spertus**

8 DR. SPERTUS: Thank you very much for the
9 opportunity to present. My name is John Spertus.
10 I'm a cardiologist in Kansas City, who's devoted
11 his entire academic career trying to improve the
12 patients' [indiscernible], of cardiovascular
13 practice, and towards that end developed the Kansas
14 City Cardiomyopathy Questionnaire.

15 In deference to Dr. Butler's request about
16 my financial relationships with Alnylam, I have
17 served as a paid consultant in preparing for this
18 presentation today. My travel and hotel will
19 hopefully be reimbursed, and then after this
20 meeting, I will have no further interest or
21 involvement in the outcome of the decision from
22 this particular case before you today. But I do

1 license the KCCQ, and should they choose to use it
2 in other studies, may receive a licensing fee from
3 that to continue to support its development and
4 evolution.

5 To orient us, again, to what we seek to do
6 as cardiologists is, fundamentally, when we treat
7 patients with heart failure, we're trying to
8 prevent further progression of the disease so that
9 they can live longer, and we're trying to make them
10 feel better by improving their symptoms, function,
11 and quality of life. And in fact, from patients'
12 perspective, this is often what they care most
13 about and why they come to us for treatment in the
14 first place.

15 To help better quantify the health status of
16 patients with heart failure, I developed the Kansas
17 City Cardiomyopathy Questionnaire, which came from
18 discussions with patients and providers to try and
19 understand what is most important to patients with
20 heart failure, and it has 23 items that capture
21 those domains of physical function, symptoms,
22 interaction with friends and family, and quality of

1 life. The symptom frequency and severity items can
2 be grouped together to create a total symptom score
3 that can be combined with the physical limitation
4 score in order to create essentially the equivalent
5 of a New York Heart Association classification for
6 patients' perspective called the clinical summary
7 score. Then what I like, and what was the primary
8 focus of APOLLO-B, was the overall summary scale,
9 which seeks to capture the totality in which the
10 heart failure syndrome is impacting patients'
11 lives.

12 Importantly, this represents the patient's
13 perspective of their heart failure, and it seems to
14 be applicable to all types of heart failure
15 regardless of their etiology, as the mechanism of
16 heart failure is often opaque to patients, and they
17 are only aware of the symptoms that they experience
18 and the functional limitations associated with
19 those symptoms. It has a tremendous amount, over
20 25 years of work, trying to establish its validity,
21 reliability, and responsiveness, as well as
22 developing very clear threshold for clinically

1 important change, so much so that both the device
2 and drug branches of the cardiovascular divisions
3 of FDA have qualified it as a clinical outcome
4 assessment.

5 Now, Dr. Vest has reported the mean
6 difference in scores in the APOLLO-B study between
7 patisiran and placebo-treated patients, both
8 overall and in the monotherapy group, and on this
9 slide, I'm reporting the mean treatment difference
10 between groups in a range of cardiovascular studies
11 of different types of interventions, many of which
12 we believe improve the health status of our
13 patients. And what's inherently challenging about
14 interpreting mean treatment effects is highlighted
15 in this graphic.

16 When patients are treated, some will get
17 much better, indicated as a green caricature, and
18 some will get worse, demarcated in red. And when
19 we report the mean difference between groups, we
20 are averaging the patients who got better with the
21 patients who got worse, creating an amalgamation of
22 somebody with a green head, yellow body, and red

1 legs; and yet, obviously no patient has that
2 blended characteristics of outcomes. I think if
3 the question before you is to understand the
4 clinically meaningfulness of the observed changes
5 in APOLLO-B, then it's very important to think
6 about the proportion of patients who got better or
7 who got worse.

8 This summarizes the data from APOLLO-B
9 looking at the KCCQ, and it turns out that patients
10 who deteriorated by 5 points or died was much more
11 common in the patients treated with placebo than
12 with patisiran, and conversely, the patients who
13 improved by 5 or more points was much more common
14 in the patisiran-treated than the placebo-treated
15 patients.

16 Now again -- and Dr. Stockbridge challenges
17 us on this -- this is contingent on is a 5-point
18 change clinically meaningful? So I'd like to
19 really highlight what a 5-point change means. Let
20 us assume on the 23-item questionnaire that nothing
21 changes but the fatigue and the shortness-of-breath
22 items on total symptom scale in the KCCQ, and if a

1 patient starts out with having fatigue multiple
2 times a day and progresses a year later, or at your
3 next visit, to only have it a couple times per
4 week, I believe clinically we would think that they
5 were better, and yet that would only increase the
6 overall summary score by 2 points.

7 If their shortness of breath also went from
8 daily to a couple times a week, that would only add
9 another point. And if both their fatigue and their
10 shortness of breath bothered them less, from
11 moderately to slightly bothersome, that adds only a
12 point each such that when you compare a patient
13 over time from the red to the green X's on this
14 response, that is a 5-point difference, which I
15 think most of us would intuitively feel was a
16 patient who got better from the last time that we
17 saw them, and that supports an intuitive sense
18 about what a 5-point change means.

19 To further leverage the APOLLO-B data, we
20 looked at the patients who had daily symptoms of
21 fatigue and who at 12-months follow-up reported
22 less than weekly, and we compared these categories

1 for both the severity of fatigue, as well as the
2 frequency and severity of shortness of breath, and
3 these data are shown here showing that
4 substantially more patients went from daily to
5 weekly, or less, fatigue or shortness of breath if
6 treated with patisiran, and that the severity of
7 their condition improved more in those treated with
8 patisiran than placebo. Now, this is just a
9 representative example, but if you look across all
10 of the items on the KCCQ, essentially all of them
11 favor a greater improvement in the
12 patisiran-treated patient than in the
13 placebo-treated patients.

14 In summary, I believe that the KCCQ is an
15 extremely well-validated, patient-reported outcome
16 with well-established thresholds that relate change
17 in score to clinical change in heart failure
18 status; that the average treatment effect of
19 patients treated with patisiran in APOLLO-B is
20 comparable to other heart failure drugs that help
21 patients feel better, and most importantly,
22 patisiran has a clinically meaningful impact on

1 improving individual patients' health status and
2 quality of life. Thank you so much for the
3 opportunity to present, and I now would like to
4 turn it over to Dr. Yureneva to talk about the
5 safety profile.

6 **Applicant Presentation - Elena Yureneva**

7 DR. YURENEVA: Thank you, Dr. Spertus.

8 I'm Dr. Elena Yureneva, and I'm the
9 executive director and head of Medical Safety and
10 Risk Management at Alnylam. I'll be reviewing the
11 safety results.

12 Across the double-blind and open-label
13 extension parts of APOLLO-B, 347 patients have been
14 treated with patisiran for up to 43 months. The
15 safety profile in APOLLO-B is consistent with the
16 previously established in-clinical studies and the
17 postmarketing experience. Overall, patisiran was
18 well tolerated. Most adverse events were mild or
19 moderate in severity. A similar rate of severe
20 adverse events, serious adverse events, and adverse
21 events leading to study drug discontinuation were
22 reported in both groups.

1 More deaths occurred in the placebo arm
2 versus the patisiran arm, and we will discuss them
3 shortly. The adverse events more commonly observed
4 on patisiran than placebo were known adverse drug
5 reactions for patisiran: infusion-related
6 reactions, arthralgia, and muscle spasm. The
7 majority of these adverse drug reactions were mild
8 in severity, transient, and did not lead to drug
9 discontinuations. None of these events were
10 reported as serious.

11 The safety profile was comparable between
12 subgroups, including patients who were on patisiran
13 monotherapy or background tafamidis. These are the
14 most common serious adverse events observed.
15 Serious events reported in 2 percent or more
16 patients in either group included cardiac failure;
17 atrial fibrillation; AV block complete; syncope;
18 and amyloidosis. None of these serious adverse
19 events were considered treatment related. Events
20 were similar in frequency within the two groups and
21 consistent with what is expected in this
22 population.

1 Safety analysis included deaths on study as
2 well as deaths that occurred in patients after they
3 withdrew from the study. During the double-blind
4 period, 5 patients in the patisiran group and
5 9 patients in the placebo group died. No deaths
6 were considered related to study drug, and all were
7 consistent with what's expected in this population.

8 Based on patient population, the route of
9 administration, and the mechanism of action of
10 patisiran, several potential areas of interest were
11 evaluated in depth. Cardiac events were of
12 particular interest due to the patient population.
13 Looking into specific cardiac adverse events and
14 serious adverse events, no safety concerns were
15 identified, and the types of cardiac events
16 observed were consistent with the natural history
17 of the disease. The incidence of cardiac events in
18 the patisiran group was similar or lower to that in
19 the placebo group.

20 Infusion-related reactions can occur during
21 the administration of lipid-containing products and
22 are among the most common adverse events for

1 patisiran. As per current label, all patients
2 received premedications to reduce incidence and/or
3 severity of these reactions. All patisiran
4 infusion-related reactions were mild or moderate in
5 severity, and none were reported as serious. Only
6 one patient discontinued the study due to a mild
7 infusion-related reaction. As expected, symptoms
8 were more common earlier in the course of
9 treatment, and there was no evidence that symptoms
10 increased in frequency with repeated doses.

11 TTR lowering is associated with concomitant
12 reduction in vitamin A, therefore, there is a
13 theoretical risk of vitamin A deficiency and
14 associated ocular manifestations, although
15 vitamin A can be distributed into tissues through
16 other mechanisms. All patients are recommended to
17 take a daily allowance of vitamin A. In case of
18 vision-adverse events, patients were referred to an
19 ophthalmology consult.

20 All ocular adverse events in the APOLLO-B
21 study were mild or moderate in severity and,
22 overall, consistent with the ocular symptoms and

1 eye disorders that are frequently reported in the
2 general population of this age. No evidence of
3 vitamin A deficiency has been observed in clinical
4 trials or in the postmarketing setting.

5 There was no change in the long-term safety
6 profile between the double-blind and open-label
7 extension period, with events continuing to be
8 consistent with those expected in this population.
9 In summary, patisiran was well tolerated and
10 demonstrated an acceptable safety profile that was
11 unchanged and consistent with that previously
12 established in the polyneuropathy population, where
13 we have five years of postmarketing experience and
14 over 8500 patient-years of exposure worldwide.

15 The safety profile was comparable between
16 subgroups, including the patisiran monotherapy and
17 background tafamidis groups. Primary safety
18 considerations included infusion-related reactions,
19 which were well managed by premedications, and
20 there was no evidence of vitamin A deficiency,
21 including ocular manifestations. Thank you. I'll
22 now turn the presentation over to Dr. Witteles to

1 discuss his clinical perspective.

2 **Applicant Presentation - Ronald Witteles**

3 DR. WITTELES: Good morning. My name is
4 Ronald Witteles, and I'm a cardiologist and the
5 founder and co-director of the Stanford Amyloid
6 Center, one of the nation's largest
7 multidisciplinary amyloid centers. Over the past
8 16 years, I've cared for many hundreds of patients
9 with ATTR amyloidosis. I've seen firsthand the
10 unrelenting loss of function and worsening symptoms
11 that can impact nearly every aspect of their lives.

12 As you've heard, although there have been
13 revolutionary changes in the field, our treatment
14 options are still quite limited. I believe we need
15 to continue to strive to do better for our
16 patients, and it's in that context that I'm excited
17 to be here today to share my clinical perspective
18 on patisiran as an important treatment option for
19 patients with ATTR amyloid cardiomyopathy.
20 APOLLO-B meeting its primary and secondary
21 endpoints is of course crucial as we think about
22 patisiran as a treatment option for patients;

1 however, in weighing the impact of the results, I
2 feel it's important to first step back and think
3 about the data holistically.

4 Patisiran profoundly suppresses production
5 of transthyretin, the disease-causing protein, by
6 more than 85 percent. This large drop in the
7 circulating precursor leads to a fundamental
8 altering of disease progression. We can measure a
9 sequence of meaningful improvements in the
10 clinically relevant markers of the disease
11 following this rapid suppression. They start with
12 favorable effects on the clinically important
13 cardiac biomarkers, NT-proBNP and troponin. Both
14 of these markers have been consistently correlated
15 with disease outcomes and, indeed, NT-proBNP levels
16 are an integral part of the two main staging
17 systems used in the disease.

18 We similarly see a favorable impact on
19 imaging parameters of cardiac amyloid deposition
20 and on cardiac structure and function. These
21 favorable biomarkers and imaging parameters, which
22 directly follow from the mechanism of action, are

1 then further reflected on the observed clinical
2 benefits on physical function that's measured by a
3 6-minute walk test distance and with
4 patient-reported improvements in symptoms and
5 quality of life. Whether we look at validated
6 biomarkers, imaging parameters, or clinical
7 manifestations, patisiran has favorable effects on
8 the very methods by which patients are assessed
9 clinically and monitored in the real world.

10 Patisiran has shown benefit across each and
11 every one of these elements with remarkable
12 consistency, and it's particularly impressive to
13 see such consistency of benefit in just 12 months.
14 In considering the results from APOLLO-B, I also
15 look at it through the lens of the results of the
16 original APOLLO study, as was highlighted earlier
17 in the presentation.

18 Fundamentally, we're really talking about a
19 single disease in which the same protein misfolds
20 and deposits in one tissue or the other, leading to
21 organ dysfunction. In APOLLO, this same drug led
22 to a dramatic reduction in neurologic progression,

1 and now with APOLLO-B, a prospective trial
2 specifically targeting the cardiac manifestations,
3 has shown an unequivocally favorable effect on the
4 disease course.

5 Of course, more important than what I think
6 is what my patients want, and time and time again,
7 patients say that their quality of life is their
8 most important goal of therapy and what they're
9 most afraid of losing. Now, don't get me wrong;
10 longevity is often important to them as well, but
11 for most patients, death is actually not the most
12 feared outcome. My patients with the disease, who
13 are most commonly in their 70s or 80s and who often
14 have other comorbidities, know that they aren't
15 going to live forever. They're not training for
16 their next marathon. What they want is to be
17 comfortable with their activities of daily living
18 and activities that matter to them, taking a walk
19 with their spouse, or ambulating around their home,
20 or spending time with their grandchildren.

21 By the time a patient starts therapy in this
22 disease, most already have substantial limitations

1 due to age, other comorbidities, or the progression
2 of the disease itself. For such patients, even
3 what a healthy 50 or 60 year old might consider a
4 small delta in their exercise tolerance or quality
5 of life can be highly meaningful and life-altering.
6 As I review the data from APOLLO-B, I see important
7 benefits on both exercise capacity and quality of
8 life, which speak directly to the goals of
9 treatment that I know are most meaningful to my
10 patients.

11 So what does that data show? Well, from my
12 vantage point, I see clear evidence that patisiran
13 fundamentally alters the progression of a disease,
14 which is otherwise characterized by an inexorable
15 decline. This is true whether we're looking at
16 objective markers such as 6-minute walk test and
17 ATTR disease stage, or invalidated subjective
18 markers such as KCCQ and New York Heart Association
19 class. Each of these tells a remarkably consistent
20 story that patisiran therapy leads to a fundamental
21 alteration in disease progression.

22 Being treated with patisiran rather than

1 placebo for one year saved patients 2-to-3 years of
2 typical age-related decline on 6-minute walk
3 distance. When you're talking about a patient
4 population who already has significant limitations,
5 the clinical importance for patients is clear. And
6 the benefit of patisiran becomes even more evident
7 to me when I look at the two-year data, where the
8 6-minute walk test results remain relatively stable
9 on patisiran, and this stability which mirrors the
10 expected age-related decline in healthy adults
11 would definitely be meaningful to my patients.

12 And while we don't have long term placebo
13 data in this study, given the crossover to
14 open-label treatment at 12 months, from my clinical
15 experience, a steady decline would be expected in
16 the absence of treatments. That's illustrated here
17 by the dashed line that extrapolates the placebo
18 patients' decline over the first 12 months forward
19 to year 2.

20 This clearly highlights why I believe that
21 these benefits on physical function, which are
22 already important at year 1, would only grow over

1 time. Furthermore, when you look at the placebo
2 patients who receive drug and seem to be starting
3 to benefit, they haven't caught up to the original
4 patisiran-treated patients who started drug
5 12 months earlier, which further reinforces the
6 need to treat early before disability accumulates.

7 This data is mirrored looking at the
8 important secondary endpoint of change in
9 patient-reported quality of life as assessed by
10 KCCQ. For patient-reported, quality-of-life
11 measures to essentially remain constant in
12 patisiran-treated patients throughout 24 months, of
13 what would otherwise be disease progression, is
14 really important.

15 This is an outcome that I know would be
16 meaningful to my patients, and again is very
17 different from what I would otherwise expect in
18 this disease. To this point, I again want to draw
19 your attention to what we would anticipate
20 happening to the placebo patients if they had not
21 started receiving patisiran on the open label,
22 represented by the dashed line. I'd once again

1 note that one can reasonably project that the
2 treatment benefit would continue to grow over time.

3 Now, I can think of many patients in my
4 clinic with whom I would hope to have the option to
5 discuss patisiran as a treatment option. The
6 specific-use cases I can see include first-line
7 monotherapy, and an obvious example here would be a
8 patient with mixed phenotype disease, meaning a
9 patient who has both cardiomyopathy and
10 polyneuropathy from ATTR amyloidosis for whom
11 patisiran would be an excellent option. In
12 addition, a patient with cardiomyopathy predominant
13 disease could very rationally and reasonably choose
14 patisiran as solo first-line treatment,
15 particularly if they're considering the totality of
16 the data from all ATTR amyloidosis clinical trials
17 to date.

18 Another example is a switch for patients
19 progressing on tafamidis. Currently, there's
20 simply no alternative treatment option available
21 for such patients as they continue to progress.
22 And finally, I feel this could be considered as an

1 add-on to tafamidis following an informed
2 discussion. And while I acknowledge that we don't
3 yet have clear data to guide this question, it is a
4 reasonable consideration for patients, considering
5 the favorable safety and efficacy profiles for both
6 agents, as well as their orthogonal mechanisms of
7 action.

8 In summary, ATTR amyloid cardiomyopathy is a
9 very serious, progressive, and often devastating
10 disease. Patients and physicians currently have
11 only a single approved treatment option with no
12 alternative therapies to consider, either upfront
13 or if a patient's disease is progressing despite
14 treatment. As you've seen throughout this
15 presentation, patisiran has demonstrated clear
16 efficacy as a clean safety profile and importantly
17 works by a completely different mechanism of action
18 from the only currently available therapy.

19 Patients deserve more than one treatment
20 option, and particularly one which has such a
21 clearly favorable ratio of potential benefits,
22 which are very real, to potential harms which are

1 essentially nil. I therefore very much hope that
2 the outcome of this committee is a recommendation
3 for approval of patisiran for the treatment of ATTR
4 amyloid cardiomyopathy. Thank you very much for
5 your attention, and I will now hand the
6 presentation back to the sponsor to take your
7 questions.

8 **Clarifying Questions to Applicant**

9 DR. BUTLER: Thank you very much for your
10 presentations.

11 We will now take clarifying questions for
12 Alnylam Pharmaceuticals. Please use the raise-hand
13 icon to indicate that you have a question and
14 remember to lower your hand by clicking the
15 raise-hand icon again after you have asked your
16 question. When acknowledged, please remember to
17 state your name for the record before you speak and
18 direct your question to a specific presenter, if
19 you can. If you wish for a specific slide to be
20 displayed, please let us know the slide number, if
21 possible.

22 Finally, it would be helpful to acknowledge

1 the end of your question with thank you and the end
2 of your follow-up questions with, "This is all for
3 my questions," so we can move on to the next panel
4 member. We will open this session up now for
5 clarifying questions.

6 So while the panel members are going to
7 this, may I take the liberty of asking the first
8 question to Dr. John West, please?

9 MR. SLUGG: Yes. Please, Dr. Butler, go
10 ahead. My name is Andrew Slugg. I'm the head of
11 Global Regulatory Affairs, and I'll be moderating
12 the session for the sponsor, but please address
13 your question.

14 DR. BUTLER: Great. Javed Butler. My
15 question is, your primary endpoint of 6-minute walk
16 test, did you in the beginning of the trial have a
17 hypothesis of how much improvement is expected to
18 power the study? And the anchor analysis that was
19 performed, was that also prespecified whether to
20 use the KCCQ-OS or KCCQ-PLS, or were these post hoc
21 decisions to understand the data better?

22 MR. SLUGG: Dr. Butler, we have the benefit

1 of my colleague, Dr. Nancy Silliman, who heads up
2 our data science and statistics group, and she'll
3 address your questions for you.

4 DR. SILLIMAN: Nancy Silliman, Alnylam. At
5 the time we designed APOLLO-B, there was limited
6 data. Unfortunately, ATTR-ACT was the only pivotal
7 study that has been conducted in ATTR
8 cardiomyopathy with 6-minute walk test in KCCQ. So
9 we assumed for our APOLLO-B monotherapy subgroup,
10 the largest subgroup in our trial, that we would
11 see similar changes in 6-minute walk test as we're
12 seeing in ATTR-ACT at 12 months.

13 We expected about 30 percent of patients to
14 be on background tafamidis. Unfortunately, there
15 was no data to inform the effect size of
16 combination therapy, so we assumed it would be
17 60 percent of monotherapy due to the expected
18 reduced decline on placebo as those patients were
19 receiving tafamidis. So the sample size of 300
20 provided 90 percent power to detect a 29-meter
21 difference, and I'll note that this was not meant
22 to be an MCID; it was simply based on what we

1 understood from ATTR-ACT.

2 Of course, with the change in the patient
3 population over the last several years, earlier
4 diagnosis and cardiologists knowing better how to
5 care for patients, we see a lesser decline on
6 placebo and lesser absolute treatment difference,
7 but we see a very similar relative treatment
8 difference. So we see 62 percent slowing of
9 decline compared to placebo in APOLLO-B, which is
10 very similar to the 58 percent that they saw on
11 ATTR-ACT.

12 I'll just note that KCCQ was powered
13 similarly, and we see a similar relative treatment
14 effect as expected. And importantly, we see
15 stability in both 6-minute walk test and KCCQ in
16 patients receiving patisiran in APOLLO-B for
17 24 months.

18 In terms of the MCID methodology, we had
19 only spoken with the FDA about the p-value
20 expectations. We have not talked about a specific
21 threshold, so that was a post hoc analysis, and we
22 took the opportunity to use the patient-reported

1 outcome that we had available in APOLLO-B, so we
2 used the KCCQ to incorporate the patient voice to
3 calculate the anchor.

4 If I could have CM-12, please? Thank you.
5 I would like to walk you through the guidance
6 document and how KCCQ Overall Summary Score as an
7 anchor conforms to the FDA guidance. It meets all
8 five criteria that they lay out. On the left of
9 this table, you see in italics direct quotes from
10 the FDA guidance, with a little bit of bolded
11 information from Alnylam just to clarify some
12 concepts, and on the right, you see how how we
13 believe that KCCQ-OS meets these criteria.

14 The first concept that's required for an
15 anchor for an MCID analysis is ideally the concept
16 assessed by an anchor variable. Here, the KCCQ-OS
17 should match or be inclusive of the concept of
18 interest -- here, physical functioning -- being
19 assessed by the COA based endpoint; here, 6-minute
20 walk test. And KCCQ-OS, as Dr. Spertus described,
21 does incorporate assessment of physical
22 functioning.

1 The second criteria is that an anchor should
2 have a well-justified definition for meaningful
3 change or for meaningful increments. Again, as
4 Dr. Spertus described, they're well-established
5 thresholds from the Seminal Spertus et al., 2005
6 paper, showing changes less than 5 are considered
7 stable change. Improvements of 5 to 10 are
8 considered a small-to-moderate improvement, and
9 declines of minus 5 to minus 10 are considered a
10 small-to-moderate decline.

11 The third criteria is that an anchor should
12 be plainly understood by respondents in the context
13 of use, and this again was confirmed as part of the
14 development of the KCCQ tool. The fourth criteria
15 is that differences in COA scores should be related
16 to differences documented by one or more anchors,
17 and, again, as part of the validation of the KCCQ
18 tool, they saw a significant correlation of 0.37
19 for 6-minute walk test. Then finally, the fifth
20 criteria is that selected anchor should be assessed
21 at comparable time points to the target COA, and we
22 did in fact in APOLLO-B assess 6-minute walk test

1 in KCCQ at the same study visits.

2 I'll note that the guidance acknowledges
3 identifying an external data set in rare diseases
4 can be challenging and supports the use of internal
5 data as needed. Unfortunately, in this rare
6 disease, ATTR cardiomyopathy, there was no external
7 data set available to the sponsor, but we were able
8 to use our own APOLLO-B study to use the patient
9 voice in the KCCQ tool to help contextualize and
10 understand the average treatment effect across the
11 groups in 6-minute walk test.

12 Maybe I'll just add one other point. There
13 is actually a precedent sNDA that was approved in
14 May of last year in another rare disease. That was
15 dupilumab for eosinophilic esophagitis, and there
16 both the FDA and the applicant used a within-study
17 anchor-based analysis to characterize the clinical
18 meaningfulness of one of their co-primary
19 endpoints, the dysphagia symptom questionnaire
20 total score, and this anchor-based analysis is
21 presented in their labeling. Thank you.

22 DR. BUTLER: Thank you very much. That will

1 be all for my question.

2 May I request Dr. Bairey Merz to ask her
3 question?

4 DR. BAIREY MERZ: Thank you, Dr. Butler. My
5 question is for Dr. Spertus.

6 As you and the sponsor elegantly
7 demonstrated, the heart failure trials all use a
8 mean change of the KCCQ, and yet in your
9 presentation, you talked about a better analysis
10 would be to use individual patient-level
11 categorization, which starts to sound like subgroup
12 analyses.

13 The FDA duty is for population health and to
14 evaluate critically interventions for the average
15 patient or a patient population. So what would
16 your response be regarding this rationale and how
17 we should consider changing your tool? You did
18 talk about it in your JACC 2020 article, so I'm
19 interested in those thoughts. Thank you.

20 MR. SLUGG: I'll turn it over to Dr. Spertus
21 for you.

22 DR. SPERTUS: Thank you very much for the

1 opportunity to address what I think is really an
2 important issue, and that is that my belief is
3 that, as the FDA does, you should look at the mean
4 difference to test with a statistical significance
5 of an observed difference between groups. However,
6 the real challenge is not is there a difference in
7 the KCCQ Overall Summary Score between patisiran
8 and placebo, but is that clinically important.

9 So once you define that there is a
10 statistically significant benefit of treatment in a
11 patient-reported outcome measure, then the next
12 step is to put it through a clinical lens to define
13 whether or not you think that's a clinically
14 important difference, and that's the purpose of
15 categorizing patients into groups of different
16 magnitudes of clinical change, which is exactly
17 what I had really proposed and encouraged our field
18 to do in the JACC state-of-the-art review on
19 interpreting the KCCQ that you referred to.

20 So I don't believe I've been inconsistent
21 over time. I do think that testing the statistical
22 significance of the continuous mean difference in

1 scores defines whether one group is better or not,
2 but then if you find that it's beneficial, and you
3 want to define is that a clinically important
4 difference, I don't think you can glean that
5 information by looking at the mean difference
6 between the groups; and therefore, you have to look
7 at the proportion of patients who change, either
8 improve or deteriorate, by different clinical
9 magnitudes. Thank you very much for the
10 opportunity to address that issue.

11 DR. BAIREY MERZ: So Dr. Spertus, this is a
12 secondary analysis. It is like a subgroup
13 analysis. Would you agree with that?

14 DR. SPERTUS: No. I mean, I normally think
15 about a subgroup looking at a proportion or a
16 specific profile of patient and did they derive a
17 different effect or not, so that it's the patient
18 characteristic that defines the subgroup. Here,
19 we're just categorizing the outcome, so it's not
20 really a subgroup analysis at all. It's a way of
21 trying to categorize a near continuous measure into
22 more clinically interpretable buckets so we as

1 clinicians can understand what's the number needed
2 to treat or how many patients would benefit by what
3 magnitude from treatment.

4 So that's what we're seeking to glean from
5 categorizing the outcome, which I think really
6 differs than a subgroup, which is subdividing the
7 pool of patients. All the patients are included in
8 this analysis, but we're focusing on different
9 thresholds of improvement.

10 DR. BAIREY MERZ: Thank you, Dr. Spertus.

11 Dr. Butler, that's all for me.

12 DR. BUTLER: Thank you, Dr. Bairey Merz.

13 May I request Dr. O'Connor to ask your
14 question?

15 DR. O'CONNOR: Yes. Good morning. Chris
16 O'Connor. I have a couple questions around the
17 design of the trial and conduct. Number one, it
18 seems like a large part of the trial occurred
19 during the COVID pandemic. Could you comment on
20 how the 6-minute walk test, and KCCQ, and drug
21 administration were handled during the COVID
22 pandemic?

1 The second question is, it appears you
2 decided to cap the tafamidis background therapy at
3 25 percent -- I think that's what happened -- at
4 the beginning of the trial. In the context of a
5 very important and powerful therapy, I wonder why
6 that was capped, and what did you see
7 post-randomization in the trial of the utilization
8 of tafamidis? And then finally, why did you limit
9 the follow-up to 12 months?

10 MR. SLUGG: Let me have my colleague,
11 Dr. Vest, address your questions.

12 DR. VEST: Yes. John Vest, Alnylam. So
13 part one of this was about the trial being
14 conducted during the COVID pandemic and, indeed,
15 most of the patients were enrolled after the onset
16 of the pandemic. I think your specific question
17 was with regard to how we implemented the 6-minute
18 walk test. All patients received the 6-minute walk
19 test on site at their treatment center, and as we
20 highlighted during the presentation, we had very
21 little missing data, less than 10 percent, for both
22 the 6-minute walk test and the KCCQ, which was also

1 implemented on site. Patients were able to receive
2 treatment, either on site or at home, and we also
3 had very few missed infusions over the course of
4 the trial. I think all of this is testament to the
5 patients' dedication on the study.

6 The next question I believe was about the
7 the rationale for capping the background of
8 tafamidis, and I think it's important to remember
9 that when we began this trial, tafamidis had just
10 been approved, and its use in the real world was
11 not well understood. We were also enrolling a
12 global study, and tafamidis was just becoming
13 available in various regions around the world, so
14 we decided to allow tafamidis on the study in
15 regions where it was available. We did, as a
16 practical matter, implement a cap of 30 percent, as
17 you've highlighted. We felt that would give us
18 some experience with the two drugs together in this
19 rare disease and, again, allow us to enroll
20 populations reflective of patients around the
21 world.

22 With regard to the use of tafamidis during

1 the study, as specified in the protocol, patients
2 who came in on background tafamidis were encouraged
3 to remain on tafamidis for the duration study, and
4 there was almost no discontinuation of tafamidis
5 during the study. The other question might be
6 around drop-in of tafamidis, and there was very low
7 drop-in. We had 8 patients total. It was balanced
8 between treatment arms; 5 patients dropped in on
9 the patisiran arm and 3 patients dropped in on the
10 placebo arm over the course of the double-blind
11 study.

12 Oh sorry. The last part of your question
13 was around the 12-month duration of the study. To
14 get this, we need to go back to the unmet need that
15 Dr. Berk highlighted in his presentation, that
16 preventing accumulation of disability, preserving
17 function, and maintaining health status is
18 incredibly important to these patients, and this is
19 something that the treating physicians are
20 routinely seeing a decline despite the available
21 therapy.

22 We saw an opportunity to address this unmet

1 need with the patisiran development program, with a
2 medicine with a unique mechanism of action that was
3 already FDA approved and a very similar indication.
4 We were informed by both the APOLLO of patisiran
5 and the ATTR-ACT study of tafamidis that we would
6 see benefit on important assessments of how
7 patients function and feel in a 12-month study. So
8 we approached this with urgency -- in a rare
9 disease where there's only one treatment option,
10 where disease progression remains common -- to try
11 to bring this therapy forward as quickly as
12 possible for patients. Our approach was aligned
13 with the FDA, and the selection of these endpoints
14 was consistent with subsequent FDA guidance for
15 assessments in heart failure studies. Thank you.

16 DR. O'CONNOR: Thank you.

17 DR. BUTLER: Thank you very much,
18 Dr. O'Connor.

19 May I request Dr. Moliterno to ask his
20 question?

21 DR. MOLITERNO: Yes. Thanks, Dr. Butler.
22 David Moliterno, a few different questions, but

1 springboarding off of some from Dr. O'Connor, and
2 maybe some simpler questions perhaps to Dr. Vest.

3 It has to do so with the the steroid
4 injection, and maybe I missed it in the beginning.
5 Did you mention what the steroid dose was that was
6 given concomitantly to the patients?

7 MR. SLUGG: Ten milligrams.

8 DR. MOLITERNO: Ten milligrams of --

9 MR. SLUGG: Yes, of dexamethasone, and
10 that's allowed to be down-titrated as patients
11 continue to tolerate the infusions over time.

12 DR. MOLITERNO: Got it. Would that have
13 been given similarly to all patients, or do you
14 know all subjects receiving study drug and all
15 subjects receiving placebo, or did you keep track
16 of what percentage of patients received steroids?

17 MR. SLUGG: Yes. That was very important to
18 maintaining the integrity of the trial, so we did
19 ensure that all patients who received study drug,
20 whether it be patisiran or placebo, received
21 premedication regimen, including dexamethasone.

22 DR. MOLITERNO: Got it.

1 Would that have been similar for the
2 neuropathy study? Were they getting also steroids
3 then?

4 MR. SLUGG: Yes, that's correct. The
5 premedication regimen that was used in APOLLO-B is
6 what was used in the initial APOLLO study, and is
7 also part of the approved labeling that's been
8 used, in postmarketing use now, for five years.

9 DR. MOLITERNO: I'm just trying to see if
10 there was any effect. During the open-label phase
11 for that second year of patients, would they have
12 still gotten steroids during that time?

13 MR. SLUGG: Yes. As part of the
14 premedication regimen for any infusion of
15 patisiran, we recommend the premedication regimen,
16 including steroids, acetaminophen, and H1 and H2
17 blockers.

18 DR. MOLITERNO: Sure. No, I'm not trying to
19 chase a rabbit trail. I'm just trying to imagine,
20 during the open-label phase, people getting, during
21 that next year, 17 doses of steroids. So maybe a
22 question to to Dr. Spertus, if he knows, is there

1 Kansas City Cardiomyopathy-like questions for
2 patients who have gotten multiple steroid doses
3 over time to see if they have an impact on some of
4 the aspects of the questionnaire?

5 MR. SLUGG: Sure. We'll have Dr. Spertus
6 come address your question.

7 DR. MOLITERNO: Thanks.

8 DR. SPERTUS: It's an interesting question,
9 and I can only speculate. It's something I've
10 never really confronted before. We designed the
11 questionnaire to be disease specific. I have a
12 hard time hypothesizing how a brief euphoria from
13 steroids would alter responses to the KCCQ, and my
14 gut tells me that it would not influence the
15 responses. That said, I really don't have any
16 empirical data to confirm that.

17 DR. MOLITERNO: No, but I think we can
18 speculate -- I'm not trying to push it -- they do
19 affect psychosocial perceptions. But in addition,
20 we know these patients have other musculoskeletal
21 or degenerative joint things, that you wonder if
22 recurrent doses of steroids may have other things

1 that may impact their quality of life. That's all.

2 DR. SPERTUS: So given the opportunity to
3 speculate wildly, I would say that I wouldn't think
4 that that would actually be much at play here. The
5 KCCQ asks very concrete questions. I showed with
6 fatigue and the shortness of breath, really, how
7 much often have you been shortness of breath over
8 the past 2 weeks, from multiple times a day to none
9 over the past 2 weeks, and I would not think that
10 that would -- it's really part of our effort to try
11 and make it as disease specific as possible, and I
12 would not think that the generic impact of steroids
13 would have a substantial influence on that. But
14 again, you're giving me an opportunity to
15 speculate, and therefore I'm seizing it with great
16 joy. Thank you.

17 DR. MOLITERNO: I'll look forward to a
18 future manuscript on the topic. I have no further
19 questions.

20 MR. SLUGG: Dr. Moliterno, just to
21 underscore, patients on both arms received
22 premedication regimens.

1 DR. MOLITERNO: Sure, but not on the open
2 label in that subsequent year when we'd see --

3 MR. SLUGG: No. All patients have received
4 the premedication regimens before every single dose
5 of patisiran or placebo.

6 DR. MOLITERNO: But in the open label, that
7 second year from 12 to 24 months, obviously they're
8 receiving the drug open label, and you wouldn't
9 expect then patients to get steroids, that they
10 weren't getting the study drug.

11 MR. SLUGG: All patients were receiving
12 patisiran the second year, and therefore they
13 received premedication regimens beforehand.

14 DR. MOLITERNO: Sure. Understood. Thank
15 you. No further questions, Dr. Butler.

16 DR. BUTLER: Thank you.

17 Javed Butler here. Just to clarify, the
18 placebo arm premedication was placebo premedication
19 or the study steroid?

20 MR. SLUGG: Yes. Let me clarify,
21 Dr. Butler. So all patients in the study received
22 the exact same premedication regimen at all time

1 points prior to each infusion of either placebo or
2 patisiran.

3 DR. BUTLER: Thank you very much.

4 MR. SLUGG: You're welcome.

5 DR. BUTLER: Dr. Cella, may I request you to
6 ask your question?

7 DR. CELLA: Yes. Thank you, Dr. Butler.

8 Could you pull up slide CO-57, please? And
9 my question is probably for Dr. Silliman, but maybe
10 Dr. Spertus because this was the slide that he
11 presented. I actually have three questions.

12 The first one is, do you have the sample
13 sizes for each of these groups? The second
14 question is did you test the significance of those
15 differences in proportion? And the third question
16 is, did you do any sensitivity analysis with larger
17 values such as 10?

18 MR. SLUGG: Yes. Let me ask my colleague,
19 Dr. Silliman, to address your question.

20 DR. CELLA: Thanks.

21 MR. SLUGG: You're welcome.

22 DR. SILLIMAN: Nancy Silliman, Alnylam. The

1 sample sizes in the groups, we'll have to get that
2 to you after the break. These are post hoc
3 analyses, and we did look at the odds ratios for
4 these responder analyses, along with confidence
5 intervals. And you can see that there's about a
6 22 percent reduction in the risk of deterioration
7 and about a 64 percent probability of having a
8 better improvement greater than 5.

9 DR. CELLA: And did you look at other values
10 such as 10?

11 DR. SILLIMAN: We have looked at other
12 values. I'll have to get that to you after the
13 break, unless we can -- I know we've looked at
14 changes greater than 10 and less than minus 10.

15 DR. CELLA: That'd be great. Thank you.

16 DR. SILLIMAN: Okay. Thank you.

17 DR. BUTLER: Dr. Cella, do you have any
18 follow-up questions?

19 DR. CELLA: Sorry. That's all my questions,
20 Dr. Butler.

21 DR. BUTLER: Great. Thank you very much.

22 May I request Dr. Thadhani to ask his

1 question?

2 DR. THADHANI: Thank you. Ravi Thadhani,
3 asking a following question. There was a
4 commentary earlier on in the presentation certainly
5 that the FDA had discussion with the sponsor,
6 thought about the benefit of a single trial given
7 this was a rare disease; and, hence, a single
8 trial, I would imagine a strong effect size would
9 suffice in terms of the indication. Usually when a
10 single trial is suggested, we're looking at a
11 pretty large effect size so that the results are
12 convincing.

13 The sponsor presented data that given the
14 age of the patients enrolled in the study, the
15 effect sizes would have been expected to be small,
16 especially for certain measures, namely the primary
17 and key secondary endpoints. When we look at the
18 trial of tafamidis, the mean ages are in the mid
19 70s, similar to the trial of APOLLO-B, but yet the
20 effect sizes were 5 times greater for 6-minute walk
21 test and about 3 times greater for the KCCQ. And
22 I'm assuming, based on those results, the power of

1 the study, as was demonstrated, or as was
2 highlighted, was created.

3 I would like the sponsor just to comment on
4 just that, in terms of discussion with the FDA.
5 Was there discussion on effect size anticipated? I
6 would imagine there was, given the power of the
7 study that was based on a much higher effect size;
8 and two, given the lack of benefit among patients
9 that did receive tafamidis. I have another
10 question to follow up, but I'd like the sponsor to
11 address the first one, please.

12 MR. SLUGG: Dr. Thadhani, I want to make
13 sure we understand your question is essentially
14 around the powering of the study, the assumptions
15 that we made going into the design of the trial
16 that you would like for us to respond to?

17 DR. THADHANI: I apologize. Let me make it
18 more clear. Was there a discussion with the FDA on
19 the anticipated effect size and the expectation
20 with a single trial? What kind of effect size
21 would need to be present before a single trial
22 would suffice as a registrational study?

1 MR. SLUGG: No, there weren't. There
2 weren't any specific effect sizes that were
3 discussed with the agency. We did discuss with
4 them clinically meaningful and statistically
5 persuasive results, but there was no specific
6 threshold response that was prespecified or
7 discussed with the agency. As my colleague,
8 Dr. Silliman, can explain, we did make certain
9 assumptions regarding powering of the study, but
10 those weren't necessarily part of the discussions.

11 Thank you. I have a follow-up question,
12 Dr. Butler, if that's ok.

13 DR. BUTLER: Yes, please.

14 DR. THADHANI: At the beginning of this
15 presentation, Dr. Stockbridge spoke about responder
16 analyses and the caution thereof, as well as
17 regression to the mean. We clearly have seen data,
18 especially on the responder analyses. I'd like to
19 ask the sponsor to address those two concerns that
20 Dr. Stockbridge addressed at the beginning of this
21 session.

22 MR. SLUGG: Let me ask Dr. Signorovitch to

1 come help address your question.

2 DR. SIGNOROVITCH: James Signorovitch,
3 analysis group. To provide some context for the
4 responder analyses that were presented and to
5 address the opening comments, I'd like to respond
6 to those two issues.

7 First, the responder analyses do tell us
8 something new that we can't see just from looking
9 at the mean differences between groups. It's very
10 possible that a treatment could impact the average,
11 but not have an effect on patients who experience
12 more extreme changes. That is certainly
13 biologically possible. So it's reassuring that
14 when we look at the proportions of patients that
15 exceed certain thresholds in KCCQ or 6-minute walk,
16 we see that meaningful improvements or avoidance of
17 decline occur more frequently in the patisiran
18 group compared to placebo, and that I think adds
19 importantly to the totality of the evidence of
20 meaningful efficacy for patisiran.

21 There were also important points raised
22 about measurement noise and error and possible

1 regression to the mean that could impact 6-minute
2 walk and KCCQ, and these are factors that would
3 occur in in any clinical trial and would impact
4 both of the treatment groups. In fact, they would
5 tend to dilute the power to see an effect. That's
6 why it's important that this was a randomized,
7 double-blinded trial for these PRO and
8 performance-based outcomes. So the primary
9 responder analyses give us a result that we have
10 confidence in and help us interpret the clinical
11 meaningfulness of the demonstrated effect.

12 DR. THADHANI: Thank you. And just to
13 clarify that, those were post hoc analyses,
14 correct? All of those were post hoc analyses?

15 MR. SLUGG: The threshold analyses you're
16 referring to?

17 DR. THADHANI: Yes, sir.

18 MR. SLUGG: Yes, that's correct. Yes.

19 DR. THADHANI: Thank you, Dr. Butler.
20 That's all I have.

21 DR. BUTLER: Thank you, Dr. Thadhani.

22 May I request Dr. Kasper to ask his

1 question?

2 DR. KASPER: Thank you, Dr. Butler, and
3 thank you to the presenters for being succinct and
4 very clear. I have one question, and that is the
5 use of the KCCQ in the anchor analysis is
6 interesting to me. I have not seen it done before,
7 but I wondered if I'd missed that. I gather also
8 that this was an ad hoc analysis as well and
9 whether you'd discuss this with the FDA at all. So
10 that's my question. Thank you.

11 MR. SLUGG: First, I'll address the second
12 part of your question, which this is a post hoc
13 analysis, and as we went through the review of our
14 application, FDA has asked questions to help
15 interpret the clinical meaningfulness of the
16 APOLLO-B results. So as part of that exploration,
17 we wanted to help assess and give meaning to the
18 6-minute walk test distance and how that impacted
19 patients' quality of life, so we endeavored to
20 employ this new FDA guidance to help explore that
21 analyses. And let me have my colleague,
22 Dr. Silliman, help address the rest of your

1 question.

2 DR. SILLIMAN: Nancy Silliman, Alnylam. We
3 did a systematic literature review to look at MCID
4 analyses for 6-minute walk test, and we did find
5 that a number of those publications used multi-part
6 questionnaires. There was one specifically that
7 used the KCCQ-OS as an anchor. Within our trial,
8 the KCCQ was really the only patient-reported tool
9 that we had, so we looked at the overall summary
10 score, which was our secondary endpoint, as kind of
11 the primary analysis to determine MCID. And then
12 because the physical limitations score is specific
13 to physical functioning, which is what the 6-minute
14 walk test measures, we used that as a sensitivity
15 analysis.

16 We did also look at the clinical summary
17 score, which you can see here in the middle, and
18 that provides very similar estimates for the MCID.
19 We weren't able to use any of the other domain
20 scores because they don't incorporate the concept
21 of physical functioning.

22 MR. SLUGG: Dr. Kasper, I may have

1 incompletely addressed your question as well.
2 While we did explore this during the course of the
3 review, we did share this analysis with FDA at our
4 mid-cycle meeting, and then there were some
5 exchanges during the course of the review in
6 response to questions in which we explored this
7 together.

8 DR. KASPER: Thank you, Dr. Butler, and
9 that's it for me.

10 DR. BUTLER: Thank you, Dr. Kasper.

11 May I request Dr. Roy-Chaudhury to ask his
12 question?

13 DR. ROY-CHAUDHURY: Yes. Thanks,
14 Dr. Butler, and appreciate all the presentations
15 till now. My question probably centers around
16 slide CO-77, and I'll start off by saying that the
17 patient perspective is obviously very important in
18 these sorts of studies and the patient outcomes, so
19 I do appreciate the importance that all of you have
20 placed on that. But also from a patient
21 perspective, it's very important as to what happens
22 not just at 12 months, and on this slide with the

1 open-label extension, perhaps up to 24 months, but
2 also what happens out at 3 years and 5 years, and
3 that could become more and more important with the
4 technetium scanning and the fact that we're now
5 going to be able to identify people earlier.

6 So the question is, is there any data about
7 whether the treatment line in this case continues
8 to be flat over a longer period of time, perhaps
9 from the cardiomyopathy patients in the APOLLO
10 study, or is there some flattening out of the
11 benefit?

12 MR. SLUGG: Sure. Let me have my colleague,
13 Dr. Vest, address your questions.

14 DR. VEST: John Vest, Alnylam. The results
15 we've presented today in ATTR cardiomyopathy, we're
16 very encouraged by what we've seen through two full
17 years on the study. We definitely appreciate your
18 question about what will happen in year 3, year 4,
19 and year 5. We don't have that experience in
20 cardiomyopathy yet, but what we do have is very
21 extensive experience in the peripheral neuropathy
22 of the same disease, in hereditary peripheral

1 neuropathy, where we now have data out through
2 7 years. And what we know is that the reduction of
3 the pathogenic protein remains entirely consistent
4 in its suppression, and we have favorable clinical
5 benefits on neuropathy manifestations of the
6 disease over that same time period.

7 What I'd like to do is have Dr. Berk come,
8 who actually has the experience of having cared for
9 these patients over many years with this disease.

10 DR. BERK: Thank you for the opportunity to
11 comment on the durability of effect. While we
12 don't have longitudinal data that extends beyond
13 2 years for cardiomyopathy, we have extensive data
14 in the polyneuropathy population. And I will say
15 to you, quite bluntly, it is life-changing,
16 absolutely life-changing. And to me, as a
17 physician who has cared for these patients over
18 time, it's hard to share with you exactly how
19 satisfying it is, and I will tell you just by
20 clinical scenarios, there's a 42-year-old woman
21 that was just involved in a TTR gene-silencing
22 trial, the HELIOS-A trial, and in that trial, she

1 has had remarkable sensory and motor nerve
2 improvement.

3 The moving part of this is that at the age
4 of 42, she's facing the time at which her mother
5 died despite a liver transplant, she has two young
6 children that she's hoping to mother and see
7 through graduations, and she was so moved by the
8 marked effect on her life, her outcome, and her
9 ability to look forward that she had to give me a
10 hug, and I'm not a huggable person.

11 I'm not exactly sure which words to use, but
12 I will say bluntly that patisiran over time has a
13 very durable effect, and certainly anticipate the
14 same will be true of cardiomyopathy.

15 DR. ROY-CHAUDHURY: Thank you for those
16 comments, and obviously as physicians, personal
17 patient interaction is really important. But just
18 as a quick follow-up question, if I may,
19 Dr. Butler, when we're talking about longer term
20 effects, particularly in the polyneuropathy
21 group -- because I'm presuming there were no
22 6-minute walk tests, for example, in the APOLLO

1 study in the cardiomyopathy patients. So if you
2 just look at the polyneuropathy data out to
3 7 years, were there particular groups of people
4 where the stabilization in the outcome measures was
5 more prominent, and also were there groups where it
6 was not so prominent? I'm just trying to get a
7 feel about as we look out longer term, there are
8 certain subsets that will do well and will not do
9 well.

10 MR. SLUGG: Let me have my colleague,
11 Dr. Vest, to address your follow-up question.

12 DR. VEST: John Vest, Alnylam. With regard
13 to this experience with patisiran in this closely
14 related indication, we have seen remarkable
15 consistency across all subgroups with regards to
16 suppression of the pharmacodynamic effect and with
17 the clinical assessments that are done as well.
18 It's been quite consistent, and it's certainly not
19 limited or accentuated in any one subgroup. Part
20 of that is the pathophysiology of this disease is
21 the same across all of these subgroups, all driven
22 by the same pathogenic protein.

1 DR. ROY-CHAUDHURY: Thank you so much. That
2 will be all, Dr. Butler. Thank you.

3 DR. BUTLER: Thank you, Dr. Roy-Chaudhury.

4 May I request Dr. Kovesdy to please ask his
5 question.

6 DR. KOVESDY: Yes. Thank you, Dr. Butler.
7 Csaba Kovesdy. I have two questions, really. The
8 first one would refer to slide CO-33, the subgroup
9 analysis with tafamidis users and non-users. Was
10 there a statistical interaction testing conducted
11 for this particular subgroup analysis? And as a
12 follow-up of this regarding the mechanistic
13 analyses, were those examined in tafamidis users
14 and non-users separately or not?

15 MR. SLUGG: Let me have my colleague,
16 Dr. Silliman, address your first question.

17 DR. SILLIMAN: Nancy Silliman, Alnylam. So
18 yes, we did do subgroup by treatment interaction
19 test, and for baseline tafamidis, the result was
20 marginal for 6-minute walk test. The p-value was
21 0.06. Often a value less than 0.1 is considered
22 significant for a treatment interaction, but given

1 the number of subgroups, it's also likely that it's
2 just noise. We did not see a significant
3 interaction with baseline tafamidis for KCCQ. That
4 p-value was 0.59.

5 MR. SLUGG: And to your second question
6 regarding any mechanistic ties in this subgroup,
7 let me have my colleague, Dr. Vest, to address your
8 question.

9 DR. VEST: John Vest, Alnylam. Most
10 importantly, with regard to the mechanistic ties is
11 the pharmacodynamic effect of suppressing the
12 pathogenic protein, and we're showing that here,
13 and you can see that the suppression of
14 transthyretin is essentially identical in patients
15 with or without background tafamidis.

16 DR. KOVESDY: I'm sorry. I'm more
17 interested in the cardio biomarkers --

18 DR. VEST: Oh, oh.

19 DR. KOVESDY: -- and the cardiographic
20 results. Mechanism of action, I would have
21 expected these results, but how about the cardio
22 biomarkers?

1 DR. VEST: I got you. So yes, we did look
2 at the biomarkers and the echocardiographic
3 parameters. There was no difference in NT-proBNP,
4 but we saw some evidence of a treatment effect on
5 troponin I. The echocardiographic subgroup is
6 simply too small to have any meaningful
7 interpretation of the data.

8 DR. KOVESDY: Thank you. That's all.

9 DR. BUTLER: Thank you very much,
10 Dr. Kovesdy.

11 Can I ask a further clarifying question on
12 this issue with interaction? This is Javed
13 Butler. May I ask a further clarifying question
14 related to the interaction with tafamidis? So we
15 saw the data for KCCQ and for 6-minute walk test.
16 Do you have the same data for clinical outcome,
17 mortality hospitalization outcome as well, with
18 tafamidis and without tafamidis?

19 MR. SLUGG: Let me have my colleague,
20 Dr. Vest, to address your question.

21 DR. VEST: John Vest, Alnylam. The question
22 is about outcomes by background tafamidis. Yes, we

1 do have that data, and this is an area where we do
2 see some encouraging data in the background
3 tafamidis group. We're showing here, first, the
4 composite endpoint of all-cause mortality,
5 hospitalization, and urgent heart failure visits.
6 Patients in background tafamidis are shown on the
7 left; patients on patisiran monotherapy are shown
8 on the right.

9 You can see that in the background tafamidis
10 group, there is a trend favoring patisiran on the
11 composite. We don't see any separation in the
12 monotherapy arm, but the hazard ratio is 1, and
13 there was a lower number of events in both
14 treatment arms, so we simply may not have followed
15 these patients for long enough.

16 We also looked at mortality by background
17 tafamidis use. That's shown here. Again, patients
18 on background tafamidis are on the left-hand panel;
19 patisiran monotherapy on the right, and this is
20 from the 24-month data cut. You can see a trend
21 favoring patisiran that is consistent both with
22 background tafamidis and the patisiran monotherapy.

1 DR. BUTLER: Thank you very much.

2 May I request Dr. Peterson to ask his
3 question?

4 (No response.)

5 DR. BUTLER: You're muted, Dr. Peterson.

6 DR. PETERSON: Sorry. Thank you very much
7 for this presentation. I just have a couple quick
8 questions, the first of which is, the results of
9 the primary endpoint, as well as the
10 primary/secondary endpoint, looked at functional
11 measures. The importance of blinding in this
12 double-blind trial is important. Did you assess
13 whether patients knew which therapy they were on?

14 MR. SLUGG: Sure. Of course, in a trial
15 like this, blinding is actually important. Let me
16 have my colleague, Dr. Vest, address your question.

17 DR. VEST: John Vest, Alnylam. We went to
18 great lengths to maintain the blind on this trial.
19 Importantly, all personnel were blinded to the
20 study treatment, and the implementation of the
21 6-minute walk test was required to be performed by
22 somebody who wasn't the principal investigator or

1 somebody directly caring for the patients. We are
2 confident that the blind was maintained and have no
3 reason to believe that unblinding led to bias. I
4 might also point to the consistency of the
5 treatment effect that was seen on entirely
6 objective assessments such as the cardiac
7 biomarkers, both NT-proBNP and troponin, as
8 corroborating the clinical assessments.

9 DR. PETERSON: Yes. I don't know if you
10 directly answered my question. Were the patients
11 themselves interviewed to ask whether they knew
12 which therapy they were on?

13 MR. SLUGG: This was not an interview that
14 we undertook, no.

15 DR. PETERSON: Okay.

16 Then the second question has to do with the
17 follow-up questions to the tafamidis subgroup
18 analysis. Do you have the slide that would show
19 the biomarker data, particularly the proBNP data?

20 MR. SLUGG: We'll try to get that to you
21 after the break.

22 DR. PETERSON: Okay. That will be all.

1 Thank you.

2 DR. BUTLER: Thank you, Dr. Peterson.

3 May I request Dr. Smith to ask her question?

4 DR. WILDER SMITH: Yes. Thank you. Ashley
5 Wilder Smith. I have one question. If you could
6 go to slide 31, I'm wondering if you could comment
7 a little bit more about the subgroups focused on
8 women and black or African American patients and
9 what you're seeing here. I'm also wondering if you
10 did any subgroup analysis in your open-label,
11 follow-up portion for the subgroups that are
12 presented on this slide.

13 MR. SLUGG: Let me have my colleague,
14 Dr. Vest, to address your question.

15 DR. VEST: John Vest, Alnylam. The question
16 is around the results in the subpopulations of
17 black patients and women. Let me start first with
18 the subpopulation of black patients on the study.
19 While the subgroup of black patients reflects the
20 demographics of the disease proportionally, in the
21 context of the overall study size, this was a very
22 small subgroup, only about 15 patients per arm.

1 And accordingly, there's heterogeneity between
2 the -- I'm just going to focus in on the forest
3 plot there -- treatment arms, as we would expect,
4 and substantial variability in the individual
5 clinical assessments. For both endpoints, the
6 confidence intervals here are very wide.

7 We have looked at this statistically, and
8 there's not a significant difference between the
9 black subgroup and any other race for either of the
10 endpoints, the 6-minute walk test or KCCQ. With
11 that said, we are, of course, very interested in
12 understanding these results, particularly with
13 regard to the KCCQ.

14 The short answer is that we don't have a
15 definitive explanation for the observation, but it
16 appears to simply reflect the small subgroup being
17 influenced by results in the small number of
18 individual patients. For instance, there were
19 swings 40 to 60 points in some individual patients,
20 but most importantly in this regard, on the placebo
21 arm, there were 4 deaths prior to the month 12 time
22 point, so a substantial number of the overall size

1 of the arm is only 15 patients -- and because we
2 don't impute data for cases -- sorry, compared to
3 only one death on the patisiran arm prior to month
4 12. Because we don't impute those data, that means
5 they're not reflected in the month 12 analysis, so
6 what we're seeing may reflect a survivor bias.

7 I would note that the pharmacodynamic effect
8 is entirely maintained, that a reduction of
9 pathogenic protein in the black patients on the
10 study is entirely consistent with what we see in
11 the overall population, and the pathophysiology is
12 the same across all races and demographics. So
13 there's no biological reason to think that we
14 wouldn't have the same treatment effect in black
15 patients or any other specific race, for that
16 matter. And very importantly, there are no safety
17 concerns in this population. So in totality, we
18 feel that the data support the use across all races
19 and demographic groups.

20 With regard to women, the comments are
21 largely the same. This is a very small subgroup,
22 which is representative -- which is reflecting the

1 known demographics of the disease. This is well
2 recognized to be a male predominant disease and,
3 again, that means that while we're reflecting the
4 demographics, we're left with a very small subgroup
5 with wide confidence intervals. And again, this
6 has been tested statistically, and there is no
7 significant difference in the results between these
8 subgroups.

9 DR. WILDER SMITH: So that's really helpful
10 information. I guess my only other
11 comment -- well, one comment is just to say that
12 you also have a small sample of Asian participants,
13 and you do have wide confidence intervals but
14 you're not seeing necessarily the same trends in
15 the means.

16 Have you looked at any of these outcomes
17 prior to the deaths? Even at months earlier, you
18 had longitudinal data -- I think it's 6 months and
19 9 months -- and I'm curious if you looked at any of
20 the subgroup data earlier.

21 DR. VEST: We don't have those analyses.
22 You had also asked part of your question about

1 whether or not we've done subgroup analyses during
2 the open-label extension, and we do not have those
3 analyses at this time.

4 DR. WILDER SMITH: Thank you. That's all I
5 have.

6 DR. BUTLER: Thank you, Dr. Smith.

7 We are running a little bit behind, so we'll
8 take one last question from Ms. Abernathy, and I
9 will request, Dr. Thadhani, that we will find some
10 opportunity later in the meeting for more
11 clarifying questions.

12 Ms. Abernathy?

13 MS. ABERNATHY: Thank you. I realized that
14 the population, especially for the genetic version
15 of amyloidosis was quite small, but was there any
16 consideration in the study to assure that there was
17 a mix of variant types?

18 MR. SLUGG: Sure. Let me have my colleague,
19 Dr. Vest, address your question.

20 DR. VEST: John Vest, Alnylam. We sought,
21 in enrolling the study, to accurately and
22 comprehensively reflect a global population, and we

1 feel that we achieved that with regard to the
2 hereditary and wild type. We have about 20 percent
3 hereditary, which is reflective of the demographics
4 of the disease. I think you're also asking about
5 the difference in mutations. We do have
6 16 mutations represented on APOLLO-B. The most
7 common is the V122I mutation, which is about
8 40 percent, followed by T60Ala, about 18 percent,
9 and that's, again, reflecting the nature of the
10 disease.

11 We're showing here the forest plots; that we
12 do have consistent results in both hereditary and
13 wild-type disease. And then importantly, we also
14 see entirely comparable TTR reduction, and that's
15 also a property that's well understood with this
16 drug, which targets a common region of the gene and
17 is equally effective in suppressing both wild type
18 and all known mutations of this disease.

19 MS. ABERNATHY: Thank you.

20 DR. BUTLER: Thank you, Ms. Abernathy.

21 This now concludes this session. We will
22 now take a quick 10-minute break. Panel members,

1 please remember that there should be no chatting or
2 discussions of the meeting topics with other panel
3 members during the break. Since we are running a
4 little behind, let's please make sure that we are
5 back and resume the meeting at 11:18 am.

6 (Whereupon, at 11:08 a.m., a recess was
7 taken, and meeting resumed at 11:18 a.m.)

8 DR. BUTLER: It is 11:18, so let's continue
9 with the meeting. We will now proceed with the FDA
10 presentation, starting with Dr. Rosalyn Adigun.

11 **FDA Presentation - Rosalyn Adigun**

12 DR. ADIGUN: Thank you, Dr. Butler.

13 Good morning. My name is Rosalyn Adigun,
14 and I'm a clinical reviewer in the Division of
15 Cardiology and Nephrology, FDA, CDER. I will be
16 presenting a summary of FDA's review of the
17 efficacy assessment and evaluation of the clinical
18 meaningfulness of patisiran in the treatment of
19 transthyretin amyloid cardiomyopathy.

20 I will start with topics that we would like
21 the advisory committee to opine on during the
22 course of today's meeting. First, is the extent of

1 the observed effect of patisiran clinically
2 relevant? And second, does patisiran have benefits
3 in patients with transthyretin amyloid
4 cardiomyopathy who are also taking standard-of-care
5 tafamidis?

6 A quick recap of the APOLLO-B study,
7 APOLLO-B was a phase 3 trial that provides evidence
8 of safety and efficacy for patisiran use in the
9 treatment of transthyretin amyloid cardiomyopathy.
10 This was a 12-month, randomized, double-blind,
11 placebo-controlled trial. The study enrolled
12 adults with transthyretin amyloid cardiomyopathy
13 and stratified randomization by baseline tafamidis
14 use, genotype, New York Heart Association
15 functional class, and age.

16 The primary endpoint was change from
17 baseline at month 12 in the 6-minute walk test.
18 The first key secondary endpoint was change from
19 baseline at month 12 in the Kansas City
20 Cardiomyopathy Questionnaire Overall Summary Score.
21 The other secondary endpoints include a composite
22 of all-cause mortality; frequency of cardiovascular

1 events; and change from baseline in the 6-minute
2 walk test; a composite of all-cause mortality;
3 frequency of all-cause hospitalizations and urgent
4 heart failure visits in patients not on tafamidis
5 at baseline; and a composite of all-cause
6 mortality; frequency of all-cause hospitalization;
7 and urgent heart failure visits.

8 Based on our review of the APOLLO-B trial,
9 we can conclude the following observations.
10 APOLLO-B was a well-conducted phase 3 trial.
11 Discontinuation of study drug was balanced between
12 patisiran and placebo groups. There was less than
13 10 percent missing data in each arm. The safety
14 results of APOLLO-B was largely consistent with the
15 safety data from the hereditary transthyretin-
16 mediated amyloidosis polyneuropathy population and
17 with the expected risk for patients with ATTR
18 cardiomyopathy.

19 Additionally, APOLLO-B met two of its
20 prespecified efficacy endpoints, the primary
21 endpoint, change from baseline at month 12 in the
22 6-minute walk test, and the first secondary

1 endpoint, change from baseline at month 12 in the
2 KCCQ Overall Summary Score. The next bullet here
3 is the main reason we're here today; however, there
4 was a small treatment effect in patients treated
5 with patisiran compared with placebo.

6 For the primary endpoint, there was a median
7 difference of 6-minute walk test of 14.7 meters at
8 month 12; this in a cohort of patients with a
9 median baseline performance on the 6-minute walk
10 test of 364 meters. For the first secondary
11 endpoint, there was a mean difference in the KCCQ
12 Overall Summary Score of 3.7 points; this also in a
13 cohort with a mean baseline KCCQ Overall Summary
14 Score of 70 out of 100.

15 Before we proceed further with specific
16 information related to the efficacy of patisiran in
17 APOLLO-B or the clinical meaningfulness of the
18 treatment effects observed, I would like to take a
19 few minutes to discuss the general framework of
20 endpoints used to establish effectiveness in
21 clinical trials.

22 Evidence of effectiveness in a clinical

1 trial can be based on clinical hard endpoints.
2 Examples of this would be myocardial infarction or
3 stroke. Surrogate endpoints, an example would be
4 blood pressure, or specific to APOLLO-B, clinical
5 endpoints that reflect how patients feel or
6 function. When the clinical benefit of a drug is
7 established based on how patients feel or function,
8 this approach uses patient-centric clinical outcome
9 measures to derive these endpoints. If a patient
10 cannot detect a treatment effect or cannot
11 appreciate the treatment having an impact on their
12 health, then the treatment effect is not clinically
13 meaningful to the patient.

14 FDA guidance provides examples of acceptable
15 COA-based endpoints. 6-minute walk test is an
16 example of an acceptable functional measure for
17 ATTR cardiomyopathy. KCCQ is an example of a
18 measure for ATTR cardiomyopathy that can generate
19 acceptable COA-based endpoints. To establish
20 clinical benefit, a drug must be shown to have an
21 effect in an adequate and well-controlled trial
22 that is both statistically persuasive and

1 clinically meaningful.

2 Prior to the initiation of APOLLO-B, FDA and
3 the applicant were aligned on the endpoints
4 appropriate for a cardiomyopathy claim. Guidance
5 provided stated that the proposed study should
6 demonstrate a meaningful improvement in a clinical
7 outcome such as cardiovascular death and
8 hospitalization for heart failure. Alternatively,
9 meaningful improvements in functional testing or
10 health-related quality-of-life measures could
11 suffice if a predetermined level of harm with
12 respect to death and hospitalization could be
13 excluded.

14 A few months before the first patient was
15 enrolled in APOLLO-B, first-in-class therapy for
16 treatment of ATTR cardiomyopathy was approved. The
17 implication of this approval on the clinical trial
18 design was addressed. FDA did not object to the
19 sponsor's proposal to limit the number of patients
20 on background tafamidis based on the rationale that
21 access to the newly approved therapy would vary by
22 region.

1 Over the next few slides, we will look at
2 the effects of patisiran in APOLLO-B and if the
3 observed effects are clinically relevant. A quick
4 reminder of the key features of patients studied in
5 APOLLO-B; this was a predominantly older male
6 population, white, with cardiomyopathy of wild-type
7 TTR. Most of the patients had stage 1 disease and
8 NYHA functional class II symptoms. Baseline
9 demographics were similar between patisiran and the
10 placebo arms.

11 For the primary efficacy endpoint, change
12 from baseline at month 12 in the 6-minute walk
13 test, both patisiran and placebo-treated patients
14 showed declines. Using the applicant's
15 prespecified analysis method, patisiran
16 demonstrated a statistically significant smaller
17 decline in 6-minute walk test at month 12 compared
18 to placebo. The Hodges-Lehmann estimate of median
19 difference was 14.7 meters with a 95 percent
20 confidence interval between a 0.7 to 28.7 meters.

21 The empiric cumulative distribution
22 function, or ECDF curves, displays a continuous

1 view of change, both positive and negative, from
2 baseline at month 12 in 6-minute walk test
3 distance. This is shown on the horizontal axis.
4 On the vertical axis, the cumulative proportion of
5 patients with a particular level of change or
6 higher is represented. The ECDF curves allow a
7 variety of change scores to be examined, both
8 simultaneously and collectively in composite all
9 available data.

10 Despite achievement of statistical
11 significance, the change from baseline at month 12
12 in 6-minute walk test was small, and this is
13 evident by the minimal separation between the
14 treatment arms. Placebo is depicted in red and
15 patisiran in blue. For 6-minute walk test, a
16 negative change -- that is a change less than 0,
17 which is to the left of the centered vertical
18 line -- represents worsening. This is particularly
19 important, as both arms showed decline in 6-minute
20 walk test, and the objective of the study was to
21 slow the progression of disease.

22 For the first secondary endpoint, that is

1 change from baseline, in KCCQ Overall Summary
2 Score, the primary analysis was based on a mixed
3 model for repeated measures or MMRM. Based on this
4 analysis, patisiran demonstrated a statistically
5 significant change from baseline of 3.7 points and
6 a 95 percent confidence interval between 0.2 to
7 7.2 points.

8 Taking a look at the ECDF curve for the
9 first secondary endpoint change from baseline at
10 month 12 in the KCCQ Overall Summary Score, the
11 least square mean difference was 3.7 points on a
12 0-to-100 transformed scale score. This change was
13 considered small. This is also evident by the
14 minimal separation observed between the treatment
15 arms. For KCCQ Overall Summary Score, a positive
16 change, that is a change greater than 0, can be
17 seen to the right of the vertical centered line,
18 and that represents an improvement.

19 Now, looking at the trajectory of
20 patisiran's treatment effect on 6-minute walk test
21 to the left and the KCCQ Overall Summary Score to
22 the right, over the double-blind period, we see

1 that for 6-minute walk test, patisiran and the
2 placebo curves showed similar declines for the
3 first 6 months. Looking to the end of the double-
4 blind period, there appears to be no divergence of
5 both treatment arms. For the first secondary
6 endpoint, change from baseline at 12 months in the
7 KCCQ Overall Summary Score, the mean difference
8 between the arms was 3.7 points; this on a
9 transformed scale of 0 to 100. The scale used for
10 the vertical axis showing the mean change from
11 baseline amplifies a small area of the data and
12 does not adequately represent the magnitude of
13 treatment effect, which was small in this endpoint.

14 A few comments about the other secondary
15 endpoints, for the first composite endpoints of
16 all-cause mortality, frequency of cardiovascular
17 events and change from baseline in 6-minute walk
18 test, a stratified win ratio test was used. The
19 hierarchical composite is driven here by the
20 6-minute walk test component. This was modeled as
21 a continuous measurement. As a result, even a
22 1-meter change can determine a winner or a loser.

1 These results should be interpreted with caution.

2 None of the other secondary endpoints showed a
3 statistically significant treatment effect.

4 To summarize the efficacy findings of
5 APOLLO-B, the treatment effects for 6-minute walk
6 test and KCCQ Overall Summary Score were
7 statistically significant, but small. For the
8 primary endpoint, there was a median difference of
9 14.7 meters; this in a cohort of patients with a
10 median baseline performance of 364 meters.

11 Sensitivity analyses and additional supplementary
12 analyses performed on the primary endpoint yielded
13 smaller estimates. For the first secondary
14 endpoint, there was a mean difference of 3.7 points
15 on the KCCQ Overall Summary Score. Sensitivity
16 analyses yielded consistent treatment effects.

17 APOLLO-B did not show a treatment effect on
18 any of the other secondary endpoints. The trial
19 also did not show a benefit on mortality or
20 irreversible morbidity. Efficacy results from the
21 open-label extension phase up to month 24 are
22 uninterpretable. Remember, all subjects were now

1 receiving patisiran. There is also potential for
2 bias in our interpretation of efficacy endpoints
3 due to knowledge of the treatment assignments.

4 So how small were the effects of patisiran
5 observed in APOLLO-B? To visually describe these
6 results, we represent the treatment effects of
7 patisiran on the 6-minute walk test and the KCCQ
8 Overall Summary Score in the tables shown. On the
9 left is a visual depiction of the 6-minute walk
10 test results, and on the right, the KCCQ Overall
11 Summary Score. Baseline performances are shown in
12 blue and the month 12 performances in red. It is
13 reasonable to conclude that the difference between
14 both groups shown are difficult to perceive and not
15 unreasonable to wonder if these could be detected
16 or perceived by patients.

17 One of the key considerations in regulatory
18 decision making is the evaluation of how well the
19 results of a COA-based endpoint corresponds to a
20 treatment benefit that is meaningful to patients.
21 The agency has been consistent in communications,
22 from the 2009 patient-reported outcome guidance to

1 the recently published patient-focused drug
2 development guidance series, that an anchor-based
3 approach is a useful method for understanding what
4 the patient would regard as clinically meaningful;
5 that is, what constitutes an improvement or
6 deterioration from a patient's perspective?

7 An anchor is an external variable -- not
8 derived from the COA whose scores require
9 interpretation -- for which meaningful differences
10 are directly interpretable or already known. The
11 interpretation of an anchor-based analysis depends
12 on the appropriateness of the selected anchor
13 variable, and our guidances provide several
14 considerations on the choice of suitable anchor
15 variables.

16 Other methods, such as qualitative exit
17 interviews or surveys, can also be used in addition
18 to or instead of an anchor, especially when an
19 anchor-based method or an appropriate anchor does
20 not exist; however, approaches such as
21 distribution-based methods using an effect size or
22 a standard deviation, or model-based approaches,

1 are inappropriate as a primary method to determine
2 what is clinically meaningful, as they do not
3 directly take into account the patient's
4 perspective.

5 Now, applying the FDA guidance to the
6 results observed in APOLLO-B, there were neither
7 appropriate anchor skills administered, nor
8 qualitative data collected, to aid in the
9 evaluation of the clinical meaningfulness of the
10 treatment effects of 6-minute walk test or KCCQ
11 Overall Summary Score from the perspective of
12 patients. As a result, there was no evidence
13 provided to show that the treatment effects on
14 6-minute walk test or the KCCQ Overall Summary
15 Score are clinically meaningful to patients.

16 Additionally, the applicant's analyses
17 didn't align with FDA guidance. For 6-minute walk
18 test, the sponsor used KCCQ Overall Summary Score,
19 the key secondary endpoint, and KCCQ physical
20 limitation score as anchors. Both of these scores
21 require interpretation of their own. For the
22 secondary endpoint, the sponsor referenced other

1 heart failure products for which a claim for
2 symptomatic improvement was not granted by the
3 agency.

4 I will now transition to the second topic
5 relevant to the discussion today. Does patisiran
6 have benefits in patients with transthyretin
7 amyloid cardiomyopathy who are already taking
8 standard-of-care tafamidis?

9 The treatment landscape for transthyretin
10 amyloidosis is evolving; however, tafamidis is
11 currently the only FDA-approved therapy indicated
12 for the treatment of transthyretin amyloid
13 cardiomyopathy. Evidence of safety and efficacy
14 for tafamidis is based on the results of the
15 ATTR-ACT trial, a 30-month, multicenter,
16 double-blind, placebo-controlled study in patients
17 with transthyretin amyloid cardiomyopathy
18 randomized to tafamidis or placebo.

19 The primary endpoint was a hierarchical
20 composite of all-cause mortality and frequency of
21 CV-related hospitalizations at month 30. The
22 secondary endpoint was change from baseline at

1 month 30 in 6-minute walk test and KCCQ Overall
2 Summary Score. Based on the findings of the
3 ATTR-ACT trial, current guidelines recommend the
4 use of tafamidis in patients with ATTR
5 cardiomyopathy and NYHA functional class I, II, III
6 heart failure symptoms to reduce cardiovascular
7 morbidity and mortality.

8 While the primary efficacy endpoints for
9 tafamidis were clinical endpoints, the study also
10 assessed the effects of tafamidis on symptom and
11 function endpoints that reflect how patients feel
12 and function. For the current standard of care,
13 tafamidis, there was a mean change of 33 meters at
14 12 months in the 6-minute walk test and a mean
15 change of 8 points at 12 months in the Kansas City
16 Cardiomyopathy Overall Summary Score, with
17 continued separation of the treatment groups
18 through the end of the double-blind period.

19 Before we discuss the results of the
20 tafamidis subgroup in APOLLO-B, it is worth
21 mentioning that tafamidis is now the standard of
22 care in patients with transthyretin amyloid

1 cardiomyopathy, and in the patisiran expanded
2 access program, 96 percent of patients are also
3 receiving tafamidis. So what did we observe when
4 we looked at the tafamidis subgroup in APOLLO-B?
5 Ninety-one of the 359 patients, 25 percent of the
6 cohort, were on background tafamidis.

7 Patients on background tafamidis showed
8 neutral results. For the primary endpoint, change
9 from baseline at month 12 in the 6-minute walk
10 test, patients treated with patisiran compared with
11 placebo on a background of tafamidis demonstrated a
12 median difference of negative 4.2 meters, where
13 negative numbers favor placebo, with a wide
14 95 percent confidence interval between negative 29
15 and 20.5 meters. And for the KCCQ Overall Summary
16 Score, patients treated with patisiran compared
17 with placebo on a background tafamidis demonstrated
18 a mean difference of 2.1 points, with a 95 percent
19 confidence interval between 5 and 9 points.

20 Consistent with the agency's view on
21 subgroup analysis in clinical trials, subgroup
22 analyses are viewed as exploratory. They're

1 hypothesis generating, exploring the effects of an
2 intervention across the range of baseline factors,
3 and we always are cautious about the risk of an
4 inflated type 1 error with no multiplicity control.
5 With regards to the patisiran plus tafamidis
6 subgroup in APOLLO-B, there is biological
7 plausibility for additive effects when patisiran is
8 used with tafamidis, as both therapeutics target
9 different steps in the disease pathway, but neither
10 addresses the effects of preexisting end-organ
11 involvement.

12 There was a small number of patients,
13 25 percent of the randomized cohort, and the wide
14 confidence interval increases uncertainty. It is
15 also important to note that APOLLO-B was not
16 designed nor powered to provide definitive
17 conclusions regarding the efficacy of patisiran in
18 patients on tafamidis.

19 I will conclude the presentation with the
20 following remarks. We observe a small treatment
21 effect of patisiran on 6-minute walk test and the
22 KCCQ Overall Summary Score; however, there is no

1 evidence that these small treatment effects are
2 meaningful to patients. All-cause mortality and
3 cardiovascular events over the double-blind period
4 were not significantly improved; however, we
5 recognize that this study was not powered for
6 mortality endpoints. And finally, it remains
7 unclear what to do in patients on background
8 therapy with tafamidis. I will now conclude the
9 FDA portion of the presentation. Thank you.

10 **Clarifying Question to FDA**

11 DR. BUTLER: Thank you very much,
12 Dr. Adigun.

13 We will now take clarifying questions for
14 the FDA presenter. Please use the raise-hand icon
15 to indicate that you have a question, and remember
16 to lower your hand by clicking the raise-hand icon
17 again after you have asked your question. When
18 acknowledged, please remember to state your name
19 for the record before you speak and direct your
20 question to a specific presenter, if you can. If
21 you wish for a specific slide to be displayed,
22 please let us know the slide number if possible.

1 Finally, it will be helpful to acknowledge
2 the end of your question with a thank you and end
3 of your follow-up questions with, "That is all for
4 my questions," so that we can move on to the next
5 panel member.

6 I will now request Dr. Noel Bairey Merz to
7 ask her question.

8 DR. BAIREY MERZ: Thank you, Dr. Butler. I
9 have a question. It's slides 18 and 19, but I
10 think we can just take it verbally again, and it's
11 similar to an earlier question.

12 There actually are anchors to the KCCQ, as
13 well as other metrics -- angina scores -- that a
14 change of 5 is considered a small but clinically
15 meaningful effect. It sounds like it was not
16 discussed in advance with the FDA. This is a
17 similar question that we posed to the sponsor.
18 Thank you.

19 DR. SENATORE: My name is Fred Senatore.
20 I'm lead physician, and I've been privileged to
21 triage the questions, so for this question, I will
22 call on Dr. Morell from our PFFS group to respond.

1 DR. MORELL: Thank you. I'm Dr. Monica
2 Morell, psychometrician and statistical reviewer on
3 Patient-Focused Statistical Scientist group in
4 CDER. We acknowledge that much of the literature
5 on KCCQ suggests that a small clinical difference
6 in scores is 5 points. FDA CDER has concerns with
7 the 5-point change threshold as clinically
8 meaningful from the patient's perspective.

9 We note that the 5-point change was derived
10 in a single study, which itself had limitations
11 such as the anchor scale used and the
12 prioritization of the clinician perspective above
13 patient voice. Considerations for clinical
14 management, clinical research, and regulatory
15 decision making are not necessarily the same. We
16 need to make evidence-based decisions that are
17 supported by the trial data provided for our
18 review. Thank you.

19 DR. BAIREY MERZ: Dr. Butler, I have a
20 follow-up question.

21 DR. BUTLER: Please.

22 DR. BAIREY MERZ: So therefore, something

1 less than 5 might be considered meaningful since
2 there's no acknowledged metric that the FDA
3 considers would be clinically meaningful currently
4 today?

5 DR. MORELL: Thank you for the question. At
6 the moment, we have no evidence on what might be a
7 clinically meaningful change on the KCCQ.

8 DR. BAIREY MERZ: Thank you. That's
9 satisfactory, Dr. Butler.

10 DR. BUTLER: Thank you very much, Dr. Bairey
11 Merz.

12 May I request Dr. Chris O'Connor to ask his
13 question?

14 DR. O'CONNOR: Yes. Chris O'Connor for the
15 FDA team, maybe the statistical team. I'm curious
16 of the opinion of the FDA on the statistical
17 interaction with a p-value of 0.06 with patients
18 prior treated with tafamidis or not. Do you
19 believe that's a meaningful interaction? And given
20 that the primary and top secondary results appear
21 stronger in those in the non-tafamidis group, do
22 you think that's meaningful?

1 DR. SENATORE: Thank you, Dr. O'Connor. I
2 will call on Dr. Zheng to provide a response.

3 DR. ZHENG: Hi. This is Mengjie Zheng. I'm
4 the statistical reviewer. First of all, the study
5 is not powered to test the interactions, so the
6 p-value, we don't have a lot of confidence being
7 able to distinguish there is a difference between
8 the two subgroups. Also, this interaction will not
9 answer the question whether there is effectiveness
10 in the tafamidis subgroup or not. Thank you.

11 DR. O'CONNOR: Let me just clarify,
12 Dr. Butler, if I may.

13 Really, the the question I have is whether
14 the non-tafamidis group you feel is meaningful,
15 many people believe that when you prespecify a
16 subgroup to test an interaction and you obtain a
17 p-value of 0.06, were never powered in subgroups
18 adequately, but that suggests a pretty strong
19 relationship, as the sponsor mentioned. Usually
20 0.1 is what we start to consider of interest if a
21 p-value is less than 0.1 in a test for interaction
22 of a subgroup. Again, how do you feel about the

1 information in the non-tafamidis group as far as
2 the efficacy endpoints?

3 DR. SENATORE: I'll call upon Dr. Jialu to
4 provide a response.

5 DR. ZHANG: I'm Jialu Zhang, the
6 statistician, FDA. As Dr. Zheng stated, this
7 interaction, the study is not powered to test any
8 interaction. It also doesn't address whether a
9 particular subgroup -- it's not powered to address
10 if a particular subgroup is effective or not. We
11 can only look at it to see whether there's a
12 consistent trend, but it's not designed to answer
13 whether on top of tafamidis it's effective, or not
14 on tafamidis it's more effective.

15 DR. BUTLER: Any follow-up questions,
16 Dr. O'Connor?

17 DR. O'CONNOR: No, but I don't agree with
18 that response.

19 DR. BUTLER: Thank you. Thank you for your
20 perspective.

21 Dr. Thadhani?

22 DR. THADHANI: Thank you, Dr. Butler. I

1 have two questions. I was impressed by the
2 distribution function figures, and if the agency
3 can refer to those figures for the following
4 question; that is, is the interpretation of those
5 distribution functions that the majority of
6 patients actually did not benefit?

7 Yes, the differences reach p-values that
8 were significant and they were small, but based on
9 those distribution function figures, should we
10 interpret the results as majority, meaning over
11 50 percent, did actually not respond to either of
12 those measures or were not responsive on either of
13 those measures?

14 DR. SENATORE: Thank you. I will call upon
15 Dr. Zhang to respond or to invite her to ask
16 someone else to chime in.

17 (Pause.)

18 DR. SENATORE: We've had some technical
19 difficulty. Could you kindly repeat the question,
20 please?

21 DR. THADHANI: Sure; happy to. If the
22 agency can refer to the distribution function

1 figures and just help us interpret them. Was the
2 agency meaning to imply that the majority of
3 patients did not actually respond to either
4 6-minute walk test benefit or the KCCQ? Again,
5 based on those figures, it seemed as if almost
6 60 percent were non-responsive or did not improve
7 on either measure.

8 DR. SENATORE: I will ask Dr. Garrard to
9 come up and respond.

10 DR. GARRARD: Hi. This is Dr. Lili Garrard.
11 I'm a statistician. Your interpretation is
12 correct. If you look at ECDF curves, if you look
13 specifically at the vertical line at zero, we can
14 see that the majority of patients on either arm did
15 not experience a change. Thank you.

16 DR. THADHANI: Thank you, Dr. Butler. Could
17 I have one additional question?

18 DR. BUTLER: Yes, please.

19 DR. THADHANI: The agency made a comment
20 that while the functional measures, which have been
21 used in other clinical trials, have been used
22 perhaps as supportive measures to demonstrate

1 benefit of an agent or an intervention, that, in
2 fact, approvals, registration approvals, were not
3 based on those functional measures.

4 Has there historically been from the agency
5 any trials, the primary measures being functional,
6 where there has been an approval based solely on
7 those functional measures, and if so, at what
8 thresholds?

9 DR. SENATORE: I will ask Dr. Pretko to come
10 up and respond.

11 DR. PRETKO: Hi. I'm Susan Pretko, reviewer
12 for the Division of Clinical Outcome Assessments.
13 There have been approvals where functional measures
14 were used as primary endpoints; however, they have
15 been in other indications or other therapeutic
16 areas, so the results could not necessarily be
17 extrapolated to this program. Thank you.

18 DR. THADHANI: Thank you. But is it clear
19 or is it the case that the studies that were shown
20 by way of a figure -- I don't remember what number
21 the figure was -- that for those primary studies,
22 they were not approved, based on those functional

1 measures?

2 (Pause.)

3 DR. SENATORE: Dr. Thadhani, was that a
4 follow-up question you were asking?

5 DR. THADHANI: Yes. I was just clarifying,
6 the figure that was shown -- apologies. I should
7 have stated this. The figure that was shown in
8 terms of the studies demonstrating even small
9 effect sizes on functional measures, for the most
10 part, agents were not approved from those studies
11 based on the functional measures shown thus far. I
12 just wanted to clarify that with the agency again.

13 DR. SENATORE: Dr. Stockbridge will respond

14 DR. STOCKBRIDGE: Yes. The sponsor showed a
15 plot from a number of different drugs and the
16 magnitude of treatment effect that was there, but
17 the majority of those don't have a claim based on
18 those results.

19 Does that address your question?

20 DR. THADHANI: Yes, sir. Thank you.

21 That's all I have, Dr. Butler. Thank you.

22 DR. BUTLER: Thank you, Dr. Thadhani.

1 May I request Dr. Soergel to ask his
2 question?

3 DR. SOERGEL: Thank you, Dr. Butler. David
4 Soergel. I am the industry representative.
5 Related to Dr. Thadhani's question, I found the
6 analysis looking at KCCQ and its relationship to
7 6-minute walk duration as being pretty intriguing,
8 especially in this older patient population in a
9 relatively rare disease.

10 I have two specific questions, the first to
11 the agency. What about the KCCQ, in particular,
12 does not meet the criteria for an anchor measure?
13 And then the second question related to that is, if
14 we can include KCCQ as an endpoint in our clinical
15 trials, it seems to me that there should be some
16 relationship between including that endpoint in the
17 study and its clinical meaningfulness. This
18 protocol was discussed with the agency ahead of
19 time, so I'm curious about the dialogue about KCCQ
20 and the thresholds of meaningfulness that were
21 discussed during those initial interactions. Thank
22 you very much.

1 DR. SENATORE: Thank you. I will call on
2 Dr. Morell to respond to this question.

3 My apologies. I will call upon Dr. Pretko
4 to respond to this question.

5 DR. PRETKO: Thank you for that question.
6 Will you please bring up slide 67? I'll go ahead
7 and start speaking to this.

8 The KCCQ Overall Summary Score was proposed
9 to be used as an anchor scale. There are 20 items
10 that contribute to that OS score, and actually only
11 six of them assess physical function. We agree
12 that those physical function items, they may be
13 related to aspects of physical functioning, but we
14 note that some of those items, there's an item
15 assessing dressing yourself and there's an item
16 assessing showering, so those may not be closely
17 related to the distance a person can walk in
18 6 minutes.

19 Then there are other items that contribute
20 to the quality of life and social limitations
21 domain, and those items assess concepts such as
22 feeling discouraged, missing family or friends, out

1 of your house, and intimate relationships with
2 loved ones. And these concepts, again, they're
3 more distal, so they may not be related to
4 functional capacity and may be imposed by factors
5 unrelated to the treatment or disease, which
6 contributes to a part of the limitations, so that
7 this has an anchor scale. Thank you.

8 DR. BUTLER: Dr. Soergel, do you have any
9 follow-up clarification?

10 DR. SOERGEL: Yes, just one clarification
11 around the use of KCCQ as an endpoint. For
12 example -- I think Dr. Bairey Merz mentioned this
13 in her question as well -- the change of 5 points
14 is generally recognized, as was shown in the
15 sponsor's presentation, as being a meaningful
16 change, either in a positive direction or a
17 negative direction. So it seems to me that this
18 interaction between KCCQ and 6-minute walk, again,
19 it sounds relatively persuasive from the sponsor's
20 side. So I'm trying to understand, in this older
21 population in a rare disease, where you're not
22 going to have the benefit of having a lot of data

1 to anchor on, is this an adequate way of looking at
2 clinical meaningfulness in this study?

3 DR. SENATORE: I will call upon Dr. Pretko
4 again, please.

5 DR. PRETKO: I'm sorry. Please repeat the
6 question.

7 DR. SOERGEL: Yes. To repeat, I'm
8 interested that this is a rare disease in an older
9 population where we don't have a lot of data to
10 anchor, so the sponsor is hypothesizing that
11 clinical meaningfulness in 6-minute walk duration,
12 the distance is going to be shorter in this
13 population. And they showed, I think, a relatively
14 persuasive analysis looking at generally accepted
15 changes, negative and positive changes, on KCCQ and
16 correlating those. So I'm just curious on your
17 reaction to that and how we should interpret that
18 analysis.

19 DR. PRETKO: Thank you so much. So in the
20 setting of this program, we need alternative
21 evidence to demonstrate that amount of change is
22 going to be meaningful in this older population;

1 however, we do not have that evidence available to
2 us.

3 DR. SOERGEL: Thank you, Butler.

4 DR. BUTLER: Thank you, Dr. Soergel.

5 May I call upon Dr. Roy-Chaudhury to ask his
6 question?

7 DR. ROY-CHAUDHURY: Yes. Thanks to
8 everyone. My question was really about the change
9 in, let's say, the 6-minute walk test relative to
10 the baseline, and I was trying to work out whether
11 some of this information could come from the
12 distribution curves, and I felt not. The question
13 really was, was the distribution the same across
14 the different baseline levels? I'm coming from the
15 setting where a change of 6 in somebody who had a
16 baseline 6-minute walk test of 200 actually would
17 mean a lot more than, let's say, a change of 8 in
18 somebody who started off at a baseline of 400.

19 Is there any information on this? I'm
20 coming from this, really again, just from a patient
21 perspective and understanding also about the
22 durability of the effect, which was potentially

1 discussed earlier.

2 DR. SENATORE: Thank you. I'll call upon
3 Dr. Stockbridge to come here, please.

4 DR. STOCKBRIDGE: Could you maybe put up the
5 cumulative distribution plot for 6-minute walk?
6 That's slide 11. Our interpretation of this is
7 that there's no evidence of a part of that
8 distribution, which is different for the two
9 groups; that is, if you were on the left-hand part
10 of it, you got about the same benefit as if you
11 were in the right-hand part of that distribution.
12 That doesn't quite go to the issue you raised about
13 the baseline, but it doesn't suggest that there's a
14 difference with some patients getting a
15 substantially larger benefit than others.

16 DR. ROY-CHAUDHURY: Thanks, Dr. Stockbridge,
17 and that will be all for me at this point,
18 Dr. Butler.

19 DR. BUTLER: Thank you, Dr. Roy-Chaudhury.
20 May I ask Dr. Kasper to ask his question?

21 DR. KASPER: Thank you, Dr. Butler. In
22 regards to this particular issue of anchoring, does

1 the FDA have an idea of what would have been a
2 better way to have done this, other than the KCCQ?
3 What else out there could they have anchored on?
4 And that would be my only question. Thank you.

5 DR. SENATORE: Let me call upon Dr. Pretko
6 to respond to this.

7 DR. PRETKO: Thank you for your question.
8 So an ideal anchor scale to interpret change in
9 6-minute walk test might ask about the patient's
10 perception of their walking ability or how far
11 they're able to walk. Our guidance does recommend
12 using multiple anchor scales to triangulate and
13 interpret [indiscernible] based endpoints, an
14 additional anchor scale or more than one anchor
15 scale. Also, including an anchor scale assessing
16 physical function would also have been helpful to
17 interpret 6-minute walk test change scores. Thank
18 you.

19 DR. BUTLER: Thank you very much.

20 May I request Dr. Cella to ask his question?

21 DR. CELLA: Thank you. Dr. Butler. This is
22 kind of a follow-up to what Dr. Thadhani was

1 getting at and relates to precedence with these two
2 endpoints.

3 I recognize that we have different
4 therapeutic areas, but since both of these
5 endpoints, 6-minute walk and the KCCQ Overall
6 Score, have found their way into previous labels,
7 could you give us something like a range of group
8 differences that existed in those labels? I
9 realize that may be a hard question because someone
10 would have to know all these numbers, but it would
11 be helpful to know, in terms of precedence, what
12 kinds of magnitude has made it into labels for
13 these endpoints, regardless of clinical area.

14 DR. SENATORE: I'll start off by asking
15 Dr. Pretko to comment, and she could refer other
16 people to comment afterwards.

17 (Pause.)

18 DR. CELLA: That's my only question,
19 Dr. Butler, so I'll go off screen.

20 DR. BUTLER: Thank you.

21 DR. PRETKO: Thank you. Sorry for the
22 delay. I'm having technical trouble.

1 DR. BUTLER: But we could hear you.

2 DR. PRETKO: Okay. I'll -- [inaudible].

3 DR. STOCKBRIDGE: Well, I don't think we're
4 going to have a satisfactory answer for that. I
5 don't think anybody's gone through and tried to
6 catalog where we've agreed or not. I can, however,
7 point out that this study was powered for a
8 treatment effect on 6-minute walk that was twice as
9 large as what was observed, and the upper
10 confidence limit essentially rules out an effect as
11 large as what was proposed.

12 So with that all in mind and with the APOLLO
13 results, which showed substantial improvements in
14 symptomatic effects, there was no real anticipation
15 on anybody's part that we'd be discussing what was
16 minimally clinically relevant here. The
17 expectation was you'd see something similar to what
18 you saw in the APOLLO study, and the effects would
19 have been large enough not to have raised this
20 concern.

21 DR. BUTLER: Thank you. Thank you,
22 Dr. Stockbridge.

1 So we have gained a little bit of time in
2 this session. There were some questions to the
3 applicant for clarification that we could not get
4 to because we were running out of time in the
5 previous session, so I would request if anybody has
6 questions for the applicant to come back as well.

7 I actually have a question for the FDA, but
8 before I ask my question, I saw Dr. Kovesdy's hand
9 up, and then it's down. I'm going to assume that
10 his question was asked by someone else, but if
11 that's not the case, and if this was a technical
12 glitch, please raise your hand again.

13 My question to the FDA is that I'm still
14 trying to get my head around the rationale of why
15 there was a cap for tafamidis at 25 percent. The
16 rationale that it's a new therapy, we don't know
17 what the global uptick might be, and all of those
18 are genuine comments, but I would think that that
19 would lead to the opposite conclusion that you want
20 a minimum number of 20, 25, whatever it is, to get
21 a sense of benefit on and off tafamidis as opposed
22 to imposing a cap rather than a minimum number. So

1 that's my first question.

2 The second question is that this will be
3 highly exploratory. I don't know how to interpret
4 that, but still, has the FDA done any secondary
5 analysis that if we look at the differences in
6 those with and without tafamidis, what proportion
7 of patients -- if they were on tafamidis in this
8 study -- in that study might not have reached the
9 primary endpoint?

10 DR. SENATORE: Thank you,
11 Dr. Thadhani [sic]. I'll call Dr. Stockbridge to
12 respond.

13 DR. STOCKBRIDGE: Yes. So we didn't set a
14 cap on tafamidis use. The sponsor set a cap on
15 tafamidis use, and we thought it was not
16 unreasonable. I think there was reasonable concern
17 that perhaps this wouldn't -- as you might expect
18 from the mechanism, this might not add to
19 tafamidis. So driving down the event rates and
20 with the symptom improvement that you got with
21 tafamidis, it would be hard to show a treatment
22 effect.

1 So I think the restriction that the sponsor
2 did was reasonable and still gave us some
3 opportunity to see whether or not the effects in
4 the patients on tafamidis trended favorably, and
5 depending on where you look, they either did or
6 didn't. But that's where we got it. It was not us
7 setting a cap.

8 DR. BUTLER: Great. Thank you,
9 Dr. Stockbridge.

10 We will open the rest of the session both
11 for clarifying questions to the FDA or the
12 applicant. And also I would like to give the
13 opportunity to the applicant, that there were three
14 questions for which they were going to look at the
15 data during the break and come back after lunch.
16 But just in case, if they have located those data
17 and they want to take the opportunity at this time
18 to present those, we can accept that as well.

19 But let me now move on to Dr. Roy-Chaudhury
20 for his question.

21 DR. ROY-CHAUDHURY: Yes. Thanks,
22 Dr. Butler. This is a question to the FDA group.

1 I guess it's maybe a little bit of a philosophical
2 question, but could the FDA comment on what they
3 think about the durability of the effect in the
4 treated group versus what seems to be an inexorable
5 decline in the non-treated group as it comes out
6 from the open label study, and whether that is of
7 importance, particularly when you're thinking from
8 the patient perspective? If you say that 14 meters
9 becomes 28 and then becomes 42 over a period of
10 time, it could be quite important to patients.

11 DR. SENATORE: To clarify your question, are
12 you asking about the open-label extension and the
13 possible effect?

14 DR. ROY-CHAUDHURY: Yes, I am, and whether
15 that factors in, in any way. And the answer may
16 well be we're just looking at the primary endpoint.

17 DR. SENATORE: If I could call upon
18 Dr. Adigun to come up here and respond to your
19 question.

20 DR. ADIGUN: Thank you for that question.
21 Dr. Adigun here, clinical reviewer, DCN. I do
22 appreciate the question. The challenge now in the

1 open-label extension phase is we have to remember
2 that more patients are now on tafamidis, and it
3 becomes difficult because now there is potential
4 for bias as we're looking at this patient
5 population, so to be able to make a conclusion at
6 this point in the open-label extension phase
7 becomes more difficult. I do understand the fact
8 that you do see some durability of effect, but
9 there are other also potential confounders that
10 could influence what we're seeing in the open-label
11 extension phase.

12 DR. ROY-CHAUDHURY: That's actually, I
13 think, very important information for me. Just as
14 a follow-up, then, do we have an idea of the
15 tafamidis penetration, if you will, in the
16 open-label phase?

17 DR. ADIGUN: Maybe the applicant can give us
18 a better idea of how many patients are now on
19 tafamidis since the open-label extension phase
20 started.

21 MR. SLUGG: Yes. Thanks very much,
22 Dr. Adigun. This is Andrew Slugg from Alnylam. We

1 do have those data regarding the patients who
2 dropped in on tafamidis during both the
3 double-blind and placebo-controlled period, and we
4 show those data here. Sorry. I had to transfer
5 back over to us, and we'll share them with you.

6 The number of patients, as you can see here,
7 is depicted. The first row is the number of
8 patients who have dropped in on tafamidis during
9 the placebo-controlled period, and the second row
10 is either the placebo-controlled or open-label
11 period, relatively low, only six on patisiran
12 during the entire 24 month period and only 10 on
13 placebo. Of course, half of that treatment period
14 is patients rolling over onto patisiran after
15 treatment.

16 DR. BUTLER: Thank you.

17 MR. SLUGG: We can also address some of the
18 agency's prior comments. We don't have all of the
19 information available, but there was a question I
20 think from Dr. Cella regarding the number of
21 patients -- forgive me if that's wrong -- and the
22 different threshold analyses we performed, the

1 KCCQ. So we do have that information here, and we
2 can have that presented, at least orally, while we
3 prepare a slide for you that would help the
4 committee.

5 DR. BUTLER: Certainly. Please go ahead.

6 MR. SLUGG: Sure. We have these data. We
7 can have Dr. Spertus kind of walk you through these
8 data and now present them to you.

9 DR. SPERTUS: Thank you very much.

10 Dr. Cella asked for the number of patients in the
11 different groups and what the distribution looked
12 like. Is it possible to share this with you? We
13 will be sharing this with you later, but we
14 actually do have the number of patients across the
15 different categories, and we also have it divided
16 in increments of 5 to 10 and greater than 10, so
17 that we can provide the greater granularity
18 requested.

19 In summary, since you can't see the data
20 directly, there's a very comparable relationship,
21 is what we showed, looking at 5 points or lower,
22 with about 42 patients in patisiran and 33 patients

1 in placebo, representing 24 percent and 19 percent
2 of the population, increasing by greater than
3 10 points -- increasing by greater than 5 points
4 mirrors what we showed you before. Decreasing by
5 5 points, or dying, was reflected in 64 of the
6 patients treated with patisiran and 76 percent of
7 the patients treated with placebo; and then
8 declining by 10 points or greater, or dying, was in
9 45 patients in patisiran and 56 patients in
10 placebo, representing 25 percent and 32 percent of
11 the population.

12 I also wanted to address Dr. Morell's
13 comment about whether a 5-point difference was
14 clinically meaningful or not. In the article, we
15 argued -- and this is a 2005 publication in the
16 American Heart Journal describing what's a
17 clinically important difference, and we did
18 emphasize using the clinician's assessment of
19 change, with the argument that the patient's voice
20 was captured in the KCCQ itself, and that the
21 physician, having seen many, many patients over
22 time, would have a more reproducible assessment.

1 We did, however, publish the data across all
2 of the scales, describing from the patient's
3 perspective and the physician's perspective what a
4 clinically important change is, and from the
5 patient's perspective, a small but clinically
6 important improvement or deterioration tends to be
7 smaller than that of the clinician. So the mean
8 change in the KCCQ of patients who reported that
9 they themselves had a small but clinically
10 important improvement was only 1 point, and a
11 deterioration was only 2.9 points. And I'd be
12 happy to provide the FDA that article, which was in
13 the Journal of Quality of Life. Thank you very
14 much.

15 DR. BUTLER: Thank you, Dr. Spertus.

16 May I request Dr. O'Connor to ask his
17 question?

18 DR. O'CONNOR: Yes. Could the sponsor pull
19 up slide 8? I'm still confused by my COVID
20 question. The clinical trials that we participated
21 in, many heart failure trials, we went into
22 lockdown in March of 2020 for about a year, and we

1 converted our 6-minute walk assessments and KCCQ
2 assessments to home-based or tele-based methods.
3 It looks like the majority of your enrollment
4 occurred when the U.S. was locked down. Was there
5 no interruption in the assessment of your endpoints
6 because of the COVID pandemic? I think that's how
7 you responded to my question.

8 MR. SLUGG: Yes, Dr. O'Connor. Your
9 understanding is correct. There was no impact on
10 the assessments due to COVID. We did take measures
11 to ensure, especially for this rare disease, we're
12 able to get patients into the clinic using the
13 validated course, along with using the validated
14 instructions that were established at the beginning
15 of the trial.

16 DR. O'CONNOR: Okay. I'm impressed how you
17 were able to limit the drop-in of tafamidis
18 post-randomization, given that it was approved and
19 it was a guideline, 1A recommendation with such
20 strong clinical implications. How did you do that?

21 MR. SLUGG: The drop-in was not at all
22 limited by the sponsor. In fact, every informed

1 consent the patients signed in this trial were
2 informed that there was an approved therapy that
3 was shown to be safe and effective and was approved
4 for the slowing of congestive heart failure and
5 that they had a mortality benefit. So these
6 patients were informed of the availability of
7 tafamidis. If it was available in their territory,
8 it was part of the informed consent process, and
9 they were consulted as part of the entry into the
10 study. So all patients were made very much aware
11 of the availability of tafamidis, yet still decided
12 to participate in this trial.

13 DR. BUTLER: Thank you, Dr. O'Connor.

14 May I request Dr. Thadhani to ask his
15 question?

16 DR. THADHANI: Thank you, Dr. Butler. This
17 is a question for the sponsor. It's two questions
18 in particular, and that is the validity of TTR
19 levels as a potential surrogate here.

20 Does the sponsor believe that TTR levels are
21 an appropriate surrogate? And if so, among the
22 individuals that did not appear to respond, at

1 least from the forest plot, were levels modified?
2 They seem to have gone down in almost all patients,
3 and yet some patients obviously through subgroup
4 analysis did not appear to respond, so if they
5 could comment on that.

6 The related follow-up question, then, is,
7 was there any evidence that the sponsor has
8 performed by way of analyses, biological or
9 clinical, that there may be an added benefit of
10 tafamidis and patisiran? And I understand that if
11 it's biological, or at least by blood levels of
12 TTR, obviously then it relates to the first
13 question I asked; and if not, was there any
14 clinical additive benefit of the two? Thank you.

15 MR. SLUGG: Yes. Thank you. So your
16 questions relate to TTR reduction magnitude, the
17 use of TTR as a surrogate, as well as any kind of
18 biological correlation between the two. I'll
19 invite my colleague, Dr. Vest, to address your
20 question, but first, over 90 percent of patients
21 received 75 percent or greater knockdown in TTR, so
22 the drug is very robust as far as having consistent

1 deep and durable knockdown in patients with TTR
2 amyloidosis. But let me have my colleague,
3 Dr. Vest, address the rest of your question.

4 DR. THADHANI: Thank you.

5 DR. VEST: John Vest, Alnylam. As Dr. Slugg
6 just indicated, we see near maximal TTR reduction
7 of more than 5 percent in most patients, so it's a
8 very narrow dynamic range for TTR reduction, and in
9 that context, it's very difficult to discern the
10 relationship between the magnitude of TTR
11 suppression and clinical efficacy. The clinical
12 efficacy endpoints are more dynamic, and they're
13 impacted by numerous factors: disease severity,
14 the age of the patient, the duration of treatment,
15 et cetera, so it's really impossible to say for any
16 given patient, for example, that that TTR reduction
17 that they experienced on the study, it didn't lead
18 to a clinical benefit. We just don't know where
19 they were on their trajectory of decline, and we
20 don't have an appropriate control for the
21 individual patient to know what would have happened
22 to them in the absence of treatment.

1 But based on the randomized comparison here,
2 we see that the pharmacodynamic effect shows a
3 benefit compared to placebo, which gives us the
4 confidence that the drug is working by its
5 mechanism of action, particularly because we've now
6 seen this very consistently across two studies,
7 both APOLLO-B and the original APOLLO study, where
8 profound suppression of transthyretin has resulted
9 in benefits on function, quality of life, cardiac
10 laboratory parameters, echocardiographic
11 parameters, all of which are very consistent
12 between the two studies.

13 DR. THADHANI: And just to clarify,
14 Dr. Butler, if I might, so therefore, you can't
15 really use TTR, just given the complete knockdown,
16 to demonstrate any evidence of an additive benefit
17 of two agents over one per se. Then again to
18 clarify, there was no clinical additive benefit of
19 the two agents as evidenced by some of the measures
20 you've shown. There was no analyses you've
21 performed that demonstrates adding two agents to
22 any patient would benefit them one over the other.

1 MR. SLUGG: Sure. Let me ask my colleague,
2 Dr. Vest, to come back and address your question.

3 DR. VEST: John Vest, Alnylam. So we don't
4 have anything that we can measure with tafamidis to
5 assess its pharmacodynamic effect, so we're left
6 with TTR reduction. There certainly, conceptually,
7 biologically, a rationale to think you'll be
8 suppressed on average by 85 percent or more, but
9 that means there is 10 or 15 percent of
10 transthyretin that may well benefit from being
11 stabilized. So there's a sound biological
12 rationale to think that the two would work
13 synergistically.

14 Now with regard to the other part of your
15 question about have we done any other analyses to
16 look for this additive benefit, there's nothing, as
17 I said, that we can do biochemically because we
18 don't have anything to measure with tafamidis.
19 Clinically, we shared with you previously, across
20 our endpoint structure, when we look at the
21 outcomes, we do see favorable trends with
22 background tafamidis, but I would like to pass this

1 over to Dr. Witteles to speak further on the topic.

2 DR. WITTELES: Thank you. Ron Witteles.
3 Thanks for that question. I would first emphasize
4 that, of course, this trial was not designed to
5 look at tafamidis plus patisiran. It's an
6 important question, I think, if this drug is
7 available, of how to use it. But ultimately, when
8 I look at this trial, I look at it in the context
9 of what did patisiran do for patients in the trial.
10 Was it clinically meaningful? And to me, across
11 these variety of metrics, it was.

12 Now, the question on the biologic
13 plausibility, certainly that is very much there.
14 Of course, tafamidis works as a stabilizer.
15 Stabilizing actually raises transthyretin levels,
16 and then the silencer of course is going to knock
17 them down. They're orthogonal methods of action,
18 and it's something that as a clinician, I would
19 like the opportunity -- for two very safe and, I
20 believe, two very effective drugs -- to be able to
21 have that conversation with the patient about the
22 risks and benefits of two drugs that work

1 differently but are both safe and effective for the
2 disease.

3 DR. THADHANI: Thank you, and thank you,
4 Dr. Butler. That ends my questions.

5 DR. BUTLER: Thank you, Dr. Thadhani.
6 Dr. Roy-Chaudhury?

7 DR. ROY-CHAUDHURY: Yes. Thank you,
8 Dr. Butler. This is a question to the clinicians
9 on the sponsor's side, and I would be very
10 interested in having you all describe wearing an
11 objective and as scientific hat as possible. I
12 know we'll be hearing from patients later on, I'm
13 presuming, but the question is, why do you think a
14 6-minute test of 14.7 meters with a baseline of 364
15 and a KCCQ of 3.7 from a baseline of 70 is, in
16 fact, clinically meaningful, based on your
17 experience over many years taking care of these
18 patients?

19 MR. SLUGG: Thank you for your question,
20 Dr. Roy-Chaudhury. Let me turn it over to
21 Dr. Witteles to address your question.

22 DR. WITTELES: Ron Witteles. Thank you,

1 because that question really gets to the heart of
2 the matter, I think. A number of us have used the
3 term "inexorable decline," and I use that term very
4 deliberately in this disease.

5 I remember when I saw the ATTR-ACT data
6 first, and two things struck me. One, it was great
7 to have a therapy for the disease; two was how
8 consistent and linear that decline was in the
9 placebo arm. This absolutely matches the years of
10 experience I have treating patients with this
11 disease, both before any therapies were available
12 and after, where we've slowed the decline but not
13 stopped it.

14 What that means to me, and when we look at
15 the OLE data -- you can see it more; in fact, I'll
16 pull this up -- that dashed line we expect to
17 continue. And again, if you look at the ATTR-ACT
18 data, we see the exact same thing, just with a
19 steeper slope because it was done in an earlier era
20 when patients were diagnosed later, but the
21 consistency of decline is absolutely there.

22 What you see, of course, in the OLE is that

1 that stabilizes. Similarly, we see the same sort
2 of thing with biomarker data. So when I see these
3 12-month endpoints of 15 meters and some odd
4 difference, A, I do think that is clinically
5 meaningful to patients, but, B, I know it's
6 clinically meaningful when at 24 months I have
7 every expectation that now it's 30 meters, and at
8 36 months, that gap is going to widen further, and
9 so on and so forth.

10 The other thing I'd say when I look at this
11 is that everyone who works in this space, one thing
12 that they will consistently say is these parameters
13 follow one another, and we see this from this trial
14 and from other trial data. When you see NT-proBNP
15 consistently being benefited, when you see troponin
16 consistently being benefited, when you see KCCQ,
17 when you see 6-minute walk, those hard outcomes I
18 have every reason to believe would come the longer
19 we follow patients. Recall that in ATTR-ACT, you
20 wouldn't have seen the hard outcome difference at
21 12 months either. In fact, I would say we have
22 more of a signal when I look at it here than you

1 would have seen at 12 months in ATTR-ACT.

2 So I think as I look at this data as a
3 clinician, if I'm talking to a patient with a
4 disease who's going to have a slope like this, and
5 we can pretty clearly flatten that slope to what is
6 really the age-related decline, that's something
7 that matters, and I'm going to expect to only grow
8 larger with time. Thank you.

9 DR. ROY-CHAUDHURY: Can I just ask a couple
10 of very quick follow-up questions, if I may,
11 Dr. Butler?

12 DR. BUTLER: Yes, please.

13 DR. ROY-CHAUDHURY: The first is, as
14 technetium scanning becomes more and more widely
15 used, the slope of that inexorable decline is going
16 to become less and less. Does that factor into
17 your earlier comments? Is 12 months really too
18 small now to support the sort of use that I'm
19 presuming you're anticipating?

20 DR. WITTELES: Ron Witteles. Thank you.
21 It's a great question. I think the slope is
22 smaller, but the relative benefit is not. So what

1 this trial clearly showed was, for the reason you
2 cited about the technetium scanning, as well as
3 just increased awareness of the disease,
4 thankfully, the overall prognosis in this disease
5 is better than it was at the time of ATTR-ACT.
6 There's no question, and this matches clinical
7 experience. However, the fact that it is an
8 inexorable decline is not in question, and the data
9 here shows that, and other contemporary trial data
10 has shown the same thing.

11 So the fact that we can slow this decline by
12 depending on the outcome that you're looking at, 60
13 and some odd percent, is absolutely really
14 clinically meaningful, and with patients living
15 longer, we expect that as you have curves diverging
16 like this, those benefits are only going to
17 accumulate and matter more over time.

18 DR. ROY-CHAUDHURY: Dr. Butler, if I may.
19 This is very quick.

20 You mentioned a couple of areas where you,
21 at a clinical level, would really like to use the
22 combinations of people who haven't responded to

1 tafamidis, people with polyneuropathy and
2 cardiomyopathy. Could you just expand on that?
3 Are these the only two groups? Are there other
4 groups that you think could benefit? Is there a
5 patient that you really, really want to use this
6 combination in your practice, and who is that
7 patient?

8 I will stop there, and thank you,
9 Dr. Butler, for allowing me to ask these questions.

10 DR. WITTELES: Ron Witteles. Thank you for
11 that question. Yes, I think that there are
12 multiple patients. Again, there's the first-line
13 monotherapy for patients who have neuropathy and
14 cardiomyopathy. This makes all the sense in the
15 world. We have a drug that is clearly beneficial
16 for both sides of the disease. But the second one
17 I think is probably the one that would be most
18 meaningful to many of my patients, which is
19 patients who are on the only FDA-approved therapy
20 right now, which is tafamidis, who have progressed,
21 and there are a lot of these patients. I can't
22 tell you how often I get the question, "Okay."

1 Well, what do I do if I get worse or I feel worse?
2 What now?" And up until now, other than clinical
3 trials that people can enroll in, the answer was,
4 "Nothing." We didn't have something.

5 Well, now we have something that is clearly
6 different, it works differently, and it's clearly
7 safe, and we have, in my mind, clear efficacy data.
8 So to deny a patient the opportunity to switch from
9 an agent that they're progressing on to this safe
10 and effective alternative therapy that works
11 completely differently, to me, I would feel would
12 be a real loss for the patients. I think the
13 add-on to tafamidis is tougher, and I think
14 everybody would acknowledge we don't have the data
15 one way or the other, and yet it can theoretically
16 make sense. But to be able to offer this to
17 patients as a switch or to first line, if they have
18 the mixed phenotype, makes a lot of sense.

19 The last point I'll make is that, as I
20 mentioned before, to me, this is one disease. This
21 is a disease of a transthyretin protein that
22 misfolds and deposits in one tissue or the other.

1 And if I was a patient looking at the full totality
2 of the data in the disease, and I know that the
3 silencer in patisiran has been so much more
4 effective for neuropathy than tafamidis was in its
5 neuropathy trial, and I realize this is really one
6 disease, again, I would like the opportunity to
7 have the option of saying they are both effective
8 in cardiomyopathy, but when I look at the totality
9 of the disease, I actually think I want to try this
10 one first. Thank you.

11 DR. BUTLER: Thank you, Dr. Witteles.

12 Dr. Bairey Merz?

13 DR. BAIREY MERZ: Thank you, Dr. Butler.

14 Dr. Stockbridge's comment prompts another pretrial
15 discussion question. Was there a discussion about
16 what would be a lower bound of a clinically
17 relevant change in a 6-minute walk test? Academics
18 in a systematic review suggest that the range of
19 minimal benefit would be 14-to-30.5 meters. Was
20 this discussed at all, a lower limit of what might
21 be considered a clinically relevant 6-minute walk
22 test? Thank you, and any FDA person. Thank you.

1 MR. SLUGG: Sorry, Dr. Merz. Was that a
2 question to the agency?

3 DR. BAIREY MERZ: To the agency. Thank you.

4 DR. SENATORE: Yes, I could initiate the
5 comment that there was no previous discussion. But
6 I would like to circle back the slide that was
7 shown with regard to the extension and the dashed
8 line showing progression of disease. We have a
9 comment about that, and I would call on
10 Dr. Stockbridge to come to provide that comment.

11 DR. STOCKBRIDGE: Yes. Could we have slide
12 CO-77 back up? I just wanted to comment that it's
13 really fortunate that we had the 12-month data to
14 drive that dashed line through. If you were forced
15 to drive that line through the points at 6 months
16 and 9 months, you wouldn't have been able to
17 conclude that there was any leveling off of the
18 treatment effect after month 12 at all.

19 DR. BUTLER: Thank you, Dr. Stockbridge.

20 Dr. Bairey Merz, do you have any follow-up
21 questions?

22 DR. BAIREY MERZ: That actually

1 didn't -- let me clarify. And again, it's to the
2 agency, and maybe I did hear the answer. There was
3 no discussion of what would be a clinically
4 relevant minimal change for lower bound on the
5 6-minute walk test. There was no pre-discussion
6 about that.

7 DR. SENATORE: That is correct. There was
8 no pre-discussion about that. We simply stated
9 that we would like to see a clinically meaningful
10 benefit. What we did not mention, what we have in
11 mind, are things like 30 meters, what was shown in
12 tafamidis and in other clinical trials where
13 6-minute walking distance was the primary endpoint.

14 DR. BAIREY MERZ: Thank you, Dr. Butler.

15 DR. BUTLER: Thank you, Dr. Bairey Merz.

16 Dr. Peterson?

17 DR. PETERSON: Yes. This is a follow-up to
18 the sponsor with regards to the design, and it gets
19 at the last question by Dr. Bairey Merz. The
20 sample size calculations for the study when it was
21 originally designed predisposed, at least according
22 to the FDA comments, a larger effect size for both

1 the 6-minute walk and the change in its primary
2 secondary outcome.

3 Do you want to comment? Do you have any
4 rationale, or reasons, or explanations that you
5 would want to put forth, hypotheses, about why the
6 effect size seen in the actual study was less
7 significant than you had anticipated in the study
8 design in terms of the sample size?

9 MR. SLUGG: Yes. Let me have my colleague,
10 Dr. Vest, address your question.

11 DR. VEST: John Vest, Alnylam. So when we
12 see, for both 6-minute walk test and KCCQ, that we
13 are achieving stability, or relative stability, the
14 magnitude of the effect is entirely determined by
15 the decline on the placebo arm. And for the
16 patients that came into this study -- as we
17 highlighted during the presentation, and as
18 Dr. Witteles has talked about, and Dr. Berk, in
19 their clinical experience -- the patients are just
20 not as advanced in their disease now as they were
21 in the era of ATTR-ACT, and this is highlighted
22 both in the baseline characteristics of these

1 patients.

2 We can see here, in New York Heart
3 Association III, 7 percent of patients versus 35
4 percent on ATTR-ACT, and they're over 1000 nanogram
5 per liter difference in NT-proBNP. But really more
6 importantly, as illustrated by the relative decline
7 on the two placebo arms, which is shown at the
8 bottom of the figure, and then will show
9 graphically here, the decline on the APOLLO-B
10 placebo arm, as you can see, we're comparing means
11 29 meters versus the precipitous decline of almost
12 60 meters on the ATTR-ACT study. So our treatment
13 effect is bound by that placebo decline when we've
14 achieved the very high bar, clinically, of
15 maintaining stability in these patients.

16 So that would be my response with regard to
17 the magnitude of effect. I'd also just like to
18 show the same message with KCCQ, which we're
19 showing here. Again, we saw there was a
20 precipitous decline on ATTR-ACT in these very
21 advanced patients of 10 points, whereas the
22 patients in APOLLO-B, who are just earlier in the

1 disease course, had a decline of 3.4 points, but
2 the same message. We achieved stability, so that
3 bound the magnitude of the effect that we could
4 demonstrate.

5 DR. PETERSON: Sorry. If I could have just
6 a quick follow-up, the first of which is that I
7 think you got into the study the patients of the
8 appropriate class that you wanted to in your
9 design, and you knew the data of where they were
10 and the status of their disease when you designed
11 the study. You targeted it towards earlier
12 patients. And then number two is, at least the
13 data we have so far in the subgroup analysis of
14 that small sample size, there wasn't an effect
15 differential by the degree of severity of the
16 patients entering this study, so it doesn't
17 necessarily track that the treatment effects you're
18 seeing are less treatment effects, much smaller
19 treatment effects, and you imagined in your sample
20 size would carry through.

21 DR. VEST: I want to make sure I understand
22 the -- could I just ask you to clarify the

1 question? I'm sorry.

2 DR. PETERSON: Sure. First, when you did
3 your sample size calculations, you didn't base them
4 truly on ATTR-ACT only because you had an idea, the
5 fact that you were going to target people who were
6 in less severe disease state and earlier in the
7 disease state than in the prior trial. So that
8 would just mean you should have potentially
9 anticipated a smaller delta in therapy at 12 months
10 in the placebo arm.

11 The second idea was that if you're saying
12 it's because you had your class of I and II
13 patients, then you should have seen a slightly
14 smaller treatment effect in those populations. You
15 don't see that in this study. If anything, the
16 treatment effects are at least as big in that
17 population, if not bigger, than seen in the people
18 in New York Heart Association class III, if I
19 recall the data.

20 DR. VEST: Yes. I'm going to pass this over
21 to Dr. Silliman, who's going to help to address
22 your question.

1 DR. SILLIMAN: Nancy Silliman, Alnylam.
2 Just to clarify, in ATTR-ACT, they saw a similar
3 decline in the placebo for NYHA class I-II versus
4 class III, so we did design our patient population
5 to be healthier because we needed them to be able
6 to -- well, we were hoping they could do the
7 6-minute walk test at month 12. But we did use the
8 information that we had from ATTR-ACT, so we were
9 expecting the larger absolute difference, and then
10 it's really just the change in the patient
11 population that we've been talking about. But
12 importantly, we do see a very similar relative
13 effect.

14 DR. BUTLER: Dr. Peterson, any further
15 clarifications?

16 DR. PETERSON: No further questions. Thank
17 you.

18 DR. BUTLER: Great. Well, thank you very
19 much.

20 So we are almost at the lunch time, actually
21 a few minutes over. I would like to give the
22 applicant an opportunity -- there was one more

1 question regarding the biological effects,
2 especially BNP by tafamidis and no tafamidis. I'm
3 going to assume that you don't have that data just
4 yet, and we can look at it after lunch, but in case
5 if you have it, we can present it now.

6 MR. SLUGG: Yes. We have the data. We're
7 unable to share it at this point to you, but there
8 was, I think, a prior comment around the relative
9 percent reduction of the patients over time, and we
10 do have some information relative to the 10 percent
11 change relative to baseline, because a lot of the
12 questions were circulating around what the
13 proportion of change is relative to the baseline,
14 and if that's meaningful. And we have the analyses
15 that have shown the change relative to the patient
16 baseline, which might be informative to the
17 discussions that have been had here today, and
18 Dr. Vest can walk you through those.

19 DR. BUTLER: Let's take two quick minutes to
20 do that, and then we'll need to break for lunch.

21 MR. SLUGG: Thank you.

22 DR. VEST: John Vest, Alnylam. Yes, to

1 address the issue around the percent change from
2 baseline, we did look at thresholds of change from
3 baseline, and what prompted this analysis was a
4 2022 consensus statement in the Journal of the
5 American College of Cardiology Heart Failure that
6 included academics from both the U.S. and Europe,
7 the FDA, and other stakeholders. It was suggested
8 in there that a 10 percent change from baseline,
9 which inherently accounts for each individual
10 patient's baseline, could be clinically meaningful.
11 So we considered that as a threshold, and I'm
12 showing that here.

13 As you can see, it's consistent with the
14 data we showed during the core, and we see that the
15 best results are more common in patisiran patients
16 who improved by that threshold, and the worse
17 results are more common in placebo patients who
18 declined by that threshold, which the consensus
19 statement suggested should be considered as
20 clinically meaningful.

21 DR. BUTLER: Well, thank you very much.

22 We will conclude this session at this point,

1 and we will now break for lunch. We will reconvene
2 at 1:30 pm Eastern Time. Panel members, please
3 remember that there should be no chatting or
4 discussion of the meeting topics with other panel
5 members during the lunch break. Additionally, you
6 should plan to reconvene at 1:20 to ensure that
7 you're connected before we reconvene at 1:30 pm.
8 Thank you very much.

9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:30 p.m.)

Open Public Hearing

DR. BUTLER: Welcome back. It is 130, and we will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationships that you may have with the applicant. For example, this financial information may include the applicant's payment for your travel, lodging, or other expenses in connection with your participation in this meeting.

Likewise, FDA encourages you, at the

1 beginning of your statement, to advise the
2 committee if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your statement, it will not preclude you from
6 speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them.

12 That said, in many instances and for many
13 topics, there will be a variety of opinions. One
14 of our goals for today is for this open public
15 hearing to be conducted in a fair and open way,
16 where every participant is listened to carefully
17 and treated with dignity, courtesy, and respect.
18 Therefore, please speak only when recognized by the
19 chairperson. Thank you for your cooperation.
20 Also, we have many who will be speaking at the open
21 public hearing, and each speaker will have
22 3 minutes. If at the end of your 3 minutes time,

1 if I interrupt, please realize that no disrespect
2 is intended.

3 With that speaker number 1, please unmute
4 and turn on your webcam. Please introduce
5 yourself. State your name and any organization
6 you're representing for the record. You have
7 3 minutes.

8 MS. FINDEL: Thank you. My name is Muriel
9 Finkel. I have no financial disclosure. I am
10 founder and president of Amyloidosis Support
11 Groups. We are a 501(c)(3) nonprofit and have been
12 dedicated to the education, empowerment, and
13 support of amyloidosis patients and their loved
14 ones since 2005. We meet in 30 cities, and with
15 the help of amyloidosis physicians have helped more
16 than 8,000 amyloidosis patients and caregivers at
17 our in-person meetings and webinars.

18 ONPATTRO was approved for hereditary ATTR
19 with polyneuropathy in 2018, and our patients with
20 ATTR with polyneuropathy tell us that ONPATTRO has
21 been a game-changer for them. Many watched a
22 parent become disabled from amyloidosis before

1 there were treatments available. They witnessed
2 their neuropathy forced them from canes, to
3 walkers, to wheelchairs, and their bowel and
4 stomach issues forced them into diapers and
5 agoraphobia. Many saw their loved ones waste away
6 to a painful and undignified death.

7 We do have one treatment that is approved
8 for ATTR cardiomyopathy, tafamidis, also known as
9 Vyndaqel and Vyndamax. It is a pill which is
10 covered under Medicare Part D as in David. Since
11 most patients with wild-type form of ATTR are over
12 65, Medicare coverage plays a huge part in their
13 ability to access necessary medications. As you
14 likely know, there is no out-of-pocket maximum in
15 Medicare Part D, and even 5 percent of this
16 medication is a huge out-of-pocket expense. There
17 is help for those meeting the various poverty level
18 guidelines, but for many retired individuals, the
19 cost can be \$2,000 a month or more. Some patients
20 have told us they would rather opt for no treatment
21 rather than put their families in financial ruin.

22 Today we are asking you to approve ONPATPRO

1 for the many ATTR patients who are suffering with a
2 cardiomyopathy. ONPATTRO is administered by
3 infusion and would be covered under Medicare Part B
4 as in boy. This would mean that patients can be
5 protected by their Medigap plan or the
6 out-of-pocket maximum and Medicare Advantage Part C
7 plans. If approved, ONPATTRO would provide an
8 option to those patients and their physicians who
9 do not wish to prescribe tafamidis.

10 We do have ATTR patients with cardiomyopathy
11 already on ONPATTRO. Some are on clinical trials.
12 Some were diagnosed with hereditary ATTR with
13 polyneuropathy prior to their cardiomyopathy being
14 diagnosed. They have told us how lucky they feel
15 to have been in on the ground floor of what they
16 feel is a life-changing treatment.

17 Without a neuropathy diagnosis, insurance
18 companies will not now cover ONPATTRO. Our cardiac
19 ATTR patients need this treatment. They don't need
20 more barriers. They've broken down the largest
21 barrier of all, the barrier to get a diagnosis.
22 The next step should be access to all treatments

1 that might extend their life and improve their
2 quality of life while allowing them to avoid
3 financial ruin. Please approve ONPATTRO for ATTR
4 with cardiomyopathy. Thank you.

5 DR. BUTLER: Thank you very much.

6 Speaker number 2, please unmute and turn on
7 your webcam and introduce yourself. Please state
8 your name and any organization you're representing
9 for our record. You have 3 minutes.

10 MS. BOEDICKER: I'd like to thank the
11 committee for the opportunity to speak today. I
12 have no financial disclosure to report. My name is
13 Deborah Boedicker, and I am here on behalf of
14 Mackenzie's Mission, a nonprofit whose mission is
15 to make a difference in the fight against
16 amyloidosis.

17 My daughter, Mackenzie, was diagnosed with
18 amyloidosis 6 years ago at age 23. At that time,
19 there were no FDA-approved treatments, and the
20 universe of knowledgeable healthcare experts was
21 limited, and the life expectancy was
22 12-to-18 months post-diagnosis. There was a

1 massive unmet need for patient care. Since then,
2 Mackenzie's Mission has developed an educational
3 initiative where we work with amyloidosis
4 cardiomyopathy patients who serve as patient
5 educators to raise awareness within the healthcare
6 community, thereby accelerating diagnosis and the
7 start of treatment, leading to improved patient
8 lives.

9 Cardiomyopathy has a life-changing impact on
10 patient's lives, and this can be seen in many ways,
11 so I offer just four examples. One, extreme
12 fatigue, to the point where patients have
13 difficulty walking from the bedroom to the
14 bathroom, traversing the aisles of the grocery
15 store, or up a flight of stairs, and this disease
16 impairs everyday tasks that most of us take for
17 granted;

18 Two, the inability to be active, such as
19 riding a bike or walking, patients lose the ability
20 to participate in a physically active life;

21 Three, emotional toll. Patients with
22 cardiomyopathy are acutely aware that their heart

1 doesn't function properly, a fear which imposes an
2 exhaustive, depressing, and invisible burden on
3 their mental health;

4 Number four, life revolves around their
5 disease. Patients' lives are no longer driven by
6 normal living but by their disease. Between
7 treatment, recovery, testing, and meetings with
8 their healthcare team, life must adjust to
9 accommodate their disease journey.

10 So while there is an approved treatment,
11 that is not enough for healthcare providers to meet
12 the broad spectrum of amyloidosis cardiomyopathy
13 patient needs. Patients are seeking more treatment
14 options, options that can work with their lives
15 today and ease the impact of this disease. This
16 disease is a journey for patients that has no cure.
17 The availability of more approved treatments like
18 the one you are reviewing today could alter their
19 course of their patient journey in a much improved
20 way. Thank you.

21 DR. BUTLER: Thank you very much.

22 Speaker number 3, please unmute and turn on

1 your webcam and introduce yourself. Please state
2 your name and any organization you're representing
3 for the record. You have 3 minutes.

4 DR. SARSWAT: My name is Nitasha Sarswat.
5 I'm an advanced heart failure and transplant
6 cardiologist at the University of Chicago and
7 NorthShore Hospital Systems. I have a particular
8 interest and passion for amyloidosis. I've been
9 practicing advanced heart failure for about
10 10 years, and started the amyloid program when I
11 came to University of Chicago in 2015, though I've
12 been heavily involved in the field prior to that.
13 I've been involved in many of the TTR clinical
14 trials through the years, including registries and
15 stabilizers, and siRNA therapies.

16 While we know that this drug has already
17 been approved for hereditary neuropathy, I strongly
18 believe that this is all one disease regardless of
19 whether the manifestation is cardiac or neurologic.
20 We have already seen a significant improvement in
21 our patients' lives with neuropathy alone and in
22 those with both cardiomyopathy and neuropathy. I'm

1 also involved in the amyloidosis support group and
2 hear from patients all over the country, not just
3 in Chicagoland. As an amyloidosis specialist, both
4 the patients and I are really excited to have
5 another way to treat this disease that will improve
6 their quality of life.

7 Additionally, as a cardiologist, the idea
8 that the drug actually improves blood flow to the
9 body and potentially end-organ function is a very
10 exciting one. These patients want to be able to
11 run after their grandchildren and go for walks. We
12 need another treatment for patients to attack this
13 disease from the initial point, as this disease
14 causes such morbidity and mortality.

15 I've had several patients in the APOLLO-B
16 trial and in the early access patisiran trial that
17 have really attributed their ability to go on
18 vacations, stay out of the hospital, and be more
19 active. I have a particular 74-year-old patient,
20 male, who was having trouble visiting his wife in a
21 rehab facility after her hip fracture. Once he
22 started the early access program, he's been able to

1 make it there. He's been able to walk, see her,
2 and enjoy their life together, and help her
3 recover.

4 This is the case for patients, in general,
5 who have all felt better on patisiran. Patisiran
6 has been generally well tolerated, and most
7 patients are very eager to be able to access the
8 medication. I have a list of patients that will be
9 calling me on October 8th, eagerly awaiting the FDA
10 decision. Thank you for your time.

11 DR. BUTLER: Thank you very much.

12 Will speaker number 4 please unmute and turn
13 on your webcam? Introduce yourself, including your
14 name and any organization that you're representing.
15 for the record. You have 3 minutes.

16 MR. GIGLIO: Good afternoon. My name is
17 Ozzie Giglio. I represent no organization other
18 than myself, and I have no financial disclosures or
19 relationships.

20 DR. BUTLER: If possible, can you turn on
21 your webcam? Thank you.

22 MR. GIGLIO: Thank you. I am 62 years old.

1 I spent over 30 years in the United States Navy,
2 and now retired. I deployed multiple times to
3 Iraq, Afghanistan, and numerous other places
4 throughout the globe. I've been a lifetime
5 athlete, a volunteer firefighter, and an avid
6 motorcycle enthusiast. My careers and hobbies
7 always demanded that I maintain the highest levels
8 of physical standards. I'm now the CEO of a family
9 business headquartered in Chicago, with operations
10 in multiple states and 400 plus employees. I have
11 a phenomenal bride of 23-plus years. We have two
12 beautiful children, twins, that are age 6. We
13 reside in a wonderful suburb of Chicago.

14 Unlike many other amyloidosis patients, I
15 discovered my amyloidosis in a rather random
16 fashion. In January of 2015, I went to the Mayo
17 for a two-day comprehensive physical exam. I had
18 no remarkable issues to speak of, other than an
19 ever slight decrease in my physical performance
20 levels. I was completely asymptomatic, or at least
21 that is how I perceived it. I received a PYP exam,
22 and in June of 2015 found out I had wild-type

1 amyloidosis. The doctors prescribed diflunisal,
2 which really only would be slowing my ultimate
3 early demise.

4 Over the next year or so, I could actually
5 feel a little bit of a decline. I became an avid
6 reader on the disease and attended a number of
7 sessions of the Amyloidosis Foundation, which were
8 always extremely informative. I believe it was
9 some time in 2017 I was placed on tafamidis and
10 remain on it today, and then about 30 months ago, I
11 was introduced and was able to get into a study for
12 patisiran.

13 My experience with patisiran has been
14 essentially seamless. I've never had any adverse
15 reaction to the drug, and because I'm very plugged
16 into my body and performance, I would argue
17 vehemently that the drug is working, and there's
18 without a doubt in my mind preventing any further
19 deterioration, and I believe it is actually
20 improving my performance levels. I cover at least
21 6 miles running, I do 400 stairs, and 400 pushups a
22 day. I do not feel fatigued or any other

1 significant symptoms.

2 Our family leads a generally normal life,
3 and together we try not to let this get us down,
4 but the disease and its impact on my heart does
5 impose a burden with visits to doctors and
6 attention to my battle with the disease. With
7 cardiac amyloidosis, you generally get a a short
8 five-year post-diagnosis life expectancy.
9 Historically, there have been little to no
10 treatments to prevent the disease from progressing
11 other than the extreme measures such as heart or
12 combined heart and liver transplants. With
13 patisiran, there could now be a drug available to
14 interdict the disease, and although not a cure
15 per se, my story shows the therapeutic impact it
16 can have to slow the progress of the disease.

17 So please remember my story and the others
18 you are hearing today as you consider the decision.
19 What this treatment means for me and other patients
20 who need this option is hope, promise, and most
21 importantly, time. Thank you for your time and
22 attention today.

1 DR. BUTLER: Thank you very much.

2 Will speaker number 4 [sic] please unmute
3 and turn on your webcam? Introduce yourself,
4 including your name and organization that you might
5 be representing for the record. You have
6 3 minutes.

7 (No response.)

8 Speaker number 5?

9 MR. MARKO: I'm here.

10 DR. BUTLER: Yes.

11 MR. MARKO: You said 4.

12 DR. BUTLER: My apologies.

13 MR. MARKO: Hello. My name is Steve Marko.

14 I'm 75 years old and married for the past 50 years
15 to my supportive wife, Susan. I have no financial
16 disclosure. I was diagnosed in 2015 by the Mayo
17 Clinic Lab through a heart biopsy and gene
18 sequencing evaluation that verified that I have
19 ATTR amyloidosis, wild type, with cardiomyopathy.

20 My symptoms began at age 57. In 2005, I had
21 4 trigger fingers, two on each hand. In 2006, I
22 had carpal tunnel on both hands. In 2007, I had

1 pericardial infusion, and in 2019, I developed
2 severe spinal stenosis, which were all alleviated
3 through surgical procedures. I've had progressive
4 neuropathy in both feet, multiple cardioversions to
5 alleviate atrial fabricated [sic], and constant
6 atrial fibrillation starting in 2019.

7 In 2019, for the doctors at Boston Medical
8 Center Amyloidosis Clinic, I was told that the
9 non-FDA approved drug, diflunisal, for the
10 treatment of amyloidosis that I had been taking
11 since 2015 was losing its effectiveness on me. In
12 August of 2020, I applied for and was accepted to
13 the APOLLO-B phase 3 clinical trial, and I've
14 committed to being a trial participant for four
15 years.

16 I was told this past year by my Boston
17 Medical Center study doctor, Dr. John Berk, that I
18 have actually been receiving the study drug,
19 patisiran, beginning with my first infusion in
20 September of 2020. I continue to receive my
21 infusions, and actually had one yesterday, and I
22 can tell you that I've never had an adverse

1 reaction to the study drug or the pre-meds in the
2 three years I've been in the trial, nor any issue
3 with the infusion physical process by the Boston
4 University School of Medicine Research Unit staff,
5 where I've had all infusions and evaluations.

6 I'm also very happy to share with you that
7 my physical activity has not declined for the three
8 years I've been in the clinical trial. I've
9 rejoined our fitness center in January of this year
10 after the COVID shut down, and go there 3-to-4
11 times per week, with elliptical cardio workouts at
12 60 minutes and approximately 2-and-a-half miles.
13 I've noticed improvement in climbing stairs and
14 consistency, and all eight of my 6-minute walk
15 tests are approximately 460 meters.

16 In July of 2021, I had an upper body EMG
17 evaluation conducted as a baseline evaluation and
18 had another upper body EMG evaluation in May 2023.
19 Results for the evaluation doctors were there has
20 been no change. I also had a bone density
21 evaluation in January of 2021, and again in May of
22 2023. Results are I had normal bone mass.

1 Staying active is very important to me.
2 Although I retired 10 years ago, I've been very
3 active my entire life, raising five children,
4 hunting, fishing, camping, building a home, and
5 caring for two horses. I've been a member of
6 the --

7 DR. BUTLER: I very much appreciate it, but
8 we are a little bit over 3 minutes. May I request
9 you to conclude?

10 MR. MARKO: Alright. Just the last thing.
11 My current life goal is to see our 11-year-old
12 granddaughter, whom my wife and I adopted in 2022,
13 off to college. For someone diagnosed with my
14 condition years ago, this goal would not have been
15 possible, but today I believe it is possible with
16 the drug you have in front of you. I am just one
17 person. This is just my experience, but I hope it
18 helps you understand the difference it can make in
19 one person's life and all the lives it touches. I
20 represent so many out there who need --

21 DR. BUTLER: Thank you.

22 MR. MARKO: -- treatment options like this

1 one. Please think of me, and thank you.

2 DR. BUTLER: Thank you so much.

3 Will speaker number 6 please turn on your
4 webcam and introduce yourself? State your name and
5 any organization you might represent. You have
6 3 minutes.

7 DR. HUNG: I'm Rebecca Hung. I am a heart
8 failure cardiologist at Vanderbilt and have been a
9 member of the Vanderbilt Multidisciplinary
10 Amyloidosis program for over 10 years. I am the
11 site PI for APOLLO-B, but have no other financial
12 disclosures.

13 Vanderbilt is a major regional center for
14 amyloidosis, both AL and TTR, and sees patients
15 from 12 states in the Southeast. At Vanderbilt, we
16 have a panel of over 240 TTR patients, including
17 gene carriers that we follow. We serially track
18 biomarkers and pre-albumin levels, and then all our
19 ambulatory patients collect 6-minute walk data. We
20 enrolled 15 patients in APOLLO-B in its early
21 access program. We deliberately chose to enroll
22 wild-type patients because variant patients often

1 qualify for silencing therapy under the neuropathy
2 indication.

3 Of our initial 5 patients, all ambulatory
4 NYHA II at enrollment, three were randomized to
5 placebo. Of those three, two have died directly
6 related to TTR, and the third is struggling. The
7 two randomized to patisiran both had atrial
8 arrhythmias that required ablations but remain both
9 ambulatory with relatively preserved 6-minute walk,
10 BNP, and renal function.

11 As part of the expanded access program, we
12 added 8 patients who met criteria for progression
13 of disease by symptoms, biomarkers or worsening LV
14 function, while on tafamidis. I recently saw one
15 of those patients, a 79-year-old man in follow-up.
16 He and his wife drive from Indiana to Nashville for
17 his infusions. They felt he had no quality of life
18 on standard-of-care tafamidis. His wife told me,
19 unprompted two weeks ago, "I think the infusions
20 saved his life."

21 Prior to coming to Vanderbilt, he was
22 hospitalized almost biweekly for heart failure.

1 Since starting patisiran, he has required no
2 augmentation in his maintenance diuretic and has
3 had no readmissions for heart failure. He is more
4 active, although obviously still limited, but doing
5 things he and his wife report that he could not do
6 two years ago. His most recent proBNP was at its
7 all-time low, and his 6-minute walk remains
8 preserved over the 16 months that we have been
9 seeing him.

10 Another patient of mine presented 4 years
11 ago at age 77 with second degree AV block, needing
12 a pacemaker. The year before, he was biking
13 regularly and could climb the stairs at Percy
14 Warner Park. He could not regain that level of
15 function after the pacemaker. He received
16 tafamidis early as part of the ATTR-ACT expanded
17 access program. On his first 6-minute walk in
18 2019, he covered 1360 feet. By last summer, that
19 had dropped to 1200 feet, which might be explained
20 by the natural history of aging. Last October, he
21 started patisiran. At his most recent visit, the
22 6-minute walk was back up to 1400 feet and he had

1 resumed climbing the stairs at Percy Warner.

2 At Vanderbilt, we have observed the expected
3 decline in 6-minute walk and quality of life that
4 continues to be the natural history of TTR
5 cardiomyopathy, even on tafamidis. Our patients,
6 in an, albeit, non-randomized and unblinded
7 fashion, had seen additional stabilization or
8 improvement in symptoms and quality of life with
9 the addition of patisiran with no significant
10 adverse effects. Thank you.

11 DR. BUTLER: Thank you so much.

12 Will speaker 7 please unmute and turn on
13 your webcam? Introduce yourself, stating your name
14 and any organization that you might be
15 representing. You have 3 minutes.

16 (No response.)

17 DR. BUTLER: This is for speaker 7.

18 (No response.)

19 DR. BUTLER: You're muted, sir. We can't
20 hear you. Great.

21 MR. ZIMMERMAN: Hello, everyone. My name is
22 Bob Zimmerman. I have no financial interest in

1 this session whatsoever. I've been married to my
2 wife, Pat, for 59 years. We have two grown
3 children and four grandchildren. A Rutgers 1961
4 graduate, Navy-trained carrier pilot, I was hired
5 by American Airlines, where I flew for 32 years,
6 retiring as an international captain on the 767. I
7 continued to fly a Cessna 182 until 2015 when I
8 could no longer pass a physical exam because of an
9 abnormal EKG.

10 After 2015, under the care of then Dr. Hasan
11 Garan, chief of electrophysiology at Columbia
12 Presbyterian Hospital, I was diagnosed with
13 non-sustained ventricular tachycardia, eventually
14 cardiomyopathy, followed by atrial fibrillation.
15 I've had an angiogram, an ablation, numerous
16 echocardiograms, and three cardiac reversions to
17 regain a normal sinus rhythm, all to no avail. My
18 ejection fraction had slumped to 20-to-30 percent.
19 I received an ICD in 2019.

20 At the end of May of 2022, I was diagnosed
21 with wild-type ATTR, stage 3 cardiac amyloidosis by
22 Dr. Gabriel Sayer at Columbia, who recommended me

1 to Dr. Mathew Maurer, who was beginning Alnylam's
2 APOLLO-B study at Columbia Presbyterian Hospital of
3 patisiran. My first infusion was November 30,
4 2022. Dr. Maurer told me it would be 6-to-9 months
5 before I felt any change. I think he was spot-on.
6 During those first months, just a short walk from
7 the car for a restaurant meal or for shopping would
8 make me very tired. I used the wheelchair in the
9 hospital and at the airport.

10 Around my 13th infusion, between June and
11 July, I began to notice a difference in my energy
12 level. I could now walk 5-to-10 minutes without
13 looking for a place to sit. I began doing some
14 activities around the house, like taking out the
15 recycling to the curb and sweeping out the garage.
16 I spent time at the gym, walking up to 10 minutes
17 before resting. My wife walked with me around our
18 streets and town for about 10 minutes one way, and
19 back for another 10 minutes to the house. My pace
20 on the Apple watch was 2-to 2-and-a-half miles per
21 hour, total time about 20 minutes.

22 I can now do regular shopping at our local

1 supermarket for 30-to-45 minutes it takes to get
2 around the store and not feel tired at the end.
3 I'll do a Costco run easily. I've ridden a bicycle
4 a few blocks, then to the gym for very light
5 workouts, and even threatened my golf clubs with a
6 good stare. All this may not seem terribly
7 exciting, but those infusions have given me my life
8 back with an outlook much better than it was a year
9 ago. That's what I believe you can do today for
10 the many other patients out there who are in
11 desperate need of another option. You can give
12 them the hope of a better outlook on life with this
13 treatment. Thank you for your consideration.

14 DR. BUTLER: Thank you so much.

15 Will speaker number 8 please unmute and turn
16 on your webcam? Introduce yourself, your name, and
17 any organization that you might be representing.
18 You have 3 minutes.

19 MR. MAYWEATHER: Good afternoon. I don't
20 represent anyone other than myself, and I have no
21 financial disclosures to announce. My name is
22 William Mayweather. I'm here today as living proof

1 of the incredible impact that a groundbreaking drug
2 can have on individuals and families. I'm a
3 husband, married for 32 years next Thursday, and a
4 father of three grown children. We've raised our
5 family in Robbinsville, New Jersey, nestled near
6 Princeton University, equal distance between New
7 York City and Philadelphia.

8 I believe that the FDA and Alnylam, through
9 patisiran, have the potential to bring immediate
10 hope to thousands. I believe because the drug has
11 positively changed my life and my family's.

12 Patisiran has given me a new lease on life. At
13 59 years old, my life has had many twists and
14 turns, but it's the journey with my heart condition
15 that I want to share today.

16 My heart journey began with a history of
17 syncope. At 16, while playing elite level soccer,
18 I passed out. Over time, my heart's rhythm wasn't
19 quite right, leading to a diagnosis of sinus node
20 dysfunction. This marked the start of my
21 relationship with pacemakers, with the first one
22 implanted in 1988. Over the years, I had upgrades,

1 including a dual-chamber pacemaker in 2017. During
2 this time, my heart's wall thickened, and the
3 doctor suspected hypertrophic cardiomyopathy, HCM.
4 By 2017, my energy levels and my exercise tolerance
5 were dropping. The emotional burden on my family
6 was palpable as they watched me grapple with
7 worsening symptoms.

8 The suspicion of HCM led me to Penn
9 Medicine's Heart and Vascular Center. After a year
10 of investigation, including echocardiograms, a
11 heart MRI, a fat pad biopsy, a heart biopsy with
12 mass spectroscopic analysis and genetic screening,
13 in 2018 I was diagnosed with ATTR-CM, caused by a
14 Val 122 allele transthyretin protein abnormality.

15 In 2018, ATTR-CM had a reputation for being
16 fatally progressive, pushing individuals like me
17 toward the inevitable need for a heart and liver
18 transplant to delay death. Each medical report
19 painted a somber picture of my heart's decline,
20 burdening my family. Desperate, I challenged my
21 medical team to not only keep me alive, but halt
22 the downward spiral. This marked the beginning of

1 my journey to patisiran.

2 Incorporating patisiran into my treatment
3 regime changed the medical reports, showing
4 stability in my heart's performance. For me,
5 patisiran halted the march of ATTR-CM. While some
6 exercises leave me winded, the daily energy I
7 experience is a testament to medical innovation and
8 the drug's efficacy. Patisiran's impact reaches
9 far. My family, once witness to my struggle, now
10 sees my revival. For me, patisiran is not just a
11 drug; it's hope and a lifeline to our family's
12 future.

13 In closing, I am grateful to the FDA
14 considering my story. You stand at the
15 intersection of science and compassion. Each
16 approval signifies not just scientific achievement,
17 but hope, a lifeline to individuals and families.
18 Thank you for your dedication to making a
19 difference in lives like mine.

20 DR. BUTLER: Thank you very much.

21 Will speaker number 9 please unmute and turn
22 on your webcam? Introduce yourself, including your

1 name and any organization that you might be
2 representing. You have 3 minutes.

3 MR. GERTH: Good afternoon. I have no
4 financial disclosures. My name is Charlie Gerth,
5 and I'm representing myself. I am 84 years young
6 and a U.S. Navy veteran. I've been married for
7 43 years, have three grown children from a prior
8 marriage, 5 grandchildren, and one great
9 grandchild. I live in Phoenixville, Pennsylvania,
10 3 miles west of Valley Forge National Park, where I
11 am a fire police first responder in the Valley
12 Forge Volunteer Fire Company.

13 I have always been a high-energy person with
14 a love of travel and the great outdoors; however,
15 in the summer of 2021, I noticed that my energy
16 levels were falling off somewhat and thought
17 perhaps I was just getting old. In November of
18 '21, I was diagnosed with smoldering MGUS, and in
19 December, after the onset of afib, an
20 echocardiogram showed I had low heart
21 functionality, as well as heart muscle thickening.

22 A follow-up heart biopsy in February of 2022

1 revealed I had amyloid deposition. Despite the
2 presence of MGUS, my hematologist suspected I had
3 ATTR-CM rather than AL amyloidosis. Subsequent
4 amyloid typing at the Mayo Clinic confirmed the CM
5 diagnosis, and genetic testing indicated I had the
6 wild-type barrier. In March of '22, my amyloid
7 cardiologist prescribed tafamidis to treat my ATTR,
8 and in May, I underwent a cardioversion to restore
9 my heart to sinus rhythm. Six weeks later, a
10 series of blackouts sent me back to the hospital,
11 where they discovered I had severe bradycardia and
12 a high-grade heart block. Two days later, I had a
13 biventricular pacemaker implanted in my chest.

14 In December of '22, while visiting my
15 amyloid cardiologist, I learned about patisiran and
16 the expanded access protocol that offered patisiran
17 to selected patients with wild-type ATTR-CM. The
18 clinical trial results sounded promising, so I
19 applied for participation in the EAP. Thus far, I
20 have received 6 patisiran infusions without any
21 side effects, either pre- or post-infusion.

22 These days, my energy levels have returned

1 to the point where I am walking 3-to-4 miles every
2 day, and I have no typical ATTR symptoms such as
3 shortness of breath, chest pains, et cetera.
4 Bottom line, I feel great. The combination of
5 tafamidis and patisiran, along with my pacemaker,
6 gives me the brightest hope for the future. I am
7 extremely thankful to have these options available
8 to me for treating and slowing my disease
9 progression. I hope by sharing my story, you will
10 come to understand the need for multiple ATTR
11 treatment options, including patisiran, to be
12 available for people like me who have this
13 life-altering condition. Thank you for listening.

14 DR. BUTLER: Thank you very much.

15 May I request speaker number 10 to please
16 unmute, turn on your webcam, and introduce your
17 name and any organization that you might be
18 representing? You have 3 minutes.

19 MS. BECKWITH STANLEY: I'm not representing
20 any organization, and I have no financial
21 disclosures. My name is Cecelia Beckwith Stanley.
22 I'm 73 years old. I live in Portland, Oregon with

1 my husband. I have two wonderful children and an
2 incredible grandchild. Prior to being diagnosed
3 with hereditary amyloidosis, I was a nurse
4 practitioner working in medically underserved
5 patients.

6 ATTR is a systemic disease, and
7 approximately 80 percent of people present with
8 both cardiomyopathy and polyneuropathy, and I am
9 one of those individuals. I've been navigating the
10 debilitating symptoms of hereditary amyloidosis for
11 18 years. In 2010, I was diagnosed with heart
12 failure after cardiac biopsy, and it was determined
13 I had amyloidosis. I traveled to a center of
14 excellence, was diagnosed with hereditary
15 amyloidosis due to the V122I genetic variant, and
16 it was labeled as a cardiac form of hereditary
17 amyloidosis. This was confusing to me since my
18 initial symptoms were neurological and they
19 continued to persist. Less than one year later, I
20 was a candidate for a heart transplant. On
21 August 8, 2012, I received a new heart. My life
22 improved immensely. I no longer experienced

1 symptoms of heart failure.

2 You know, my heart transplant has changed
3 how we live as a family. I'm at risk for
4 infectious diseases, every infectious disease I
5 come in contact with, as well as certain types of
6 cancer. Leaving the workforce 10 years before
7 planned places an extra burden on my husband. If
8 patisiran had been available 18 years ago, I would
9 not have needed a heart transplant. I would not
10 have suffered through the extreme pain for years of
11 not knowing why I had bilateral carpal tunnel,
12 polyneuropathy, and chronic constipation. This
13 treatment can significantly improve the quality of
14 life and prevent the debilitating symptoms of
15 hereditary amyloidosis.

16 I am a part of a network of heart transplant
17 patients with the V122I genetic variant. Everyone
18 is receiving patisiran, and they continue to report
19 that their quality of life has improved
20 significantly because of a decrease of neuropathy,
21 as well as easing the worry about amyloid
22 depositing in their hearts.

1 The V122I genetic variant has a 4 percent
2 prevalence in the African American community,
3 putting almost 200,000 people over the age of 65 at
4 risk for hereditary amyloidosis. We are in need of
5 treatment options. We would all benefit if the
6 systemic nature of hereditary amyloidosis was
7 better understood. Hereditary amyloidosis is one
8 disease. Early diagnosis should be the norm, with
9 access to all life-saving treatments to support the
10 overall improvement of the quality of our lives.
11 Thank you very much for giving me your time and
12 listening to me. I appreciate it.

13 DR. BUTLER: Thank you very much.

14 May I request speaker number 11 to please
15 unmute and turn on your webcam, and introduce your
16 name and any organization you might be
17 representing? You have 3 minutes.

18 MS. COOPER: Good afternoon, and thank you
19 for allowing me time to address the committee. My
20 name is Josie Cooper, and I'm here today in my role
21 as executive director of the Alliance for Patient
22 Access. Alnylam is one of several supporters of

1 AFPA's work. The Alliance for Patient Access is a
2 national network of policy-minded healthcare
3 providers who advocate for patient-centered care.
4 We support health policies that reinforce clinical
5 decision making, promote personalized care, and
6 protect the physician-patient relationship.

7 We also host a rare diseases working group,
8 which brings together amyloidosis specialists to
9 ensure that clinician perspectives are heard as
10 decisions impacting patient care are being made.
11 We represent physicians, nurse practitioners,
12 genetic counselors, and other clinicians treating
13 both cardiac and neurologic presentations of the
14 disease.

15 Transthyretin amyloidosis is a rare disease
16 caused by the buildup of abnormal protein in
17 different organs, and as others have already
18 shared, this disease comes with a range of
19 debilitating symptoms and very considerable patient
20 burden, both physical, as well as mental. It's a
21 progressive disease, and left untreated can be
22 fatal. Cardiac amyloidosis can lead to decreasing

1 heart function, fatigue, shortness of breath, and
2 ultimately heart failure. That makes early
3 diagnosis and effective treatment critical.

4 While we are very pleased that several
5 FDA-approved medications are available for
6 amyloidosis, currently, just one of those is for
7 amyloidosis-related cardiomyopathy. That means
8 that additional FDA treatment options, particularly
9 for cardiac amyloidosis, are really critical in
10 ensuring that clinicians and patients have the
11 maximal opportunities to successfully treat this
12 disease.

13 As FDA considers an additional indication of
14 cardiac amyloidosis for patisiran, we urge you to
15 prioritize the unmet need that is still faced by
16 this community. While AFPA does not offer comment
17 on the clinical effectiveness of patisiran for
18 cardiomyopathy, we ask the committee to bear in
19 mind the significant burden of amyloidosis on
20 patients, on families, and on communities, an
21 opportunity that an additional treatment option
22 would provide.

1 Another treatment option for cardiac
2 amyloidosis would prove valuable for patients,
3 particularly as patisiran offers a different method
4 of administration for patients, and having for the
5 first time multiple treatment options for cardiac
6 amyloidosis would also benefit providers, including
7 those represented by AFPA, by allowing clinicians
8 to have an additional treatment tool for this
9 disease. So on behalf of the Alliance for Patient
10 Access and our members, we urge you to strongly
11 consider an sNDA for patisiran in order to support
12 patients living with this disease. Thank you for
13 your time.

14 DR. BUTLER: Thank you very much.

15 May I request speaker number 12 to please
16 turn on your webcam, and state your name and
17 organization that you might be representing? You
18 have 3 minutes.

19 MR. RILEY: Hi. I have no financial
20 disclosures to present. I am a patient, a
21 volunteer patient educator for the Amyloidosis
22 Speakers Bureau. My name is Sean Riley. I'm

1 60 years old, and I live in New Hampshire with my
2 lovely wife, Robin. After working for 30 years as
3 a nuclear reactor operator, I had to retire out of
4 necessity due to degrading health associated with
5 hereditary transthyretin amyloidosis, specifically
6 the T60 mutation.

7 I must tell you that it can be extremely
8 challenging for me to talk about my amyloidosis
9 journey, very emotional. During the time period
10 leading up to diagnosis, my physical health was
11 spiraling downward. I firmly believe that the
12 therapeutic results that I've gotten from patisiran
13 have literally saved my life.

14 I was diagnosed in early 2019, following a
15 6-to-7 year struggle with steadily increasing
16 disease symptoms. At the time of my diagnosis, I
17 was extremely orthostatic, had minimal cardiac
18 endurance, and had severe muscle loss in my legs
19 due to polyneuropathy. When the doctor gave me my
20 diagnosis, he explained to me the gravity of the
21 situation and suggested that I start treatment with
22 patisiran. I was advised that without the drug, my

1 condition would likely be terminal in 2-to-3 years.
2 I literally would not be here today speaking to you
3 without patisiran.

4 I started on patisiran in May of 2019 and
5 recently received my 68th treatment. I can tell
6 you from my own personal perspective the treatments
7 have not only halted the disease progression but
8 have allowed for significant improvement in my
9 overall health. Just prior to starting treatment,
10 I had an echocardiogram test. Quoting the summary note
11 from the test, "There is a moderate-to-severe
12 increase in left ventricle thickness." A more
13 recent test result noted that there appeared to be
14 no additional degradation in my cardiac condition.

15 These findings match with my daily life
16 experience. I have noticed increased cardiac
17 endurance and the ability to become more mobile. I
18 am now able to walk upwards of a mile on flat
19 terrain. Additionally, my orthostatic symptoms
20 have become significantly more manageable. Again,
21 I've had 68 treatments with patisiran. This drug
22 has literally saved my life. I strongly urge the

1 FDA to look upon the patisiran APOLLO-B phase 3
2 results favorably, as they back up the results of
3 my own experience. Thank you very much for your
4 time.

5 DR. BUTLER: Thank you very much.

6 May I request speaker 13 to please unmute
7 and turn on your webcam? Introduce yourself with
8 your name and any organization that you might be
9 representing. You have 3 minutes.

10 MR. MALLON: Aloha. My name is Peter
11 Mallon. I am 64 years old and live in Hawaii. I
12 have no financial disclosures to make. I'm a
13 practicing litigation attorney with my eyes firmly
14 set on retirement. I have a 26-year-old son. Like
15 me, he inherited the gene mutation which can result
16 in the development of transthyretin-related
17 amyloidosis. My father died of heart failure from
18 amyloidosis and spent the last few years of his
19 life in a wheelchair due to polyneuropathy.

20 My family's gene mutation is known as
21 cysteine 30 arginine. It causes amyloid deposition
22 in both the nerves and heart. My neurological

1 symptoms date back to 2002 when I had bilateral
2 carpal tunnel surgery. My cardiac symptoms started
3 in 2007 with seemingly benign palpitations. By
4 2016, I was suffering with shortness of breath,
5 arrhythmias, wheezing and the intractable cough,
6 and worsening neurological symptoms. Specialists
7 at UCSF and the Mayo Clinic diagnosed me as
8 suffering from cardiomyopathy and polyneuropathy,
9 both from amyloidosis.

10 I was advised in 2016 that due to the rapid
11 progression of my heart failure and the lack of any
12 approved treatment for TTR amyloidosis, I would
13 probably only live another 3-to-5 years. Amyloid
14 specialists recommended that I undergo a heart and
15 liver transplant to prolong my life. I went
16 through workups at UCSF to get on transplant lists
17 while remaining in denial about a fatal diagnosis.
18 While being pressed for a decision on transplant
19 surgery, I stalled. You see, I had learned about
20 new drugs in development, including patisiran. The
21 promise of these new drugs kept me from agreeing to
22 transplant surgeries.

1 In 2018, I began treatment with patisiran.
2 My doctors wholeheartedly endorsed my decision.
3 After three months of infusions, I could tell my
4 symptoms were not getting worse for the first time
5 in over two years. After one year of treatment
6 with patisiran, biomarker blood tests confirmed
7 that my heart failure had improved. I was no
8 longer that guy who was constantly coughing,
9 wheezing, and getting short of breath with ordinary
10 activities.

11 I've had 77 infusions with patisiran, and am
12 now on the second-generation drug, Amvuttra. I
13 have learned that TTR amyloidosis is not just a
14 neurological disease or a cardiac disease; it is
15 systemic. Without having patisiran available to
16 me, I would have succumbed to heart failure or the
17 need to undergo transplant surgery with no
18 favorable long-term prognosis. Instead, I have
19 outlived a fatal prognosis. I hope that others
20 with TTR cardiac amyloidosis are allowed to obtain
21 treatment with patisiran. They, too, deserve that
22 hope. Thank you for your time and kind

1 consideration.

2 DR. BUTLER: Thank you very much.

3 I think speaker 14 is unavailable, so we'll
4 move to speaker 15. Please unmute yourself and
5 your webcam, and state your name and organization
6 you might be representing. You have 3 minutes.

7 Speaker 15?

8 DR. WOLINSKY: Thank you. My name is
9 Dr. David Wolinsky, and I'm from Cleveland Clinic,
10 Florida. I do have some conflicts I make, some
11 from Alnylam, Pfizer, and BridgeBio, and a speaker
12 for Alnylam and Pfizer. I'm a board certified
13 cardiologist, and I'm director of the Cardiac
14 Amyloid Center at Cleveland Clinic, Florida. This
15 is the largest cardiac amyloid center in the
16 Southeast U.S., and with my colleagues, we follow
17 between 350 and 400 patients with cardiac
18 amyloidosis. As such, I have vast experience
19 treating both hereditary and wild-type ATTR
20 cardiomyopathy.

21 These patients span the breadth of disease
22 from barely symptomatic to class IV cardiogenic

1 shock. Tafamidis is the only approved treatment
2 for ATTR-CM. With optimal multidisciplinary care,
3 these patients often do well for long periods of
4 time; however, it's been my experience that when a
5 patient with cardiac amyloidosis deteriorates,
6 their deterioration is often not from cardiac
7 causes, but from systemic and neurologic
8 deterioration. This deterioration can be
9 associated with multiple hospitalizations and
10 worsening quality of life for which the patients
11 and their families have little support. At that
12 point, there is little that standard of care can
13 offer these patients.

14 Not infrequently, I see patients with mixed
15 phenotype amyloidosis; that is patients with
16 hereditary ATTR with both cardiac and neurologic
17 symptomatology. In some of these patients, I have
18 chosen patisiran as the disease-modifying therapy
19 of choice. The neuromuscular and systemic symptoms
20 improve. The patients gain weight, walk further,
21 and experience greater overall functional capacity.
22 In the meantime, we optimize their cardiac

1 management. Despite being so seriously ill on
2 presentation, these patients often do quite well
3 with good quality of life for 2-to-4 years. Their
4 neurologic disease responds favorably, and their
5 heart failure remains compensated.

6 However, we've just begun to scratch the
7 surface in identifying patients with ATTR
8 cardiomyopathy. There are approximately 750,000
9 cases of heart failure identified each year.
10 Approximately half of these are patients with heart
11 failure and preserved ejection fraction. It's
12 estimated that 10-to-15 percent of these patients
13 have ATTR cardiomyopathy as the basis for their
14 disease.

15 The literature suggests that a favorable
16 clinical response to tafamidis is neither uniform
17 nor predictable on an individual basis. Tafamidis
18 is approved to stabilize, i.e., prevent progression
19 of cardiac disease, in patients with ATTR
20 cardiomyopathy. For many patients, the use of
21 stabilizers is inadequate to provide a reasonable
22 quality of life, let alone improve survival.

1 Based on the observations above, I believe
2 clinicians should have access to tafamidis
3 available as an alternative therapy for ATTR
4 cardiomyopathy. I thank you for allowing me to
5 present my opinion.

6 DR. BUTLER: Thank you very much.

7 May I request speaker 16 to please unmute
8 and turn on your webcam? Introduce yourself, your
9 name, and any organization you might be
10 representing. You have 3 minutes.

11 MS. LOUSADA: Yes. Thank you. My name is
12 Isabelle Lousada, and I'm founder and CEO of the
13 Amyloidosis Research Consortium. I do actually
14 have a couple of slides to share, so maybe those
15 can get pulled up. ARC is a nonprofit dedicated to
16 improving and extending the lives of those with
17 amyloidosis. ARC is committed to collaborative
18 efforts that accelerate the pace of discovery and
19 improve short- and long-term outcomes in patients
20 with amyloidosis.

21 The ATTR treatment landscape has improved
22 drastically over the first five years; however, it

1 still remains that this multisystemic disease has a
2 profound impact on physical function, activities of
3 daily living, social and role functioning, and
4 mental and emotional well-being. Earlier this
5 year, we designed and launched a survey with the
6 goal of reassessing the burden of ATTR in patients
7 and caregivers in the setting of new therapies, and
8 ultimately identifying what the unmet need is.

9 The study generated a unique set of
10 patient-level data, including clinical
11 characteristics; current and prior treatment
12 history; impacts on health-related quality of life,
13 as well as patient preferences; and personal goals
14 and concerns of their treatment. Almost 400 ATTR
15 patients participated, 315 of which reported having
16 cardiomyopathy. Seventy-one percent of those
17 patients reported that they were being treated with
18 commercially available therapy; 22 percent were
19 enrolled in an ATTR-CM therapeutic clinical trial;
20 and only 3 percent reported not being on any
21 treatment. Of those on treatment, almost half of
22 the patients, 48 percent, reported that they did

1 not know or could not tell if their current
2 treatment was impacting their disease.

3 We asked patients to rate the importance of
4 nine different treatment factors in the context of
5 their own personal treatment goals and concerns.
6 This chart shows the percentage of ATTR-CM patients
7 rating each factor, with red being of little to no
8 importance, and with lighter to dark green showing
9 more importance. This shows how overwhelmingly
10 important slowing disease progression and extending
11 length of life out to patients, whether a treatment
12 improves symptoms or keeps them out of hospital, is
13 also at least very important for the majority of
14 patients.

15 We next tell patients to rank-order these
16 same treatment factors, from 1 meaning most
17 important to 9 meaning least important. This chart
18 reiterates how consistently extending life and
19 slowing progression are the most important factors
20 to patients. The risk of common side effects, and
21 even rare but serious complications that cause
22 hospitalizations are important, but coupled with

1 the previous slide, these data suggest that, to
2 patients, the potential risks of treatment are not
3 as important as allowing them to live longer or
4 slow the disease progression.

5 In the context of a rare disease, it's
6 important to take into consideration patient
7 perspectives. ATTR-CM patients currently only have
8 a few treatment options, and many of those patients
9 are uncertain whether or not that treatment is even
10 impacting their disease. These patients continue
11 to voice their overwhelming desire for additional
12 treatment options that are able to delay their
13 disease progression, and thereby maintain their
14 quality of life. Thank you.

15 **Clarifying Questions (continued)**

16 DR. BUTLER: Thank you very much. I
17 appreciate that.

18 This concludes the open hearing portion of
19 this meeting, and we will no longer take comments
20 from the audience. We have about 5-6 minutes still
21 left in this session, so we can utilize this
22 additional time for any remaining clarifying

1 questions that the panel members might have either
2 for the FDA or the applicant. Also, the applicant,
3 if they had the opportunity to look at the
4 mechanistic data by tafamidis use, if they want to
5 go over that data, we can utilize this time for
6 that as well.

7 Please raise your hand if you have a
8 question.

9 Yes?

10 MR. SLUGG: Sorry to interrupt, Dr. Butler,
11 but we do have the data requested not only by
12 Dr. Cella, but by Dr. Peterson as well, and we can
13 quickly go through those for you.

14 DR. BUTLER: Please do.

15 MR. SLUGG: Thank you. Let me turn it over
16 to my colleague, Dr. John Vest.

17 DR. VEST: John Vest, Alnylam. The first
18 request was to see the distribution on the KCCQ
19 response thresholds with the 5-point cutoff. We're
20 showing that here. You can see that in patients
21 who declined or died, the breakdown, 64 patients on
22 patisiran, four of which were deaths, and

1 76 placebo, nine of which were deaths; 49 and
2 55 patients were stable, and 61 and 42 patients
3 demonstrated an increase, respectively, of 5 points
4 or more.

5 The next request was for KCCQ by greater
6 levels of thresholds, by greater levels of change,
7 and we're showing that here. We're putting both
8 the increase by 5 points or decrease by 5 points,
9 or changes of 10 points or more, in either
10 directions. And again, we see the same thing; that
11 the best outcomes are always more common on
12 patisiran, and the worst outcomes are always more
13 common in patients on placebo.

14 This raises a very important point, that in
15 the progressive disease such as ATTR amyloidosis,
16 benefit can occur in two ways, either by improving
17 from baseline or by reducing decline. So remaining
18 stable is a very good outcome in this disease, and
19 this is a very important concept in interpreting
20 the CDFs that were shown by the FDA and were
21 included in our briefing document as well. These
22 CDFs show exactly what's shown in the bar charts,

1 that no matter what your starting KCCQ is,
2 patisiran treatment has better odds of benefit,
3 either by improving or by having less progression.

4 We saw the same pattern with 6-minute walk
5 test, as we demonstrated in the thresholds there,
6 no matter what threshold we choose, the best
7 outcomes are in patisiran and the worst outcomes
8 are in placebo. And our corroborating data, New
9 York Heart Association class in ATTR amyloidosis
10 disease stage, again corroborates this concept of
11 less progression with patisiran.

12 The biomarker data we shared, and we
13 indicated after the break we would share that by
14 baseline tafamidis, and we'll show that here.
15 These are the biomarker results during the
16 double-blind period in background tafamidis. We're
17 showing NT-proBNP on the left and troponin I on the
18 right. With NT-proBNP, there are wide confidence
19 intervals, and there's o no indication of
20 improvement with patisiran. With NT-proBNP,
21 directionally patisiran is in the wrong direction,
22 but with troponin I, we see the opposite picture,

1 that it looks a little bit better for patisiran.
2 But again, the confidence intervals for both of
3 these are very wide. For a comparison, we'll show
4 here the monotherapy results, patisiran
5 monotherapy, where again we see substantial and
6 robust improvement with patisiran compared to
7 placebo. So we are left, based on these biomarker
8 data, again, with uncertainty with regard to any
9 treatment effect with background tafamidis.

10 If it would be allowable to the chairman to
11 help address the previous question from
12 Dr. Roy-Chaudhury about clinicians' perspective on
13 these issues of use case, we would like to have
14 Dr. Drachman, who has treated over 40 patients with
15 the combination of patisiran and tafamidis on our
16 EAP, comment further, if that would be allowed.

17 DR. BUTLER: We are right at the top of the
18 time, so please just try to limit to 60 seconds.

19 DR. VEST: Thank you.

20 DR. DRACHMAN: Hi. I'm Brian Drachman. I'm
21 the founder and co-director of the amyloidosis
22 program at the University of Pennsylvania Health

1 System, and have treated, myself, over 850 patients
2 in the last 5-to-7 years with various types of
3 amyloid. Our program has treated in the thousands.

4 One of the things that's been brought up a
5 number of times is that this is a disease that
6 progresses with time, and I've been, for example,
7 taking care of patients on tafamidis for over
8 10 years because I was part of the ATTR-ACT trial,
9 and I will tell you that despite being on
10 tafamidis, these people get worse, and they die.
11 There is no question about that. I'm not saying
12 it's not an effective drug, but it is clearly not a
13 cure for this disease.

14 Although we do not have data that firmly
15 says that the effect of patisiran would be additive
16 to tafamidis, it's already been discussed that
17 mechanistically, it totally makes sense why it
18 would be. Tafamidis is a stabilizer, but we know
19 that it does not stabilize close to 100 percent of
20 the TTR tetramers. There's variation from patient
21 to patient and mutation to mutation, so adding a
22 drug that basically will suppress over 85 percent

1 of the precursor protein should only benefit what's
2 happening with tafamidis.

3 I will tell you that I tend to add it fairly
4 early in patients, in the appropriate patients, not
5 in every patient. The reason being, that it's
6 already been shown in the open-label extension,
7 both in APOLLO-B, as well as the open-label
8 extension in the ATTR-ACT trial, that waiting for
9 patients to deteriorate gives them irreversible
10 damage that will never be recovered.

11 So my bias at this juncture is we have a
12 drug that, I believe, based on the data out there,
13 does improve outcomes. Can I prove that it's
14 additive to tafamidis? No. Have I seen many, many
15 people deteriorate on tafamidis? Absolutely. I
16 think this is an important option that we should
17 have available for our patients.

18 DR. BUTLER: Thank you very much.

19 I see a hand raised by the FDA. Is there a
20 comment that the FDA would like to make?

21 DR. SENATORE: Yes. Thank you very much.
22 Our colleagues from DCOA and PFFS groups would like

1 to make a comment, so I'd like to call on Dr. Illoh
2 first, and then later, Dr. Morell, to make
3 comments.

4 DR. ILLOH: Hi, everyone. This is
5 Onyekachukwu Illoh, team lead in the Division of
6 Clinical Outcome Assessment. Thanks everyone, for
7 your time at this meeting. I wanted to add to the
8 discussion, and I would like you to know that in
9 the absence of the patient's voice on what is
10 considered a meaningful change in the APOLLO-B
11 trial, and as an exercise of regulatory
12 flexibility, FDA attempted to utilize data from the
13 APOLLO-B trial to interpret the results of the
14 6-minute walk test.

15 So specifically, we asked the applicant to
16 conduct a post hoc, anchor-based analysis using the
17 KCCQ item 1.3, which asks patients how much they
18 were limited in their ability to walk one block on
19 level ground to support the interpretation of
20 meaningful change in the 6-minute walk test. We
21 chose this item as it appeared to align closely
22 with the measurement concept of the 6-minute walk

1 test; however, there was limitation with this
2 approach, as the inclusion criteria for the
3 APOLLO-B study selected patients who would likely
4 have no difficulty in walking one block on level
5 ground, and we did observe poor correlation between
6 the change scores for item 1.3 and the 6-minute
7 walk test. So hence, the KCCQ item 1.3 didn't turn
8 out to be a good anchor.

9 Also, while the applicant had proposed two
10 alternative anchor approaches based on the KCCQ
11 Overall Summary Score and the Physical Limitation
12 Score, you have previously heard from Dr. Pretko
13 that the OSS and PLS, for short, are not anchors,
14 so specifically, the measurement concept of the OSS
15 and the PLS do not necessarily align with that of
16 the 6-minute walk test, and the OSS and PLS require
17 their own interpretation.

18 So ideally, administering appropriate anchor
19 scales in the APOLLO trial would have been useful
20 for deriving a range of meaningful change
21 thresholds for the 6-minute walk test and the KCCQ
22 Overall Summary Score, and by appropriate anchor

1 scales, I mean anchors which are associated with
2 the target COA endpoint in a way that addresses the
3 question of clinical meaningfulness of that target
4 COA endpoint; also, anchors which are easier to
5 interpret than the COA itself; and anchors whose
6 recall period are consistent with the assessment
7 period of the target COA endpoints to the extent
8 possible; and in addition, supplementing the
9 anchor-based data with qualitative patient inputs
10 on what patients consider to be a meaningful change
11 from baseline and whether or not they believe the
12 experience of meaningful change during the trial
13 would have been informative.

14 So as such, we conclude that there is lack
15 of information to support the interpretability of
16 clinical meaningfulness of the statistical result
17 of the APOLLO-B trial, and the applicant was
18 limited in the ability to provide such supportive
19 evidence.

20 I would also like to turn it over to
21 Dr. Morell to provide further comments. Thank you.

22 DR. BUTLER: Thank you.

1 DR. MORELL: Thank you. This is Dr. Monica
2 Morell, psychometrician and statistical reviewer
3 for CDER. We reiterate that including patient
4 voice is critical in how FDA evaluates clinical
5 meaningfulness in COA-based endpoints. As there
6 were no appropriate anchor measures administered,
7 nor qualitative data collected in APOLLO-B, we
8 conducted an extensive literature review on the
9 KCCQ, and found many limitations with the
10 anchor-based methodology used in the literature,
11 for example, using a 15-point anchor measure and
12 arbitrarily grouping the responses into
13 7 categories; the reliance on small improvements to
14 derive what is considered meaningful to patients
15 without evidence; and the lack of any assessment of
16 the impact of baseline symptoms severity on the
17 estimates of meaningful change.

18 Such limitations make it so that we cannot
19 generalize the findings in the literature to the
20 current trial and are unable to conclude that a
21 5-point change represents a clinically meaningful
22 change to patients on the KCCQ-OSS. That is to

1 say, based on our current assessment of the
2 application and of the literature, a 5-point
3 threshold is inappropriate, both to interpret the
4 key secondary KCCQ endpoint and to interpret the
5 primary 6-minute walk test endpoint.

6 I would also add that based on our extensive
7 experience reviewing multiple applications and
8 multiple indications, patients' views on the degree
9 of change that represents a clinically meaningful
10 improvement or meaningful deterioration is
11 generally not symmetrical. The amount of change
12 that is considered a meaningful improvement is
13 generally not the same amount of change that is
14 considered a meaningful worsening. To apply a
15 single number as a threshold for meaningful change,
16 regardless of the patient population or patient
17 baseline status to both improvement and
18 deterioration, is very unusual and would need
19 evidence to support this claim. Thank you.

20 DR. BUTLER: Well, thank you very much.

21 So because we are running a little bit
22 behind, my apologies to the panel members who have

1 their hands raised, but I will probably conclude
2 this session at this point, and move on to the next
3 section. In order for us to have a panel
4 discussion, I will request Dr. Norman Stockbridge
5 to proceed with the charge to the committee.

6 **Charge to the Committee - Norman Stockbridge**

7 DR. STOCKBRIDGE: Yes. I think we've had a
8 very good discussion up to this point, and I don't
9 have anything at all to add as context. I think
10 we're ready to go into the questions that you have.

11 **Questions to the Committee and Discussion**

12 DR. BUTLER: Thank you very much,
13 Dr. Stockbridge.

14 The committee will now turn its attention to
15 address the task at hand, the careful consideration
16 of the data before the committee, as well as the
17 public comments. We will now proceed with the
18 questions to the committee and panel discussions.
19 I would like to remind public observers that while
20 this meeting is open for public observations,
21 public attendees may not participate, except at the
22 specific request of the panel.

1 After I read each question, we will pause
2 for any questions or comments concerning the
3 wording, and in the absence of any further
4 concerns, we will proceed with a discussion.

5 A couple of quick reminders and requests to
6 the panel members; one, we have five discussion
7 questions and one voting question, and we have a
8 little over an hour to go over this discussion.
9 Necessarily, some of the discussion points overlap;
10 however, the more we can limit our discussion to
11 the discussion question that we are discussing, I
12 will very much appreciate it, and not jump; for
13 instance, there is a tafamidis interaction question
14 further down, and if we can limit those questions
15 when we are discussing that discussion as opposed
16 to mixing these various different observations.

17 Second, I would definitely appreciate a
18 robust discussion from all members, including
19 voting and non-voting members. But finally, please
20 remember that in this section, we will be having a
21 discussion, but please do not disclose which way
22 you're leaning in terms of which vote you think

1 this should be. We will have an opportunity at the
2 end, after the voting, to discuss what our
3 perspectives are. This section is about
4 discussion.

5 This is the discussion question, or
6 question 1 for discussion. Discuss the magnitude
7 and clinical meaningfulness of patisiran's
8 treatment effect on 6-minute walk test.

9 Is there anything regarding the wording of
10 the question that we need to discuss, or is it
11 pretty clear to everyone?

12 (No response.)

13 DR. BUTLER: Hearing none, none of the
14 questions regarding the wording, we will now open
15 this for discussion.

16 (No response.)

17 DR. BUTLER: Any panel member may want to
18 start, and if not, then maybe I can start the
19 discussion by posing a question. There was a
20 robust discussion and a distinct different
21 perspective by the applicant and the FDA, whether
22 using KCCQ as an anchor to decide the minimally

1 clinically important difference in 6-minute walk
2 test was an appropriate way of assessing MCID for
3 6-minute walk test.

4 DR. CELLA: Dr. Butler, this is David Cella.
5 I have my hand up, so I'm just wondering, during
6 this session, are you not asking us to raise our
7 hand? You would rather we just speak?

8 DR. BUTLER: I would appreciate if you'd
9 raise your hand so that I can understand. I did
10 not see your hand raised, but maybe I missed it.

11 DR. CELLA: It was just lowered by the
12 system or it's going down by the system.

13 DR. BUTLER: Okay. So if we can use the
14 same method that we've been using all along, to
15 raise the hand, and that gives me a cue who to go
16 to next.

17 DR. CELLA: Maybe I raised it a little late,
18 but I can start if you like.

19 DR. BUTLER: That will be great. Please do.

20 DR. CELLA: Well, it's been a very
21 fascinating day. I've learned a lot about this
22 clinical area, it not being an area that I'm well

1 versed in, but I'm pretty well versed in the
2 6-minute walk and the KCCQ, so happy to speak on
3 those topics and hear from others on the other
4 topics.

5 This is a small number. I think that's a
6 big part of why we're here. We have a primary
7 endpoint met with a very small number. I'm pretty
8 familiar with the literature, and there's a general
9 consensus around 25-to-30 meters as being toward
10 the low end of what's a clinically meaningful -- I
11 don't know about clinically meaningful necessarily,
12 but with a significant difference that is
13 meaningful, or tends to be. That number drops down
14 below 30 in some studies, but I think just
15 comparing this number to the literature, it's quite
16 small. It's a large sample, so it's statistically
17 significant, but I think it is small, and I think
18 that's a big part of why we're having this meeting.

19 As to your question about the
20 appropriateness of the KCCQ as an anchor, I would
21 zero in on the low correlations that the KCCQ and
22 the anchor have, but the FDA preferred to focus on

1 the content relevance and appropriateness, and
2 that's reasonable. Also, the correlations were on
3 the low end, and the lower you go with correlations
4 of an anchor, the smaller -- this is kind of one of
5 these paradoxes. The lower the correlation of an
6 anchor to the test you're interested in -- the
7 lower it goes -- the smaller the estimated MID
8 becomes, which is one of the reasons, just in this
9 field, I'm not a big fan of the M in MID. So I
10 would say that's one significant concern about the
11 use of the KCCQ as an anchor, which probably does
12 need to be understood.

13 DR. BUTLER: Thank you.

14 Dr. Bairey Merz?

15 DR. BAIREY MERZ: Thank you, Dr. Butler. I
16 would completely agree with David, and I would just
17 add, listening to the patient testimonies, it's
18 very clear to me that possibly the 6-minute walk
19 test was not appropriate for this ambulatory
20 population, who probably had a lower prevalence of
21 the peripheral neuropathy. I would be even more
22 concerned about that because of the overlap of

1 symptoms. Fatigue is fatigue, muscle weakness is
2 muscle weakness, and it's going to be hard to know
3 if it's coming from the heart or the peripheral
4 neuropathy. In the prior study with the robust
5 improvement in walking time, potentially, as
6 Dr. Peterson was bringing out, they may not have
7 just had a sick enough disease to see it in this
8 APOLLO-B.

9 So I found the patient testimony very
10 enlightening, and I would suggest to the sponsor to
11 consider analyzing that more carefully with grades
12 of severity of the neuropathy. It did not look
13 like it was an exclusion criteria. Thank you.

14 DR. BUTLER: Great. Thank you very much.

15 Dr. Moliterno, your hand was up, and I just
16 was making sure it did not inadvertently go down.

17 DR. MOLITERNO: Thanks, Dr. Butler. No, I
18 think Dr. Bairey Merz covered what I was going to
19 say. I think we all see a benefit. The question
20 is, as David said in the beginning with some
21 magnitude and clinical meaningfulness of it,
22 there's a small benefit. We see that. But

1 relative to the patients' current functional
2 capacity, it's relatively small, a 4 or 5 percent
3 impact on the 6-minute walk test, and is that
4 meaningful enough.

5 We'll get to it later, but it's all relative
6 to, I guess, potential harm, potential cost,
7 potential convenience or inconvenience of receiving
8 the drug and, fortunately, it's a relatively safe
9 drug, but I won't jump to that for now, so no other
10 comments. Thank you.

11 DR. BUTLER: Thank you. I mean, personally,
12 from my perspective, whether the magnitude of
13 benefit was meaningful is something that we can all
14 have our perspective, but using KCCQ as an anchor
15 to determine that, while there may not be a lot of
16 precedence for that, at least the rationale that
17 was given was reasonably meaningful to me and, of
18 course, should be replicated in future studies as
19 well. And maybe it's not what we have done
20 commonly before, but I was actually reasonably
21 convinced by the rationale that was given.

22 Any further comments related to the

1 magnitude of clinical meaningfulness of patisiran
2 treatment?

3 (No response.)

4 DR. BUTLER: Hearing none -- please go
5 ahead.

6 DR. ROY-CHAUDHURY: Let me raise my hand.
7 Sorry. Again, really, I learned a lot from all of
8 these discussions. I just want to put out that the
9 durability of the effect was something that keeps
10 coming back to me over a prolonged period of time,
11 which obviously if the data that was shown is
12 correct, would mean that the overall ultimate
13 impact of that 6-minute walk test over time would
14 actually be quite beneficial. I understand
15 completely that the study was a 12-month study, and
16 that as we look to the open-label study, obviously
17 that's not as clean. But I just wanted to make
18 that point.

19 DR. BUTLER: I think we did see somewhat of
20 a modest benefit in the first 6 months, and that
21 expanded over time, but that may be consistent with
22 the fact that it may take some time for the

1 medication to act and lower the burden of amyloid.

2 Great.

3 DR. ROY-CHAUDHURY: Thank you. That's the
4 end.

5 DR. BUTLER: Thank you.

6 Any other comment from any panel members on
7 this question?

8 DR. THADHANI: Butler, I think Dr. Abernathy
9 and myself have our hands up.

10 DR. BUTLER: You know, I need to -- okay.
11 Yes.

12 Please, Ms. Abernathy?

13 MS. ABERNATHY: Thank you. As a hereditary
14 amyloidosis patient, I was a mixed phenotype. I
15 think one of the frustrations from my perspective,
16 as well as other patients I know, has been that the
17 disease has often been categorized as either
18 cardiac or affecting neuropathy. It's difficult to
19 imagine, as was stated a little bit earlier, that
20 the 6-minute walk test might not also be affected
21 by the degree of progression of the neuropathy, and
22 I know that it happens differently in different

1 patients.

2 For instance, my father, who died at 49 from
3 this disease in 1968, was bedbound for 4 years with
4 severe polyneuropathy, yet I had cardiomyopathy for
5 a good 10 years before I was diagnosed, and only
6 developed polyneuropathy, progressively of course,
7 after having had a heart and a liver transplant in
8 2012, despite having been suspected of having
9 amyloidosis many, many years prior to that. So the
10 6-minute walk test just does not seem, to me, to be
11 a very clean, if you will, way of measuring
12 progression. Thank you.

13 DR. BUTLER: Thank you very much.

14 Dr. Thadhani?

15 DR. THADHANI: Thank you, Dr. Butler. Ravi
16 Thadhani. I was struck by the conversation from
17 the agency on the precedence of using these
18 functional measures as endpoints in clinical
19 trials, and the precedence going into this study
20 that very few studies, at least in this arena, have
21 necessarily received the registrational approval
22 using functional measures, and more importantly the

1 lack of a cut-point that we can all then converge
2 on and necessarily celebrate and say, if they met
3 it, wonderful, and if they didn't, they would not.

4 What that necessarily does to myself, at
5 least, among the members of this panel, then, is it
6 forces us to look more critically at the aggregate
7 data, the totality of the data, and the threshold
8 less important, but the totality with regards to
9 other measures, and the consistency. This is just
10 a comment, Dr. Butler, but we're then left
11 necessarily with looking at everything else, not
12 the least of which includes risk, which I know
13 we'll come to, so thank you.

14 DR. BUTLER: Thank you very much.

15 Is there any other panel member that has a
16 comment to make before I summarize the session?
17 Because on my display, sometimes it's jumping who
18 has their hand up.

19 (No response.)

20 Hearing none, let me just quickly summarize
21 what I thought I heard, and if I'm missing
22 something, if somebody wants to add to that. There

1 are varying opinions to the magnitude of the
2 benefit that was seen and whether that was
3 clinically meaningful or not, and similarly, there
4 were some varying opinions in terms of how the MCID
5 was calculated and whether KCCQ is an appropriate
6 anchor to be doing that evaluation or not.

7 There were some comments also made in terms
8 of the benefit was limited to 12 months and that
9 perhaps there are reasons to believe that over
10 time, more benefits might accrue as well. There
11 were also comments made that having a single
12 standard across the entire spectrum of disease and
13 across various diseases is perhaps not appropriate
14 and whether patients at different levels of
15 sickness may have different benefit, and whether
16 that should be accounted for as well. And
17 similarly in the same light, another comment that
18 was made is that looking at the overall literature
19 on 6-minute walk test and the variations in what is
20 considered a threshold for improvement, perhaps
21 rather than pinning yourself to one specific
22 number, maybe the decision ought to be made on the

1 basis of totality of evidence both for benefit and
2 for risk. These were varying opinions for the
3 committee members.

4 Does anybody want to add to the summary I
5 presented? Otherwise, we'll move on to question
6 number 2.

7 (No response.)

8 DR. BUTLER: Hearing none, may we move on to
9 question 2, please?

10 Question number 2 states, discuss the
11 magnitude and clinical meaningfulness of
12 patisiran's treatment effect on the Kansas City
13 Cardiomyopathy Questionnaire Overall Summary Score.
14 Before we begin the discussion, are there any
15 comments or issues related to the wording of this
16 question?

17 (No response.)

18 DR. BUTLER: Hearing none, we will open this
19 up for discussion. So again, let me take the
20 prerogative and start this discussion.

21 Linking the KCCQ back to the 6-minute walk
22 test, again, the magnitude of benefit is something

1 that we all have our opinions on, but in terms of
2 using the KCCQ anchor, the primary anchor that was
3 used was overall summary score, which was similar
4 to the primary endpoint, so it does make sense,
5 although overall summary score comprises a lot of
6 different domains.

7 What is more pertinent to activity level
8 perhaps is the the PLS, physical limitation score,
9 and the sensitivity analysis was pretty consistent
10 with what we saw with the overall summary score, so
11 that was helpful. But in terms of the overall
12 summary score and the benefit that we have seen,
13 about 3.7, and whether to use a fixed anchor of 5
14 as clinically meaningful from previous studies is
15 something that we can discuss.

16 Maybe I can invite Dr. Cella to make some
17 comments.

18 DR. CELLA: Thank you. Well, I think, just
19 like the first question and just like the primary
20 endpoint, this is a very small difference, group
21 difference, based upon other studies in the
22 literature and what we know about the KCCQ. In

1 effect size terms, the effect size of the
2 difference is 0.18, which is less than the
3 conventional 0.2 that one wants to see for a small
4 effect. Incidentally, for the 6-minute walk, it's
5 0.14, so even slightly lower than the 0.18.

6 Perhaps more importantly, and part of why I
7 asked about the 10-point difference, there's a
8 concern about using group-based information,
9 particularly with relatively low correlations, and
10 I'm talking now about prior literature that
11 established the 5-point difference. Using
12 group-based information to then say that should be
13 used to classify individuals as change is
14 problematic because of error. I mean, the error in
15 one person's score is much greater than the error
16 in a group average score.

17 We usually like to see that be increased,
18 and that's why I asked about 10 points. Looking at
19 the 10-point information, there's still an
20 advantage. We saw that in the cumulative
21 distribution function as well. So there is still
22 an advantage. It's like a light wind blowing in

1 favor of patisiran over placebo, but it's a very
2 small advantage that needs to be weighed later with
3 all the other evidence.

4 I just would add one other thing, which is
5 we didn't talk about number needed to treat. I
6 didn't necessarily recall exactly what those
7 differences in percentage were for the 10-point
8 difference, which I would regard as a reasonable
9 score change to classify somebody as changed,
10 whether for the worse or better, but I think they
11 were a little south of 10 percent on each side,
12 which would be a number needed to treat of around
13 maybe in the 10-to-12 patient arena, and that might
14 be worth considering to the cardiologist and to the
15 patient community. Would a 1 in 10 or 1 in 12
16 chance of getting a benefit be worth it? I just
17 put that out there if you buy into the 10-point
18 number, so thank you.

19 DR. BUTLER: Great. Thank you very much.

20 Dr. Bairey Merz?

21 DR. BAIREY MERZ: Yes. Dr. Cella said what
22 I was going to say, so I lowered my hand, but thank

1 you.

2 DR. BUTLER: Dr. Peterson?

3 DR. PETERSON: Yes. I don't have much more
4 to add than what Dr. Cella added. I very much like
5 the first discussion. The positive notes are that
6 the results are pretty darn consistent any way they
7 cut the data among subgroups. It follows a nice
8 predictive curve, getting more over time.

9 Unfortunately, the measured difference at the end
10 of the study was small right at that border, and
11 even less than the border we typically think of as
12 clinically meaningful, based on prior studies and
13 comparison of literature. That's where we sit.

14 The data post-randomization, or when we get
15 to the open label, on the one hand, it's positive.
16 It looks really good. The curves, particularly for
17 the control group, go up, which would be seemingly
18 showing an effect of the drug, but we don't know
19 there if patients have a placebo effect, which
20 could conceivably be affecting what's happening.
21 They know they're on therapy now, so any kind of
22 shift that happens after that to me is

1 uninterpretable, unfortunately, given the data that
2 we have so far.

3 So it's tough. It would have been ideal if
4 the study would have been run longer to see if
5 these curves continue to diverge while blinded to
6 the therapy that was given, or that we would have
7 seen the effect that they had postulated at the
8 beginning of the study in terms of a magnitude of
9 difference that would have made it more unambiguous
10 than we are now. That's all.

11 DR. BUTLER: Dr. Moliterno?

12 DR. MOLITERNO: Yes. Thanks, Dr. Butler.
13 David Moliterno. I don't have a lot more to add to
14 the others. I have a minor background concern
15 mainly because I don't know the tafamidis data
16 well, but noting that the non-Caucasians reported
17 here, the roughly one-fourth of patients who showed
18 no benefit or neutrality, if you will, if we say
19 there's a small benefit in the KCCQ, it looks to be
20 even less than that in the non-Caucasians. So
21 again, I appreciate it's a subgroup, and it's
22 really quite small, but just throwing that comment

1 out there that either looking back at the tafamidis
2 data or urging the sponsor to get more data in
3 African -- or blacks, and other non-Caucasian
4 groups. That's all. Thank you.

5 DR. BUTLER: Well, thank you very much.

6 I mean, I think the challenge that we have
7 is the precedence and what difference in
8 population-based KCCQ is clinically meaningful. If
9 you actually look at 3.7 numerically, this is
10 higher than most of the heart failure therapies
11 that we have seen, except that they were not
12 seeking regulatory approval for KCCQ or for health
13 status improvement. But for most of the therapies,
14 it has ranged somewhere between 2-to-3
15 population-based differences, and that's where this
16 issue of responder analysis comes in.

17 Again, we can argue whether a 5-point
18 improvement in this particular disease's data and
19 in this particular patient population is
20 appropriate or not, but the overall literature, in
21 the heart failure world at least, would suggest
22 that various different diseases -- HfrEF, HFpEF,

1 iron deficiency -- it sort of pans out that it's
2 somewhere in that neighborhood. But I think our
3 challenge here is that the 3.7 is not comparing
4 with other therapies, which we know are comparable,
5 but whether this is significant enough, clinically
6 meaningful enough, for approval per se.

7 Dr. O'Connor?

8 DR. O'CONNOR. Yes. I just want to jump in
9 and say that I think we're all in agreement that
10 the magnitude feels small and low, but in my
11 opinion, the clinical meaningfulness is unclear, as
12 you highlighted nicely. And in this specific
13 disease state, maybe these small numbers in this
14 elderly population, in this disease state, maybe it
15 is meaningful. We just don't know.

16 When we ran HF-ACTION study, exercise
17 training in 2000 HFREF patients, the KCCQ change
18 and the 6-minute walk change are identical to what
19 we see here, and those translated into a
20 significant reduction at 2 years in heart failure
21 hospitalization. So I think, as Eric pointed out,
22 there were significant design flaws in this study.

1 I'm sad by that because I think these could have
2 been avoided, and that duration is one of them, but
3 I think there are many of them.

4 I think the endpoint choice could have been
5 a win ratio with clinical and functional components
6 to a composite endpoint, and I didn't hear whether
7 adaptive monitoring was used to understand that
8 they were -- as the trial was going on, they
9 weren't meeting it even in an aggregate look, the
10 type of changes that had been postulated at the
11 beginning of the trial. So I would say for both
12 one and two, magnitude small and meaningfulness
13 unknown in this disease state.

14 DR. BUTLER: Thank you, Dr. O'Connor.

15 Any other comments before I summarize this
16 discussion?

17 (No response.)

18 DR. BUTLER: Hearing none, this discussion
19 pretty much reflected what we discussed with the
20 6-minute walk test and very well summarized by
21 Dr. O'Connor at the end that there are some
22 design-related issues, which makes the

1 interpretability of these results a little bit
2 difficult. The numerical differences are modest.
3 The clinical meaningfulness is a little bit
4 difficult to ascertain. The numerical differences
5 are pretty consistent with some of the other
6 disease states where KCCQ has been measured.

7 There was no MCID for KCCQ with anchor-based
8 analysis using something like PGIS/PGIC done in
9 this particular study that we saw, but the
10 responder analysis with 5 points and 10 points was
11 positive, and there were comments made that if you
12 are positive in responder analysis with a 10-point
13 improvement, then you can get a little bit more
14 comfort that the results are clinically meaningful.

15 Is there any other comment anybody wants to
16 make about question 2 before we move on to
17 question 3?

18 (No response.)

19 DR. BUTLER: Hearing none, can we move on
20 to question 3, please? I will again read the stem.
21 Discuss whether patisiran has other established
22 clinical benefits for the treatment of

1 transthyretin amyloidosis, ATTR, cardiomyopathy?

2 Does anybody have any issues with the
3 wording of the stem?

4 (No response.)

5 DR. BUTLER: Hearing none, we will open this
6 up for discussion. So again, I will take the
7 liberty of starting the discussion.

8 The magnitude of benefit in APOLLO versus
9 APOLLO-B does raise concern of why was the benefit
10 attenuated, but then sometimes we really get into
11 the nitty-gritty subgroup analysis, and if
12 anything, we would have seen attenuation of benefit
13 with the tafamidis group, but it was people who
14 were not on tafamidis that tended to have no
15 mortality benefit, which warns us against looking
16 too much into subgroup analysis, and may have some
17 random results that we then have to contend with.

18 Dr. O'Connor?

19 DR. O'CONNOR: Yes. I think you've said it
20 well. I think the clinical benefits are unknown,
21 unfortunately, because we didn't have a trial
22 conducted that had sufficient number of clinical

1 events. There are, however, I think a number of
2 important signals that line up in this entire data
3 set of the two trials, and that is imaging
4 structure looks favorable. I'm very impressed with
5 the delta in the NT-proBNP, and as you know, we're
6 getting close with that marker. It's getting close
7 to being a validated surrogate. It's not now, but
8 it's an important biomarker that we base a lot of
9 our phase 2 decisions on in clinical trials, so
10 that was an impressive delta, I think.

11 Then you've got the functional, the PRO, and
12 then you've got the trend in all these post hoc
13 analyses, whether it's the win ratio or whether
14 it's the pooled analysis of hospitalization and
15 death that looks favorable without statistical
16 significance, so lots of lining up, but it's still
17 clinical benefits unknown.

18 DR. BUTLER: Thank you, Dr. O'Connor.

19 Dr. Moliterno?

20 DR. MOLITERNO: I echo what Dr. O'Connor
21 said, that you've got this nice biologic
22 underpinning that does lead to serologic changes

1 that maybe aren't directly clinically beneficial,
2 but they have been found to be directly beneficial
3 in other studies, and obviously in our own clinical
4 day-to-day practice. And then I guess there's an
5 indirect benefit, not to sound snarky but just
6 having to present to a healthcare provider every
7 3 weeks. There's probably some benefit to that,
8 too, even though it's obviously not directly
9 related to the drug; it's just a requirement for
10 the drug to be infused. That's all. Thank you.

11 DR. BUTLER: Thank you very much.

12 Any other comments from the panel members?

13 (No response.)

14 DR. BUTLER: I think this section was pretty
15 straightforward that it is difficult to make any
16 conclusive comments on the clinical endpoints,
17 considering the small number of events. But the
18 totality of evidence, looking at a biomarker and
19 remodeling data, does give hope in the right
20 direction, but we do not necessarily have the
21 numbers to make any definitive conclusion, and that
22 was not the primary endpoint of the trial itself.

1 Dr. Roy-Chaudhury?

2 DR. ROY-CHAUDHURY: I was just wondering
3 whether you're going to extend the question on to
4 the second part and also discuss whether there is a
5 patient population that would benefit from
6 patisiran monotherapy. It was a long additional
7 section. I mean, I can just jump into it, if you
8 want.

9 DR. BUTLER: Please.

10 DR. ROY-CHAUDHURY: I did think that the
11 comments that were made about the polyneuropathy
12 and the cardiomyopathy being one disease were
13 actually quite useful and important, particularly
14 at a clinical-level physician and patient. So if
15 you had a patient who had a lot of polyneuropathy
16 and they had the cardiomyopathy, then patisiran
17 would seem to be a really good drug to start off
18 with, and that was the only comment I wanted to
19 make. Thank you.

20 DR. BUTLER: Great comment. Thank you.

21 Any other comments on this section?

22 (No response.)

1 DR. BUTLER: Okay. Hearing none, can we
2 move on to question 4? I will read the stem.
3 Discuss whether there is clinically meaningful
4 benefit of patisiran in patients with ATTR
5 cardiomyopathy who are also receiving tafamidis.
6 Also discuss whether there is a patient population
7 that would benefit from patisiran monotherapy
8 without tafamidis, taking into account that
9 tafamidis is approved for reducing cardiovascular
10 mortality and cardiovascular-related
11 hospitalization in ATTR cardiomyopathy.

12 Is there anything regarding the stem which
13 is unclear in terms of its wording?

14 (No response.)

15 DR. BUTLER: Hearing none, we will open it
16 up for discussion.

17 Dr. Roy-Chaudhury?

18 DR. ROY-CHAUDHURY: I apologize. I got my
19 numbers mixed up, so my apologies.

20 DR. BUTLER: No problem. No problem. I
21 wasn't going to call you out. No problem.

22 Dr. O'Connor?

1 (No response.)

2 DR. BUTLER: You're muted.

3 DR. O'CONNOR: I think this is a really
4 important question because, in my view, a lot of
5 things happened here. There was capping of
6 tafamidis, which I'm not sure why that was because
7 what we saw in the open enrollment, we saw a large
8 amount of tafamidis being used. I think that's
9 what I saw from the FDA presentation, that a large
10 number of patients were now on it. And it's first
11 line, so, to me, it would be like doing a HFpEF
12 trial today and capping SGLT2 inhibitors. It just
13 doesn't make sense.

14 But having said that, we see an attenuated
15 effect in those on baseline tafamidis, and the
16 NT-proBNP was flat, and that was very worrisome to
17 me. I think there is a swim lane. There's a
18 greater magnitude of benefit in the tafamidis naïve
19 patients. It's a small group. I don't know who
20 that group would be because the inclusion criteria
21 looked similar to me, but it may be cost or drug
22 intolerance. Thank you.

1 DR. BUTLER: Thank you.

2 Dr. Thadhani?

3 DR. THADHANI: Thank you. Ravi Thadhani.

4 The discussion I was struck by had to do with the
5 potential evidence or was there any evidence of a
6 combination effect, added effect, synergistic
7 effect, of the two agents, I think going to the
8 first sentence here. Biochemically, just given the
9 complete knockdown, it was difficult to tell, at
10 least through TTR levels, that there would have
11 been potentially an additive or synergistic effect.

12 Clinically, I don't believe we saw -- at
13 least I didn't recall any data that we saw from the
14 sponsor that there would be an additive or a
15 synergistic effect. If anything, I think we saw
16 subgroup analyses that individuals who are also on
17 tafamidis actually had limited, if any benefit. I
18 think the forest plots demonstrated necessarily the
19 point estimates of zero or very near zero -- or 1.
20 I apologize. Thank you.

21 DR. BUTLER: Thank you.

22 Dr. Bairey Merz?

1 DR. BAIREY MERZ: I would just add, there
2 might be a third lane, and to Dr. O'Connor's
3 comments, encourage the sponsor to look at grading
4 the polyneuropathy, understanding potential
5 interactions, even tafamidis naïve patients. But
6 those that are already on it, if they have severe
7 polyneuropathy, there may be a window to be looking
8 at for a third lane. Thank you.

9 DR. BUTLER: Thank you.

10 Dr. Moliterno?

11 DR. MOLITERNO: Thanks, Dr. Butler. David
12 Moliterno. I struggled throughout the presentation
13 trying to understand if there would be, in fact, a
14 group that patisiran monotherapy would benefit, and
15 I struggled. I mean, we just went through the
16 challenge of finding benefit, and now trying to
17 augment that by patients who could not take
18 tafamidis. And again, I don't know the literature
19 so well, but I don't know of there being any
20 absolute contraindication to tafamidis, and my
21 recollection from the early studies is that there
22 was a very small, 1 percent or so, dropout rate

1 from drug intolerance or having to change the dose.

2 So I think it will be a struggle, and I'm
3 hopeful that tafamidis won't be unseated, at least
4 with the data so far, as a first-line therapy
5 because I do think it's important. Thank you.

6 DR. BUTLER: Thank you very much.

7 So usually this section is a panel member
8 discussion. I do see a hand raised by the
9 applicant, so we'll allow a quick comment, if they
10 have. Please go ahead.

11 MR. SLUGG: Yes. Thank you very much for
12 the opportunity. We just wanted to help the
13 discussion by making a few points of clarification.
14 We heard a few times regarding the potential
15 interaction between polyneuropathy and the primary
16 endpoint. We have done analyses to evaluate this,
17 and we're happy to share those. We found that
18 there is no impact -- and there are very few
19 patients with baseline polyneuropathy and no
20 impact, and we can walk you through those data.

21 Also, there may be a misperception. The FDA
22 mentioned a large number of baseline tafamidis

1 patients; it's in a totally separate expanded
2 access protocol. There were very, very few
3 patients who had tafamidis drop-in. There were
4 less than 7.5 percent patients on placebo overall
5 and 4.4 percent on patisiran in the entire 24-month
6 period who had drop-in, so we just wanted to
7 clarify that.

8 Also, to help and aid the discussion, at
9 this particular point, tafamidis is not approved
10 for polyneuropathy of this disease; patisiran is.
11 We were happy to share the data on the
12 polyneuropathy impact since many of the panel
13 members did seem to have that aspect of curiosity
14 during the discussion, on discussion item number 1.

15 DR. BUTLER: I think your comments have been
16 very, very helpful, so I think that makes the
17 point. Thank you very much.

18 MR. SLUGG: Thank you.

19 DR. BUTLER: Dr. Moliterno, your hand is
20 still up. I don't know whether it's a legacy hand
21 or do you have another comment. Okay.

22 One comment that I was really struck with,

1 and multiple experts mentioned this, is that people
2 continue to progress on tafamidis, and having an
3 alternate option would be very helpful. The
4 problem is that this trial enrolled patients
5 primarily NYHA II, and those patients who we might
6 think are tafamidis non-responders and are
7 progressing are probably going to have NYHA
8 class III more often, and that's where we saw a
9 negative interaction and not seeing the benefit in
10 6-minute walk test.

11 I don't know whether that's a real signal or
12 not, but that makes the interpretation a little bit
13 difficult to say that the non-responders are
14 definitely going to respond to patisiran therapy,
15 or are the non-responders so sick that they're not
16 going to be responding because we did not see an
17 NYHA III benefit as well. So that is something
18 that I struggle with.

19 Dr. O'Connor?

20 DR. O'CONNOR: I think that's a really good
21 point, and I struggled with those commentaries who
22 came from multiple sources to say that this could

1 be used for patients failing tafamidis when the
2 curves, the clinical curves for tafamidis, separate
3 late. So you actually may be sliding down a little
4 bit with disease progression, but those clinical
5 effect curves separated at 24 to 36 months. And
6 plus, this study did not address tafamidis failure,
7 so I think that was a very misleading set of
8 comments.

9 DR. BUTLER: Thank you, Dr. O'Connor.

10 Any other comments on the tafamidis
11 interaction or the patient population?

12 (No response.)

13 DR. BUTLER: Hearing none, let me try to
14 summarize what I heard. So I think there was
15 pretty much a unanimous agreement that tafamidis
16 interaction was of concern. How to interpret that
17 from a clinical perspective is something that the
18 panel seems to be struggling with. There are
19 certain patient populations, for instance, those
20 with polyneuropathy; those where there may be a
21 cost differential; those where they may be
22 intolerance to tafamidis, where patisiran may be

1 used as a first-line agent, but the trial was not
2 necessarily designed particularly to answer those
3 questions.

4 While there are patients who are progressing
5 on tafamidis, this should be given as a second
6 agent because in this particular interaction
7 analysis that we saw, it was for all patients on
8 tafamidis, not necessarily those who were
9 progressing. But some of the data, especially with
10 the NYHA III interaction, makes it difficult to
11 interpret whether that patient population will be
12 responsive to tafamidis or not.

13 Then there was just a general concern that
14 the three potential uses as initial therapy, as
15 rescue therapy, or as an add-on therapy, none of
16 those were really either designed to be answered,
17 or meaningfully answered per se, in this particular
18 study, and that the benefit with tafamidis may take
19 some time before it is evident, and how to even
20 call the non-responder may not be that simple.

21 Is there any other comment related to four
22 or anything that I missed in the summary

1 discussion?

2 Ms. Abernathy?

3 MS. ABERNATHY: Thank you. I'm not really
4 sure where this comment belongs, but your
5 discussion, or your mention of the fact that maybe
6 some people cannot take the tafamidis, I think as
7 Muriel Finkel pointed out in the public comments,
8 there is a very real issue with access to
9 medication and affordability, and I know that many
10 of us have run into that, inability to get the
11 medication that is recommended for us because of
12 lack of realistic insurance coverage or people on
13 Medicare not perhaps being able to access co-pay
14 funding. So while that is not a clinical issue
15 per se, I think it's a very real patient issue.

16 I could imagine that there might be cases,
17 probably many, of people for whom tafamidis would
18 be an appropriate medication but yet they would not
19 be able to obtain it. All of these medications are
20 extremely expensive, as we all know, so having an
21 alternative might be appropriate and might be
22 helpful. I just wanted to put that in. Thank you.

1 DR. BUTLER: Great comment. No right time
2 for that really important comment. Thank you very
3 much.

4 Any other comment or questions related to
5 this topic or any of the previous three topics
6 before we move on to question number 5?

7 Ms. Abernathy, your hand is still up. I
8 assume it's from the previous one, and you don't
9 have any -- great. Thank you.

10 Any other comment from anyone?

11 (No response.)

12 DR. BUTLER: Great. Hearing none, can we
13 move on to question number 5? I will read the
14 stem. Discuss whether patisiran has safety issues
15 of concern for the treatment of ATTR
16 cardiomyopathy.

17 Are there any issues that are related to the
18 wording of this question?

19 (No response.)

20 DR. BUTLER: Hearing none, I will open it up
21 for discussion.

22 (No response.)

1 DR. BUTLER: Any safety concerns related to
2 the therapy?

3 Dr. Moliterno, you were mentioning something
4 in the first comment related to safety. Please.

5 DR. MOLITERNO: Yes. Thanks. I think the
6 good news in this story is while there may be small
7 benefit, it doesn't look like there's a signal for
8 harm, short of maybe some of the eye findings,
9 which didn't bother me a lot. Again, somewhat
10 tangential, my big safety concern is more
11 secondary, that if some people do believe this is a
12 viable alternative to a class I drug that's been
13 shown to reduce mortality by 30 percent, I'd be
14 concerned if, for whatever reason, tafamidis gets
15 put on the side shelf when it's got established
16 benefits; so not a direct safety concern, but an
17 indirect safety concern. Thank you.

18 DR. BUTLER: Great. Thank you very much.

19 Are there any other comments related to
20 safety?

21 (No response.)

22 DR. BUTLER: And because the next question

1 is actually a voting question, I would also invite
2 all panel members, if there is any final question
3 that they might have for the FDA or the applicant,
4 maybe we will make an exception and take a couple
5 of quick questions, if need be, because there were
6 a couple of questions that I did not allow because
7 of time considerations, or any comments related to
8 the first four questions as well.

9 Dr. Cella?

10 DR. CELLA: Yes. Thank you. This is a
11 question for the FDA. I realize that the vote
12 we're being asked to make is whether the benefits
13 outweigh the risks, so you're not asking us to vote
14 on whether we think the drug should be approved.
15 But my question is about approval options that you
16 have, and I ask that because we have the data in
17 front of us, and we have a statistically
18 significant primary endpoint that was hit by the
19 trial, very small, potentially not meaningful
20 clinical benefit, associated with the two endpoints
21 we've discussed, a minimal safety signal. But from
22 the public comments, as well as the sponsor, and

1 even some of the panelists, we've heard this idea
2 that getting access to the use of this drug in
3 patients would be of value, particularly, for
4 example, in tafamidis failures, and yet there
5 aren't data on treatment of tafamidis failures.

6 So is there an approval option that you have
7 that allows something to move forward, but
8 provisionally, in this particular context,
9 conditional on further research? That's my
10 question. I hope that was clear.

11 DR. STOCKBRIDGE: Yes, I think it is clear.
12 The only pathways to approval in the United States
13 are regular approval, which was what we were
14 contemplating here, and accelerated approval, where
15 there's a reasonably likely surrogate endpoint and
16 a study that somebody's prepared to do to resolve
17 the actual clinical benefit, but we've not
18 discussed a basis for an accelerated approval. But
19 in the United States, there is no conditional
20 approval.

21 DR. BUTLER: Thank you, Dr. Stockbridge.

22 Dr. O'Connor?

1 DR. O'CONNOR: This is just a question to
2 the sponsor, real quickly. As you look at the
3 totality of data that we've seen today, is there
4 any reflection that we may not have been at the top
5 of the dose-response curve, and could that be an
6 explanation for the attenuated small efficacy
7 changes?

8 MR. SLUGG: Let me have my colleague,
9 Dr. Robbie, address your question.

10 DR. ROBBIE: Yes. Gabriel Robbie, Alnylam.
11 So we are at the top of the dose-response curve,
12 and we know this because we have done clinical
13 trials, and in early clinical trials maybe looked
14 at a range of doses and dosing regimens.

15 Let me put this up, and what you should see
16 in a moment -- can I have the dose-response,
17 please? Okay. What you should see here is the
18 dose response on the X-axis, where with increasing
19 doses, we see that the TTR reduction decreases to a
20 maximum level. And indeed, the dose that we
21 studied, 0.3 milligrams per kilogram, this is the
22 maximum portion of the dose-response curve.

1 Additional doses are not expected to yield higher
2 or additional TTR reduction, and this has been
3 confirmed in the polyneuropathy patients in APOLLO,
4 where it was shown to be safe and efficacious, and
5 therefore, we selected the same dose for
6 cardiomyopathy because we know this is just a
7 different phenotype of the same amyloid disease.

8 I hope that answers your question.

9 DR. BUTLER: Thank you.

10 Are there any other comments for any of the
11 five discussion points, or any last questions for
12 the FDA or the applicant before we conclude and
13 move on to question 6, which is a voting question?

14 (No response.)

15 DR. BUTLER: Hearing none, we
16 will -- Dr. Thadhani, please go ahead.

17 DR. THADHANI: Thank you. Sorry about that.
18 I couldn't find the button. Just to go back to
19 Dr. Stockbridge, you clarified in terms of methods
20 of approval, primary or accelerated. Was there any
21 discussion on second-line treatment, meaning after
22 first agent doesn't work, as a potential rescue

1 therapy, with the observation that the patient
2 continues to decline, as we've heard over and over
3 again, which in this inexorable disease seems to be
4 common. Second line treatment, if you could just
5 comment on that. Thank you.

6 DR. STOCKBRIDGE: Yes. I think if you
7 happen to vote in the next question in favor of
8 approval, you can clarify that's what you had in
9 mind. It is possible to grant somebody a
10 second-line use, but it's a little funny since
11 tafamidis has a different claim than this could
12 possibly have, and no tolerance problem at all, as
13 far as I'm aware.

14 DR. THADHANI: Thank you.

15 DR. BUTLER: Thank you, Dr. Stockbridge.

16 Any further comments or questions?

17 (No response.)

18 DR. BUTLER: Hearing none, we will move on
19 to question 6, which is a voting question. Before
20 I read the stem, I will invite Dr. Joyce Frimpong
21 to please provide us with some instructions for
22 voting.

1 DR. FRIMPONG: Thank you, Dr. Butler.

2 This is Joyce Frimpong, DFO. Question 6 is

3 a voting question. Voting members will use the

4 Zoom platform to submit their votes for this

5 meeting. If you're not a voting member, you'll be

6 moved to a breakout room while we conduct the vote.

7 After the chairperson reads the voting question

8 into the record and all questions and discussions

9 regarding the wording of the vote question are

10 complete, we will announce that voting will begin.

11 A voting window will appear where you can submit

12 your vote. There'll be no discussion during the

13 voting session.

14 You should select a button in the window

15 that corresponds to your vote. Please note that

16 once you click the submit button, you will not be

17 able to change your vote. Once all voting members

18 have selected their vote, I will announce that the

19 vote is closed. Please note that there will be a

20 momentary pause as we tally the vote and return

21 non-voting members into the meeting room.

22 Next, the vote results will be displayed on

1 the screen. I will read the vote results from the
2 screen into the record. Thereafter, the
3 chairperson will go down the list, and each voting
4 member will state their name and their vote into
5 the record. Voting members should also address any
6 subparts of the voting question, including the
7 rationale for their vote.

8 Are there any questions about the voting
9 process before we begin?

10 (No response.)

11 DR. FRIMPONG: Since there are no questions,
12 I will hand it back to Dr. Butler, and we can
13 begin.

14 Back to you, Dr. Butler.

15 DR. BUTLER: Very well. Thank you very
16 much. If there are no further questions, can we
17 have question 6? This is a voting question. Do
18 patisiran's benefits outweigh its risk for the
19 treatment of ATTR cardiomyopathy?

20 After all the votes are registered, we will
21 go through everybody who will declare their vote
22 and will also give a rationale at that point. If

1 you voted yes, you will have the opportunity to
2 describe the patient population, the clinical
3 meaningful benefit, and how the clinical
4 meaningfulness was established, and if you voted
5 no, provide recommendations for additional data
6 and/or analyses that may support a positive
7 benefit-risk assessment of patisiran for the
8 treatment of ATTR cardiomyopathy.

9 So again, the voting stem is, do patisiran's
10 benefits outweigh its risk for the treatment of
11 ATTR cardiomyopathy? Are there any clarifications
12 or issues related to the wording of the stem?

13 (No response.)

14 DR. BUTLER: If there are no further
15 questions or comments concerning the wording of
16 this question, we will now begin voting on
17 question 6.

18 DR. FRIMPONG: We will now move non-voting
19 participants to the breakout room.

20 (Voting.)

21 DR. FRIMPONG: Voting has closed and is now
22 complete. For the votes, we have 9 yeses, 3 noes,

1 and no abstentions.

2 Dr. Butler, I'll hand it back to you.

3 DR. BUTLER: Great. Thank you very much.

4 Now we will go down the list and have
5 everyone who voted state their name and vote into
6 the record. You may also include the rationale for
7 your vote, and we'll start with the first person,
8 Dr. Kasper.

9 DR. KASPER: This is Ed Kasper. I voted
10 yes. Dr. Cella used the term "light wind," and I
11 think he characterized this perfectly. There is a
12 light wind for benefit and no wind for risk. So if
13 you're asking do benefits outweigh risks, the
14 answer is, yes, it does.

15 It would have been a more difficult question
16 to answer, is there clinically meaningful benefit
17 versus risk, but that's not what the question
18 asked.

19 DR. BUTLER: Thank you very much.

20 Dr. Peterson?

21 DR. PETERSON: Sorry for the delay. Yes, I
22 don't disagree with the last speaker. I think that

1 the decision on my part came down to benefit being
2 defined in my mind by clinically meaningful
3 benefit. Statistical significance was clear. The
4 magnitude of benefit was small.

5 Does a functional outcome measure matter for
6 approval? I think that's an important metric for
7 patients and should be a reason for approval. I
8 just felt that the differences here were quite
9 small and bordered very closely into the clinically
10 not meaningful category or wasn't clear to be a
11 clinically meaningful category to get approval, in
12 my sense.

13 I do believe that some changes in the study
14 design that we talked about, I think summarized
15 well by Dr. O'Connor, clarified the information
16 that is needed. That partly also weighed in on my
17 decision. I think it will be important to get more
18 information here, and the need for another study
19 will motivate obtaining that information in those
20 populations for which we don't have those answers.

21 DR. BUTLER: Thank you, Dr. Peterson.

22 Dr. Kovesdy?

1 DR. KOVESDY: Yes. Thank you. I voted yes.
2 The reason being is that the applicant performed a
3 clinical trial based on discussions with the FDA.
4 They followed the instructions. The study was well
5 conducted. It had an excellent internal validity,
6 and in the end proved efficacy and safety of the
7 study drug.

8 There has been uncertainty about the
9 clinical meaningfulness. What I heard is that
10 there is no clear answer to this. There is no
11 clear metric. The FDA did not provide an a priori
12 metric to the company to follow during the trial
13 and, in my opinion, this came down to the context
14 and patient population. In the studied patient
15 population, where progression of the used metric
16 was relatively slow, the improvement noted with the
17 intervention could be interpreted as clinically
18 meaningful. Thank you.

19 DR. BUTLER: Thank you very much.

20 Dr. Bairey Merz?

21 DR. BAIREY MERZ: Noel Bairey Merz. I voted
22 no, dominantly because I did not feel like there

1 was benefit. Again, issues with both the FDA and
2 the sponsor perhaps could have been better designed
3 for clinical relevance thresholds, but using
4 existing clinically relevant thresholds, neither of
5 them met what we typically use in cardiology, so I
6 answered no because of the lack of benefit.

7 It was offset not by risk, but in my mind
8 also by potential harm. I agree with the concern
9 that opting out of one formulation for another one
10 that may or may not be perceived as better is a
11 potential harm, as well as the intravenous costs
12 and the time and effort among the patients and the
13 providers, so that did influence my decision. My
14 counsel would be both the FDA and the sponsor work
15 together to establish metrics. It is possible that
16 the existing data set of course could be followed
17 longer. I think we were all enthusiastic about
18 seeing the possible breeze that could be extended
19 beyond the longer follow-up, and then as mentioned,
20 possibly a new trial because of that promise.

21 Thank you.

22 DR. BUTLER: Great. Thank you very much,

1 Dr. Bairey Merz.

2 This is Javed Butler. I voted no. I
3 certainly struggled with this vote. My no vote
4 absolutely does not reflect that the disease state
5 is not important, or that there is not an unmet
6 need, or that there is not a potential with the
7 therapy. The reason I voted no was largely because
8 I wasn't sure whether the benefits were clinically
9 meaningful in the context of the study design and
10 how the study was done.

11 We do realize that in certain circumstances,
12 one trial does lead to approval of a therapy. For
13 instance, in the APOLLO study, the primary endpoint
14 was met with a p-value of 0.001. Here, the
15 p-values for the two endpoints that we have
16 discussed were 0.04 and 0.02, so they were
17 relatively a marginally positive study, and they
18 were relatively a marginally positive study in
19 light of a cap that was put on tafamidis. And if
20 tafamidis use was more liberal, perhaps the studies
21 would not have been positive.

22 All the analytic issues that we have

1 discussed, they may not be as much of a concern if
2 the benefits were more robust, but in the absence
3 of more robust benefit, these analytic issues
4 become a little bit more important. So as I
5 mentioned in my comment section, whether this
6 should be a first-line therapy versus tafamidis is
7 an open question, but the trial was not designed to
8 answer this question.

9 Whether there are incremental benefits, and
10 a dual therapy would benefit patients more, that's
11 where most of the concerns have come up in this
12 study to begin with. And finally, whether the
13 non-responders of tafamidis should be given a
14 second choice, to me, that question was also not
15 satisfactorily answered because the non-responders
16 to tafamidis may have something about their
17 biology, that they may have more progressive
18 disease non-responsive to therapy, and the fact
19 that there was a NYHA class III interaction also
20 affected my decision.

21 So either of the results were modest, but
22 there was no tafamidis interaction -- or if there

1 was a tafamidis interaction, but the results were
2 really robust, I would have voted differently, but
3 in the presence of both, I voted no. I did not
4 have any significant safety concerns.

5 Dr. Abernathy?

6 MS. ABERNATHY: I voted yes. I really
7 struggled with it, too. I do not disagree with a
8 lot of the caveats people have expressed, and the
9 wishes for a better design study and more clarity
10 perhaps around the complex nature of what exactly
11 is being measured, and how. Nevertheless, like
12 Dr. Kasper, the mild wind was enough to really sway
13 me. It just feels like it's at least something,
14 and there were no apparent risks, so that swayed me
15 towards the more positive side.

16 DR. BUTLER: Thank you very much.

17 May I request all the colleagues who have
18 voted yes, which is the rest of the panel, there
19 was a second part to the discussion as well, that
20 if you have voted yes, what is the clinical
21 meaningfulness of the result, and which patient
22 population you would recommend, and how clinical

1 meaningfulness was established? So if you can
2 answer those aspects as well in your yes vote, that
3 will be helpful.

4 Dr. Thadhani?

5 DR. THADHANI: Thank you, Dr. Butler. I
6 voted yes. We're dealing with a rare disease with
7 few options and devastating consequences. I don't
8 think anyone would debate that. We heard from
9 clinicians loud and clear, and from patients for
10 that matter, that options and alternatives are
11 critical, and that there is continuous decline of
12 cardiac function and worsening of disease in a
13 number of patients that have received the current
14 standard of care.

15 The sponsor could not -- or may have -- but
16 certainly had to deal with what was relevant at the
17 time, and that is standard of care had not been
18 established, and certainly then, appropriately as
19 we heard from the agency, capped the number of
20 patients with tafamidis on this particular study.
21 So for those reasons, benefit outweighed the risk
22 for me, given the minimal risk. Benefit clinical

1 meaningfulness, again, is subjective, as we heard
2 numerous times. That said, we know it's minimal.

3 To your question, Dr. Butler, we did not
4 see, at least from the data provided, a benefit,
5 disappointingly, for women, for African Americans,
6 and certainly among individuals that were receiving
7 tafamidis. And I would certainly urge, which I'm
8 sure they will do, discussions between the agency
9 and the sponsor to take into account those
10 populations that either did not benefit or did not
11 appear to have any additional benefit.

12 We also heard there was no evidence of
13 additive benefit or synergistic effect. Albeit
14 theoretical, which would make sense, we did not see
15 any data towards that end, and I suspect those
16 populations who did not benefit, in conjunction
17 with the data presented on the lack of a
18 synergistic benefit, should guide the discussions
19 between the sponsor and the agency. Thank you,
20 Dr. Butler.

21 DR. BUTLER: Great. Thank you very much.

22 Again, that was very helpful. If you can

1 address these two issues of the clinical
2 meaningfulness and not statistical, and also which
3 patient population for the yes vote.

4 Dr. Cella?

5 DR. CELLA: Thank you. David Cella. I
6 voted yes. Like others have said, and maybe all of
7 us, I struggled quite a bit and really was on the
8 fence through almost all of the meeting, but at the
9 end of the day, a few things swayed me towards the
10 yes vote, and one of them was the cumulative
11 distribution function that was pretty consistently
12 in favor of the treatment, not deviating anywhere
13 along the way.

14 I did ask for additional analysis of the
15 10-point change in the KCCQ, and that was very
16 helpful for me because while I'm not willing to
17 acknowledge 5 points as clinically meaningful, I am
18 willing to acknowledge 10 as very likely to be a
19 clinically meaningful individual change. There was
20 still a benefit to the treatment that I think
21 translated to a number needed to treat of around
22 12, and from what I heard from the patients in the

1 public, and also clinicians both in the public
2 comment as well as on the panel, and from the
3 sponsor's perspective, there does seem to be a real
4 desire to have this available.

5 I do hope that clinicians, and I expect that
6 clinicians will, counsel patients when talking
7 about treatment options. As for who to target for
8 this, being a non-physician -- I'm really out of my
9 depth here -- I don't have a problem, for example,
10 saying give tafamidis first because of the same
11 endpoints. Unless there's some reason, biological
12 reason, to think otherwise, the very same endpoints
13 in the tafamidis trial were much more powerfully
14 better than here in this trial.

15 So I'm not sure why a clinician would not
16 recommend tafamidis to start with, and then
17 therefore consider this in tafamidis failures as an
18 example, realizing different mechanisms and
19 probably other reasons to be concerned about that
20 approach since it's not actually been studied. But
21 I do think the fact that the same endpoints were
22 used in both trials, and the vast difference in the

1 benefit of each, is to me compelling enough to
2 suggest that there be some discussion between the
3 agency and the sponsor on appropriate use and
4 educational material. Thanks. I'll stop there.

5 DR. BUTLER: Thank you very much.

6 Dr. O'Connor?

7 DR. O'CONNOR: Chris O'Connor. I voted yes.

8 As Ed Kasper said, this is a bunt single versus a
9 home run of tafamidis. I voted yes because I
10 believe that we could develop the proper swim lane
11 with the agency for the use of this, and
12 particularly in the tafamidis naïve patients. I
13 want to compliment the sponsor for the conduct of
14 the trial and impressed how they were able to power
15 through the COVID and get a meaningful trial, and
16 they designed the trial, and they met their primary
17 endpoint; albeit, the efficacy signal was small.

18 I was disappointed with some aspects of the
19 design: the cap; the short-term follow-up; the
20 lack of a progressive clinically integrated
21 endpoint with a win ratio incorporated with the
22 6-minute walk; and the unclear utilization of

1 adaptive monitoring design, which could have
2 resized the trial to perhaps have given us a
3 stronger signal.

4 I am impressed that all the
5 signals -- echocardiographic biomarkers,
6 particularly NT-proBNP and troponin, imaging, and
7 the pooled post hoc clinical events -- all line up.
8 So even though none of them, except maybe the
9 biomarkers on imaging, were strong, they all line
10 up in the right direction, and mechanistically
11 that's very satisfying to me, and of course the
12 safety has been well recognized.

13 So I would say that in the tafamidis naïve
14 patients, how we define that -- whether it's excess
15 cost, or intolerance as a second-line drug -- if we
16 do that, given what we know, in my opinion, about
17 third-party payers and the small market that that
18 would provide, I think the sponsor would work, and
19 would be highly encouraged to conduct a much more
20 robust clinical trial that would stratify on
21 tafamidis use but allow that use to be what is in
22 practice, whether that's 50 percent or 75 percent

1 baseline, empowered sufficiently in those two
2 strata with a composite clinical endpoint using a
3 win ratio type methodology. Thank you.

4 DR. BUTLER: Thank you very much.

5 Dr. Roy-Chaudhury?

6 DR. ROY-CHAUDHURY: Yes. Thank you for the
7 opportunity. I voted yes. It was not a completely
8 clear decision. I would say that in my own mind, I
9 was 60 percent yes and 40 percent no. What I will
10 do in my comments is just go through the reasons
11 why I voted yes, and within that, try and answer,
12 Dr. Butler, the two questions that you're
13 particularly interested in.

14 So I voted yes because there's a clear unmet
15 clinical need. I voted yes because I felt that
16 there potentially was durability of results versus
17 inexorable progression. I understand that that
18 could be different. I voted yes because, as
19 Dr. O'Connor has said, there was this very nice
20 alignment or unidirectionality of the signal. I
21 voted yes because I felt that there were no safety
22 concerns of note, and I think in the real

1 world -- and this issue came up in a couple of
2 points -- is the Medicare Part B/Part D issues, the
3 payment issues, and the potential of patients
4 getting access. And again, I know that's not
5 completely a key clinical issue, but I think it's
6 out there for all of us.

7 Coming to the two questions that you had
8 asked about, the patient population and the
9 clinical meaningfulness, I think clearly patients
10 that have both polyneuropathy and cardiomyopathy
11 could be one group that this could be used first.
12 Tafamidis failures, obviously, and we've discussed
13 this, would be the other group, and the point about
14 waiting the right amount of time would be very
15 important.

16 On clinical meaningfulness -- and I just
17 want to expand a little bit on this -- I have a
18 huge amount of sympathy listening to everybody,
19 both for the FDA and the sponsor, with regard to
20 this issue of what is clinically meaningful. I
21 think we don't have the information that would
22 allow us, really, to create cutoffs for what is

1 clinically meaningful or not. And I really want to
2 applaud, I would say, the FDA, coming particularly
3 from the kidney area, just in the context that they
4 have agreed in their guidelines to have very
5 clinically oriented primary endpoints. I think
6 that's a huge, huge plus.

7 But putting it all together, I did give the
8 benefit of doubt for this question to the sponsor,
9 but what I would like to suggest, and this is
10 similar to what Dr. Merz said, is that I think
11 there is such a great opportunity for the FDA, and
12 maybe multiple sponsors together, to really try and
13 create an innovation substrate in this area that
14 will ultimately be able to answer all of these
15 questions. Whether it's another trial, whether
16 it's a mandated registry linked to payment,
17 perhaps, as has been done as I understand in the
18 CDRH and device world, but getting more information
19 about the anchors, about what is clinically
20 meaningful, about the true relevance of durability
21 and slopes, and really creating opportunities for
22 more risk stratification in this area and

1 opportunities for research, could that be done in
2 the context or under the overall umbrella for
3 further research for a registry?

4 I'm smiling a little bit here. I'm trying
5 to paint the FDA as a public health agency for
6 innovation in a way, but many times you've done so
7 well in that regard, so I do think that there is an
8 opportunity here. If we had this sort of
9 information, then in this area at least -- and I'd
10 go back to the initial analogy -- maybe we would be
11 able to convert this light breeze into a strong
12 wind or, alternatively, demonstrate a complete
13 stillness down the road in this area.

14 So I will stop there, and I do want to say I
15 learned so much from everybody's comments, so thank
16 you so much for that.

17 DR. BUTLER: Thank you very much.

18 Moving on to Dr. Smith, again, the robust
19 discussion that we're having in terms of people's
20 perspective for a yes vote, describing the clinical
21 meaningfulness, the way it was established, and the
22 patient population this may be attributable to,

1 please respond to those questions as well.

2 Dr. Smith?

3 DR. WILDER SMITH: Hi. Yes. Thank you. I
4 voted yes, and the rationale for that decision,
5 much like my colleagues, I was listening to the
6 evidence throughout and the perspectives presented
7 throughout the day, and it was difficult to make a
8 decision. But for me, the reason came to that we
9 saw a modest but consistent effect and benefit on
10 the 6-minute walk test, the primary endpoint, and a
11 small intervention effect on the secondary outcome
12 of the KCCQ-OSS measure, and that was demonstrated.

13 So the question of clinical meaning was
14 debated at length with really excellent information
15 provided by the FDA and helping to provide a rich
16 foundation for this committee to consider the
17 evidence. We could certainly debate the meaning
18 more extensively; however, given that there were no
19 safety or tolerability concerns, as others have
20 stated, it seemed that the benefits really
21 outweighed the risks. And again, Dr. Kasper I
22 think was the first to say that the question that

1 we were asked about was whether or not the benefits
2 outweighed the risk, which I felt that they did.

3 Additional information related to effects
4 after 12 months would have been highly beneficial,
5 as would have been a greater sample size to present
6 findings across demographic subgroups. I agree
7 with Dr. Thadhani and others that there were
8 specific subgroups that did not show clear
9 benefits -- African Americans, women, and those on
10 tafamidis -- and I agreed with Dr. O'Connor and
11 others that a large trial would be highly
12 beneficial, particularly powered for examination of
13 these subgroups.

14 I did also want to echo some of the other
15 advisory committee members' statements regarding
16 concerns about interpretation of benefit to
17 patients relative to tafamidis. I'm not a
18 clinician, and my field is actually not in
19 cardiovascular disease, but it is in
20 patient-reported outcomes and in functional status.

21 Listening to the concerns that folks had,
22 I'm also in a healthcare delivery research area

1 where we think about how these kinds of
2 evidence-based decisions affect patients and
3 clinicians in real time as they're making
4 decisions, so the concerns that have been raised,
5 to me, suggest that there's a need to ensure that
6 the communication about what and how much benefit
7 has been found is clearly articulated to the
8 public, and specifically to support clinicians and
9 patients in understanding and not overstating the
10 benefits. That is beyond the scope of what this
11 committee was asked to do, but given my colleagues'
12 comments, I wanted to echo them because I think
13 that's really an important part of how this
14 information is used in the real world, and I will
15 stop there. Thank you.

16 DR. BUTLER: Thank you, Dr. Smith.

17 Dr. Moliterno?

18 DR. MOLITERNO: Yes. Thank you, Dr. Butler.

19 David Moliterno. I appreciate we're a little bit
20 over time here, so I'll try not to talk too long,
21 and I'm thrilled not to have the responsibility of
22 trying to summarize everything like the chair has.

1 In short, this was a binary vote, but I
2 think to the point of everyone who spoke, I think
3 our confidence intervals all overlap, so I think
4 we're all saying much the same thing. For me
5 personally, I was impressed. Well, let me just say
6 thanks to the sponsor. I thought they did a very
7 nice job with their presentation today. I agree
8 with Dr. O'Connor; I think the study was executed
9 very well, but I have concerns, reservations, about
10 the study design.

11 I think, overall, I was impressed by the
12 cumulative distribution curves that did show
13 benefit, albeit quite small, along the entire
14 course. I am bothered, as the last speaker,
15 Dr. Smith, said, by a large proportion of the
16 patients, at least in subgroups, as I mentioned
17 earlier, who did not show benefit, so I do think
18 the sponsor will be obligated to do more.

19 So is this a bunt single? Yes. But do I
20 have concerns about a foul ball going forward? I
21 really do. I think there is potential benefit
22 going out further. Had we stopped the tafamidis

1 studies at one year, we wouldn't have seen the
2 mortality benefit; nonetheless, we have seen a
3 mortality benefit, and a substantial one, so I
4 would hate to see this drug get marketed or pushed
5 forward as an alternative to tafamidis.

6 I appreciate Dr. Stockbridge's comments that
7 we've got two different indications going forward
8 here. The sponsor looking at symptom improvement,
9 as they stated in their label, or quality-of-life
10 improvement, they did show that without risk, so
11 that's a good thing, but I would hate to see any
12 unintended consequences if patients don't get put
13 on tafamidis because of this drug, so I do think
14 there could be a harm.

15 I think the great benefit could be is that
16 if we get another drug company here who can
17 increase education because I do think that the
18 cardiac amyloidosis is underdiagnosed and
19 undertreated, so there potentially could be a
20 win-win going forward. I could keep talking, but
21 I'll stop there, Dr. Butler. Thank you.

22 DR. BUTLER: Thank you very much,

1 Dr. Moliterno.

2 These extended comments are really helpful,
3 and I think will help in putting everything in
4 perspective. If I may, I sort of reneged in my
5 responsibility for the first few colleagues who
6 voted yes and expanding on these issues about how
7 they perceive clinical meaningfulness, and how the
8 meaningfulness was assessed, and the patient
9 population where it will be used. I know that we
10 are over time, but I'll just take a quick liberty
11 for 5 minutes and go back to the first three yes
12 votes, and if they can expand on these issues.

13 Dr. Kasper, may I ask you to expand on this
14 a little bit?

15 DR. KASPER: Yes, and I'm sorry. Again, Ed
16 Kasper. I'm sorry that I didn't pick this up
17 myself. I should have answered the questions that
18 were asked. In terms of the clinical
19 meaningfulness, I think it's difficult to know just
20 how clinically meaningful this is. We heard
21 conflicting reports from the FDA and from the
22 sponsor as to just how clinically meaningful. If

1 it is clinically meaningful, it's probably pretty
2 minimally clinically meaningful but, again, it
3 still outweighs the risks of a drug that apparently
4 is minimally risky.

5 In terms of the other groups, I see no data
6 here whatsoever that supports any use other than as
7 monotherapy. This really doesn't address the use
8 as a rescue therapy. It doesn't address the use as
9 plus tafamidis, so I see it as being a fairly niche
10 kind of thing. If I were to put on my other hat of
11 ACC AHA guideline writer from years back and you
12 asked me what level of evidence would I give this,
13 or what class of recommendation, this wouldn't be a
14 1, and it wouldn't be a 2A. It might be a 2B. The
15 level of evidence is not high here.

16 So that's my thinking behind this. Thank
17 you all for a very interesting day.

18 DR. BUTLER: Thank you very much.

19 Dr. Kovesdy, may I request you to expand on
20 your yes vote a little bit as well?

21 DR. KOVESDY: Yes. I believe I did. I
22 mentioned that clinical meaningfulness would be

1 context dependent, and I view it in that context in
2 that the study population was one that had
3 relatively earlier stage disease and slow
4 progression of the studied outcome. And in that
5 particular context, the magnitude of the effect
6 that was displayed by the investigational agent
7 could be considered clinically meaningful since
8 progression approached essentially natural
9 progression and age-dependent progression in this
10 population.

11 I would echo and very much agree with my
12 peers here who emphasize that an indication should
13 be limited very much to what was studied in this
14 particular trial because I, too, am worried about
15 expanding and reading too much into what this drug
16 can and cannot do. So based on what was presented
17 to us, I voted yes, and I would not agree to expand
18 this to indications such as combination therapy, or
19 somehow taking over the place of the other agent,
20 which has more data. Thank you.

21 DR. BUTLER: Thank you.

22 And Ms. Abernathy, do you have any further

1 comments to expand?

2 MS. ABERNATHY: My comments really are in
3 line with those we just heard. I think the thing
4 for me, the thing that would make it much more
5 convincing would be a longer duration of clinical
6 trials, and perhaps we would see either more
7 positive or perhaps steady or more negative. But
8 given what we have heard and what some of the other
9 voters have suggested about the direction of the
10 indicators, it seemed to me to be a reasonable
11 thing to consider, that if it were looked at
12 further, longer, perhaps we would have more
13 specific data.

14 Given that, my vote yes also comes with
15 parameters, the context, yes. I could imagine that
16 this will be appropriate for people who cannot take
17 tafamidis for whatever reason, as I mentioned
18 previously, but beyond that, I do think that more
19 information, more data, is necessary. Again, the
20 yes vote really came about because there didn't
21 seem to be any risk, and there did seem to be a
22 positive trend. Thank you.

1 DR. BUTLER: Well, thank you very much.

2 Let me see if I can summarize. I think it's

3 pretty interesting, to Dr. Moliterno's point, there

4 is actually a substantial overlap in the comments

5 for both those who voted yes and those who voted

6 no, and it appears that despite the fact that the

7 comments and the concerns are very similar, the

8 differentiating factor was the weight that people

9 put into the fact that this is somewhat of a rare

10 disease with an unmet need, and that swayed the

11 individuals, but the interpretation of the data and

12 the limited robustness of the data was pretty much

13 mentioned by everybody.

14 We did have a robust discussion on

15 statistical significance versus clinical

16 meaningfulness and had significant concerns by the

17 way the data were analyzed to assess if clinical

18 meaningfulness was robust or appropriate or not.

19 And then finally, the data that we did get at the

20 end of that, those statistically positive results,

21 were they truly clinically meaningful or not? The

22 answer to that question becomes a little bit more

1 difficult because we don't have standards from
2 precedence by which we can say that for this
3 particular disease state, this should be the
4 standard. And in the absence of a KCCQ standard or
5 the absence of a 6-minute walk test standard, we
6 are left with this statistically positive result.

7 Then the way the voting question/stem read,
8 the issue was benefit as opposed to risks, and
9 because the risks were felt to be minimal, then any
10 benefit was felt to be a benefit that was worth it,
11 but was certainly commented by all, regardless of
12 whether people voted yes or no, that the benefits
13 were modest.

14 There were discussions, obvious discussions,
15 related to multiple subgroups, whether that was
16 race, or gender, or geographic related, but,
17 obviously, the biggest one was related to the use
18 of tafamidis. I think the most important comment
19 perhaps that I heard was that it will be a harm if
20 somehow the basis of the data that we had were to
21 be used in preference ahead of tafamidis, and that
22 would be not an advisable thing to do; and if it is

1 approved, how to place it in the patient population
2 who are either not able to obtain tafamidis, or
3 tolerate tafamidis, or respond to tafamidis,
4 although the response question was in everybody's
5 mind, how do we define it?

6 Multiple study-related comments were made of
7 how the study could have been done better, not only
8 in terms of power, and size, and endpoints that
9 were chosen, including the possibility of a
10 composite endpoint about adaptive designing, but
11 also perhaps one of the most important comments
12 that came up is that longer term data beyond
13 12 months would have been really helpful to truly
14 see what the benefit is, and having this artificial
15 cap on tafamidis made it really difficult to
16 interpret the result, regardless whether people
17 voted yes or no.

18 So that was the summary of what I heard from
19 everyone, so I think this is time to close the
20 meeting and adjourn. I would really like to thank
21 the applicant and congratulate them for conducting
22 the study and for their presentation. I also would

1 like to thank the FDA for their thoughtful comments
2 and thoughtful analysis as well, and all the public
3 comments that were received in the open public
4 hearing and all the presenters, thank you very
5 much. Those comments are always very meaningful
6 because they take us beyond the realm of statistics
7 and numbers, and puts a human face to what we are
8 dealing with.

9 Finally, I really would like to thank all
10 the panel members for their incredibly thoughtful
11 comments and all the time and efforts they put into
12 this discussion, both preparing prior to the
13 meeting and during the meeting as well.

14 So before I formally adjourn the meeting, I
15 would just like to ask if the FDA has any final
16 comments to make.

17 DR. STOCKBRIDGE: I can only echo what you
18 just said. I very much appreciate the time and
19 effort that all of the committee put into this, and
20 I'm particularly grateful for the articulate
21 explanation for how people voted and what they
22 thought of things. So this is going to be very

1 helpful to us. Thank you all.

2 **Adjournment**

3 DR. BUTLER: Thank you very much,
4 Dr. Stockbridge, and at this point, we will
5 formally adjourn the meeting. Thank you very much.

6 (Whereupon, at 4:19 p.m., the meeting was
7 adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22