

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ENDOCRINOLOGIC AND METABOLIC
DRUGS ADVISORY COMMITTEE (EMDAC) MEETING

Virtual Meeting

Wednesday, June 28, 2023

9:30 a.m. to 5:24 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ENDOCRINOLOGIC AND METABOLIC DRUGS ADVISORY

COMMITTEE MEMBERS (Voting)

Cecilia C. Low Wang, MD

(Chairperson)

Professor of Medicine, University of Colorado

Anschutz Medical Campus

Clinician-Scientist, CPC Clinical Research

Director, Glucose Management Team

University of Colorado Hospital

Aurora, Colorado

1 **Michael Blaha, MD, MPH**

2 Professor, Cardiology and Epidemiology

3 Director of Clinical Research

4 Johns Hopkins Ciccarone Center for the

5 Prevention of Heart Disease

6 Baltimore, Maryland

7

8 **Elizabeth Chrischilles, PhD, MS**

9 Professor and Head

10 Department of Epidemiology

11 College of Public Health

12 The University of Iowa

13 Iowa City, Iowa

14

15 **Robert Alan Greevy, Jr., PhD**

16 Professor, Department of Biostatistics

17 Director, Health Services Research Biostatistics

18 Vanderbilt University Medical Center

19 Nashville, Tennessee

20

21

22

1 **Thomas Wang, MD**

2 Professor and Chair of Medicine

3 UT Southwestern Medical Center

4 Donald W. Seldin Distinguished Chair in

5 Internal Medicine

6 Dallas, Texas

7

8 **Jack A. Yanovski, MD, PhD**

9 Chief, Section on Growth and Obesity

10 Division of Intramural Research

11 Eunice Kennedy Shriver National Institute of Child

12 Health and Human Development

13 National Institutes of Health (NIH)

14 Hatfield Clinical Research Center

15 Bethesda, Maryland

16

17 **ENDOCRINOLOGIC AND METABOLIC DRUGS ADVISORY**

18 **COMMITTEE MEMBER (Non-Voting)**

19 **Gary Meininger, MD**

20 Executive Vice President and Chief Medical Officer

21 Sana Biotechnology

22 Cambridge, Massachusetts

1 **TEMPORARY MEMBERS (Voting)**

2 **Kimberly E. Applegate, MD, MS**

3 Professor with tenure of Radiology (retired)

4 University of Kentucky College of Medicine

5 Indianapolis, Indiana

6

7 **Marat Chaikhoutdinov, MD**

8 *(Patient Representative)*

9 Brooklyn, New York

10

11 **Christopher S. Coffey, PhD**

12 Professor, Biostatistics

13 Director, Clinical Trials Statistical & Data

14 Management Center

15 University of Iowa

16 Iowa City, Iowa

17

18

19

20

21

22

1 **Tobias Gerhard, BS Pharm, PhD**

2 Professor of Pharmacy and Epidemiology

3 Ernest Mario School of Pharmacy and

4 Rutgers School of Public Health

5 Director, Institute for Health, Health Care

6 Policy and Aging Research

7 Director, Center for Pharmacoepidemiology and

8 Treatment Science

9 Rutgers University

10 New Brunswick, New Jersey

11

12 **Elizabeth Jones, MD, MPH, MBA**

13 Chief, Radiology and Imaging Sciences

14 Clinical Center, NIH

15 Bethesda, Maryland

16

17

18

19

20

21

22

1 **Martha Nason, PhD**

2 Mathematical Statistician

3 Biostatistics Research Branch

4 Division of Clinical Research

5 National Institute of Allergy and Infectious

6 Diseases, NIH

7 Bethesda, Maryland

8

9 **Suzanne B. Robotti**

10 *(Acting Consumer Representative)*

11 MedShadow, Founder

12 DES Action USA, Executive Director

13 New York, New York

14

15 **Thomas J. Weber, MD**

16 Professor of Medicine

17 Division of Endocrinology, Metabolism and Nutrition

18 Duke University Medical Center

19 Durham, North Carolina

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Lisa Yanoff, MD**

3 Deputy Director

4 Office of Cardiology, Hematology, Endocrinology,
5 and Nephrology (OCHEN)

6 Office of New Drugs (OND), CDER, FDA

7

8 **Theresa Kehoe, MD**

9 Director

10 Division of General Endocrinology (DGE)

11 OCHEN, OND, CDER, FDA

12

13 **Stephen Voss, MD**

14 Clinical Reviewer

15 DGE, OCHEN, OND, CDER, FDA

16

17 **Mark Rothmann, PhD**

18 Director

19 Division of Biometric II (DBII)

20 Office of Biostatistics (OB)

21 Office of Translational Science (OTS)

22 CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Feng Li, PhD

Lead Mathematical Statistician

DBII, OB, OTS, CDER, FDA

Alexander Cambon, PhD

Statistic Reviewer

DBII, OB, OTS, CDER, FDA

Po-Yin Chang, PhD

Epidemiology Reviewer

Division of Epidemiology I

Office of Pharmacovigilance and Epidemiology

Office of Surveillance and Epidemiology

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Cecilia Low Wang, MD	12
5	Introduction of Committee	
6	LaToya Bonner, PharmD	12
7	Conflict of Interest Statement	
8	LaToya Bonner, PharmD	20
9	FDA Opening Remarks	
10	Theresa Kehoe, MD	23
11	Sponsor Presentations	
12	Ipsen Biopharmaceuticals, Inc.	
13	Introduction	
14	Howard Mayer, MD	35
15	Unmet Need in Fibrodysplasia Ossificans	
16	Progressiva (FOP)	
17	Matthew Brown, MBBS, MD, FRACP, FAA	42
18	Efficacy	
19	Rose Marino, MD	52
20	Safety and Risk Management Activities	
21	Jennifer Schranz, MD	76
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clinical Perspective	
Edward Hsiao, MD, PhD	84
Clarifying Questions for Sponsor	91
FDA Presentations	
Overview of Clinical Studies	
Stephen Voss, MD	116
Statistical Review	
Alexander Cambon, PhD	128
Overview of Safety	
Stephen Voss, MD	145
Clarifying Questions for FDA	154
Open Public Hearing	176
Clarifying Questions (continued)	232
Questions to the Committee and Discussion	239
Adjournment	332

P R O C E E D I N G S

(9:30 a.m.)

Call to Order

DR. LOW WANG: Good morning, and welcome.

I'd first like to remind everyone to please mute your line when you're not speaking. For media and the press, the FDA press contact is Chanapa Tantibanchachai. Her email is currently displayed.

Good morning, and thank you for joining the meeting this morning. My name is Dr. Cecilia Low Wang, and I will be chairing this meeting. I will now call the June 28, 2023 Endocrinologic and Metabolic Drugs Advisory Committee meeting to order. Commander LaToya Bonner is the designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

CDR BONNER: Good morning, and thank you, ma'am.

I am LaToya Bonner, the designated federal officer of the committee. When I call your name, please introduce yourself by stating your name and

1 affiliation. We will reintroduce our chair,
2 Dr. Cecilia Low Wang. Please introduce yourself.

3 DR. LOW WANG: Thanks. My name is Cecilia
4 Low Wang. I'm a professor of medicine and
5 endocrinologist at the University of Colorado
6 School of Medicine.

7 CDR BONNER: Next, we will have Dr. Michael
8 Blaha. Please state your name and your
9 affiliation, sir.

10 DR. BLAHA: Hi. Dr. Michael J. Blaha. I'm
11 the director of clinical research at the Johns
12 Hopkins Ciccarone Center for the prevention of
13 heart disease in Baltimore, Maryland.

14 CDR BONNER: Thank you.

15 Next we will have Dr. Elizabeth
16 Chrischilles.

17 DR. CHRISCHILLES: Good morning, everyone.
18 I'm Elizabeth, or Betsy, Chrischilles. I'm a
19 professor of epidemiology at the University of Iowa
20 in Iowa City, Iowa.

21 CDR BONNER: Thank you, ma'am.

22 Next is Dr. Greevy.

1 DR. GREEVY: Good morning. I'm Dr. Robert
2 Greevy, professor of biostatistics and director of
3 HSR Biostatistics at Vanderbilt University.

4 CDR BONNER: Thank you, sir.

5 Next, we have Dr. Wang.

6 DR. WANG: Yes. Thomas Wang, professor and
7 chair of medicine at UT Southwestern Medical Center
8 in Dallas.

9 CDR BONNER: Thank you, sir.

10 Next, we have Dr. Yanovski.

11 DR. YANOVSKI: Hi. Jack Yanovski, chief of
12 the Section on Growth and Obesity and the
13 Intramural NIH-NICHHD program. I'm a pediatric
14 endocrinologist.

15 CDR BONNER: Next, we will have our industry
16 representative, Dr. Meininger. Please introduce
17 yourself and your affiliation, sir.

18 DR. MEININGER: Sure. Gary Meininger,
19 industry rep, chief medical officer at Sana
20 Biotechnology in Cambridge, Massachusetts, and an
21 adult endocrinologist.

22 CDR BONNER: Thank you, sir.

1 We will continue with Dr. Applegate. Please
2 state your name and your affiliation.

3 DR. APPLGATE: Good morning. Dr. Kimberly
4 Applegate. I am a retired pediatric radiologist
5 from the University of Kentucky in Lexington.

6 CDR BONNER: Thank you, ma'am.

7 We will have Dr. Coffey. Please introduce
8 yourself.

9 DR. COFFEY: Yes. Hi. Good morning. My
10 name is Chris Coffey. I'm a professor of
11 biostatistics at the University of Iowa.

12 CDR BONNER: Thank you, sir.

13 Next is Dr. Tobias Gerhard.

14 DR. GERHARD: Tobias Gerhard, professor of
15 pharmacy and epidemiology at Rutgers University.
16 Good morning.

17 CDR BONNER: Good morning. Thank you, sir.

18 Next is Dr. Jones. Please introduce
19 yourself and your affiliation.

20 DR. JONES: I'm Elizabeth Jones. I'm a
21 diagnostic radiologist by training. I'm chief of
22 Radiology and Imaging Sciences at the Clinical

1 Center , NIH, in Bethesda.

2 CDR BONNER: Thank you, ma'am.

3 Next is Dr. Martha Nason.

4 DR. NASON: Good morning. I'm Martha Nason.
5 I'm a mathematical statistician at NIAID, NIH, also
6 in Bethesda.

7 CDR BONNER: Thank you, ma'am.

8 Next, we have our acting consumer
9 representative, Dr. Robotti; Ms. Robotti. My
10 apologies.

11 MS. ROBOTTI: Yes. Hi. I'm Suzanne
12 Robotti. I am the founder of MedShadow Foundation,
13 the executive director of DES Action USA, and I'm
14 normally the consumer representative on Drug Safety
15 and Risk Management.

16 CDR BONNER: Thank you, ma'am.

17 Next, we have Dr. Weber.

18 (No response.)

19 CDR BONNER: Okay. We will move back to
20 Dr. Weber, and we will go to our next group, which
21 is the FDA participants, starting with Dr. Lisa
22 Yanoff.

1 DR. YANOFF: Good morning, everyone. I'm
2 Dr. Lisa Yanoff. I'm the deputy director of the
3 Office of Cardiology, Hematology, Endocrinology,
4 and Nephrology in CDER.

5 CDR BONNER: Thank you, ma'am.

6 Next is Dr. Kehoe.

7 DR. KEHOE: Good morning. I'm Theresa
8 Kehoe, the division director for the Division of
9 General Endocrinology in OCHEN, in CDER.

10 CDR BONNER: Thank you, ma'am.

11 Next, we will have Dr. Stephen Voss.

12 DR. VOSS: Good morning. I'm Steve Voss.
13 I'm a clinical reviewer in the Division of General
14 Endocrinology.

15 CDR BONNER: Thank you, sir.

16 Next is Dr. Mark Rothmann.

17 DR. ROTHMANN: Good morning. I'm Mark
18 Rothmann. I'm the director of the Division of
19 Biometrics II in CDER.

20 CDR BONNER: Thank you, sir.

21 Next, we will have Dr. Feng Li.

22 DR. LI: Good morning. This is Feng Li.

1 I'm the statistical team leader of DBII, CDER.

2 CDR BONNER: Thank you, sir.

3 Next is Dr. Alexander Cambon.

4 DR. CAMBON: Hello. My name is Alex Cambon.

5 I'm a mathematical statistician in DBII.

6 CDR BONNER: And next, we will have

7 Dr. Po-Yin Chang.

8 DR. CHANG: Good morning. This is Po-Yin

9 Chang, epidemiology reviewer, CDER. Thank you.

10 CDR BONNER: Thank you, sir.

11 We will move back to our voting members, and

12 see if we have Dr. Thomas Weber.

13 Are you online sir?

14 DR. WEBER: Yes, I'm here. Tom Weber, Duke

15 University, Division of Endocrinology and

16 Metabolism.

17 CDR BONNER: Thank you, sir.

18 That concludes my introduction. I will turn

19 the meeting back over to our chair.

20 Dr. Low Wang?

21 DR. LOW WANG: For topics such as those

22 being discussed at this meeting, there are often a

1 variety of opinions, some of which are quite
2 strongly held. Our goal is that this meeting will
3 be a fair and open forum for discussion of these
4 issues and that individuals can express their views
5 without interruption. Thus, as a gentle reminder,
6 individuals will be allowed to speak into the
7 record only if recognized by the chairperson. We
8 look forward to a productive meeting.

9 In the spirit of the Federal Advisory
10 Committee Act and the Government in the Sunshine
11 Act, we ask that the advisory committee members
12 take care that their conversations about the topic
13 at hand take place in the open forum of the
14 meeting.

15 We are aware that members of the media are
16 anxious to speak with the FDA about these
17 proceedings; however, FDA will refrain from
18 discussing the details of this meeting with the
19 media until its conclusion. Also, the committee is
20 reminded to please refrain from discussing the
21 meeting topic during breaks or lunch. Thank you.

22 Now, Commander Bonner will read the Conflict

1 of Interest Statement for the meeting.

2 **Conflict of Interest Statement**

3 CDR BONNER: Thank you, ma'am.

4 The Food and Drug Administration is
5 convening today's meeting of the Endocrinologic
6 Drugs Advisory Committee under the authority of the
7 Federal Advisory Committee Act of 1972. With the
8 exception of the industry representative, all
9 members and temporary voting members of the
10 committee are special government employees or
11 regular federal employees from other agencies, and
12 are subject to federal conflict of interest laws
13 and regulations.

14 The following information on the status of
15 this committee's compliance with federal ethics and
16 conflict of interest laws, covered by but not
17 limited to those found at 18 U.S.C. Section 208, is
18 being provided to participants in today's meeting
19 and to the public.

20 FDA has determined that members and
21 temporary voting members of this committee are in
22 compliance with federal ethics and conflict of

1 interest laws. Under 18 U.S.C. Section 208,
2 Congress has authorized FDA to grant waivers to
3 special government employees and regular federal
4 employees who have potential financial conflicts
5 when it is determined that that agency's need for a
6 special government employee's services outweighs
7 their potential financial conflict of interest, or
8 when the interest of a regular federal employee is
9 not so substantial as to be deemed likely to affect
10 the integrity of the services which the committee
11 may expect from the employee.

12 Related to the discussions of today's
13 meeting, members and temporary voting members of
14 this committee have been screened for potential
15 financial conflicts of interests of their own as
16 well as those imputed to them, including those of
17 their spouses or minor children and, for purposes
18 of 18 U.S.C. Section 208, their employers. These
19 interests may include investments; consulting;
20 expert witness testimony; contracts, grants,
21 CRADAs; teaching, speaking, writing; patents and
22 royalties; and primary employment.

1 Today's agenda involves the discussion of
2 new drug application 215559 for palovarotene
3 capsules, submitted by Ipsen Biopharmaceuticals,
4 Incorporated. The proposed indication is the
5 prevention of heterotopic ossification in adults
6 and children, females aged 8 years and above and
7 males 10 years and above, with fibrodysplasia
8 ossificans progressiva.

9 This is a particular matters meeting during
10 which specific matters related to Ipsen
11 Biopharmaceuticals' NDA will be discussed. Based
12 on the agenda for today's meeting and all financial
13 interests reported by the committee members and
14 temporary voting members, no conflict of interest
15 waivers have been issued in connection with this
16 meeting. To ensure transparency, we encourage all
17 standing committee members and temporary voting
18 members to disclose any public statement that they
19 have made concerning the product at issue.

20 With respect to FDA's invited industry
21 representative, we would like to disclose that
22 Dr. Gary Meininger is participating in this meeting

1 as a non-voting industry representative, acting on
2 behalf of regulated industry. Dr. Meininger's role
3 at this meeting is to represent industry in general
4 and not any particular company. Dr. Meininger is
5 employed by Sana Biotechnology.

6 We would like to remind members and
7 temporary voting members that if the discussion
8 involves any other products at issue or firms not
9 already on the agenda for which an FDA participant
10 has a personal or imputed financial interest, the
11 participants need to exclude themselves from such
12 involvement, and their exclusion will be noted for
13 the record. FDA encourages all participants to
14 advise the committee of any financial relationships
15 that they may have with the firm at issue.

16 Thank you. I will turn the meeting back
17 over to our chair, Dr. Low Wang.

18 DR. LOW WANG: Thank you, Commander Bonner.

19 We will now proceed with FDA opening remarks
20 from Dr. Theresa Kehoe

21 **FDA Opening Remarks - Theresa Kehoe**

22 DR. KEHOE: Thank you. Good morning. I

1 would like to thank our advisory committee members,
2 patients with fibrodysplasia ossificans progressiva
3 and their caregivers, members from the Ipsen
4 palovarotene product team, and the FDA review team
5 and colleagues for attending and participating in
6 today's advisory committee meeting.

7 We convene this advisory committee meeting
8 to discuss whether it is reasonable to conclude,
9 based on available data, that palovarotene used
10 chronically is a safe and effective drug in
11 patients with fibrodysplasia ossificans
12 progressiva, which we will also refer to as FOP.

13 In my comments, I will discuss the
14 underlying disease, FOP, as well as the proposed
15 therapy, palovarotene. I will review the
16 development program for palovarotene, and then
17 discuss the review issues encountered. And
18 finally, I will close with the discussion and
19 voting questions we would like the committee
20 members to consider as the day moves forward. The
21 FDA presentation will mainly focus on the efficacy
22 of the drug and the statistical concerns raised

1 during the review of the application.

2 FOP is a rare, severely disabling congenital
3 disease with approximately 800 confirmed cases
4 worldwide. It is caused by a gain-of-function
5 mutation in the activin A type 1 receptor, ACVR1,
6 also referred to as the ALK activin-like kinase 2.
7 This mutation renders the receptor constitutively
8 active to ligands like the bone morphogenetic
9 protein. The activity drives ectopic
10 chondrogenesis and osteogenesis, leading to
11 heterotopic ossification, or HO, in connective
12 tissue, joints, and muscle.

13 Heterotopic ossification is the hallmark of
14 FOP. It begins to manifest in early childhood.
15 The formation of heterotopic ossification is
16 episodic with events starting with soft tissue
17 inflammation, often referred to as flare-ups. The
18 accumulation of extra skeletal bone is cumulative
19 and irreversible, causing restriction of movement,
20 deformities, severe disability, and early
21 mortality.

22 There are no approved therapies for FOP. At

1 this time, conventional therapy is aimed at symptom
2 relief to treat the inflammation and to decrease
3 the chronic pain. Attempts at surgical resection
4 of lesions generally lead to reactivation of
5 disease and new heterotopic ossification formation.
6 The FDA review team held a listening session with
7 patients and caregivers in May of 2019.

8 Palovarotene is a retinoic acid receptor
9 gamma selective agonist, or a retinoid, that
10 appears to interfere with ALK2-mediated bone
11 formation indirectly. The proposed indication is
12 prevention of heterotopic ossification in adults
13 and children aged 8 years and above for females,
14 10 years and above for males, with fibrodysplasia
15 ossificans progressiva. The proposed dosing
16 regimen is oral capsules 5 milligrams daily with
17 flare-up dosing of 20 milligrams daily for 4 weeks,
18 followed by 10 milligrams daily for 8 weeks.

19 Nonclinical models demonstrated that
20 palovarotene may prevent heterotopic ossification,
21 and this is the first clinical development program
22 for FOP. It consists of four different studies.

1 The natural history study was begun early in
2 product development. The phase 2 Study 201 was the
3 first treatment study. It was a placebo-controlled
4 12-week study with 6 weeks of therapy and 6 weeks
5 of follow-up, evaluating a flare-up only dosing.
6 Study 202 is the open-label extension, and
7 ultimately it became the platform for evolving
8 dosing regimen and imaging modalities, and
9 Study 301 is the phase 3 study.

10 Discussions were held with the company
11 moving forward in their development program as far
12 as in preparation for phase 3. In general, FDA
13 requires that changes in the biomarker be
14 accompanied by a functional outcome. In the
15 phase 2 trial, functional outcomes did not show
16 change. Likely this was related to the short
17 duration of the trials; however, in the natural
18 history study, there were correlations between
19 higher amounts of heterotopic ossification and
20 worsening functional status, suggesting that whole-
21 body CT for assessment of heterotopic ossification
22 could be the primary endpoint, and decreases in

1 heterotopic ossification would have clinically
2 meaningful benefit. This is the approach that was
3 taken in phase 3.

4 The phase 3 Study 301 is a single-arm study
5 using the natural history study as an external
6 comparator. Subjects were at least 4 years or
7 older at study entry. Palovarotene was dosed using
8 the proposed dosing regimen, and there were
9 weight-based reductions for children with open
10 growth plates. The primary endpoint was annualized
11 change and new heterotopic ossification based on
12 whole-body CT.

13 Two events happened during the phase 3
14 program. First, premature epiphyseal closure has
15 been a finding with other retinoid products;
16 therefore, a bone safety monitoring program was put
17 in place for all palovarotene studies. The FDA was
18 informed that 9 pediatric subjects developed
19 evidence of premature epiphyseal closure. After
20 review, FDA instituted a partial clinical hold for
21 subjects under the age of 14 because of the concern
22 of the closure of the growth plates.

1 Shortly after the partial clinical hold, the
2 second interim analysis took place. Futility was
3 declared and dosing was stopped. The applicant
4 then conducted post hoc analyses, which formed the
5 basis of the efficacy determination in this NDA
6 submission. I will note that dosing was restarted
7 in subjects over the age of 14 and the palovarotene
8 studies concluded in September of 2022.

9 During the review of the application in the
10 last cycle, FDA was informed that additional data
11 would be available, including all scans conducted
12 through September 2022. The review team
13 anticipated that the additional data may help
14 inform the efficacy and safety assessments, leading
15 to the postponement of the advisory committee
16 meeting originally scheduled for October of last
17 year.

18 That brings us to the issues that we will be
19 discussing today. The first issue is that Study
20 301 essentially failed the prespecified primary
21 endpoint. The NDA submission relies on post hoc
22 analyses from Study 301 to support the

1 effectiveness of the chronic plus flare-up dosing
2 regimen; therefore, the two issues for Study 301
3 here are the appropriateness of the reliance on
4 post hoc analyses to support effectiveness and also
5 the use of the external control group. For the
6 first issue, the appropriateness of post hoc
7 analyses to support effectiveness, I'm going to
8 briefly summarize the statistical approaches used,
9 and these will be discussed further in the FDA's
10 statistical presentation.

11 The primary analysis used a Bayesian
12 compound Poisson model with square-root
13 transformation. The applicant stated that the
14 model was chosen to be more appropriately
15 accommodating for the high degree of variability in
16 the volume of new HO, assessed by whole-body CT.
17 Using that analysis approach, the primary endpoint
18 failed to demonstrate efficacy; however, additional
19 post hoc analyses appeared to show evidence of
20 benefit. These were the Bayesian compound models
21 without square-root transformation and weighted
22 linear mixed effects model.

1 The second issue is the use of the natural
2 history study as an external control. Now, this
3 may be acceptable in circumstances where the
4 natural history of the disease is well defined; the
5 external control population is very similar to the
6 treatment group; the concomitant treatments that
7 may affect the primary endpoint are not
8 substantially different between the external
9 control and the trial population; and the results
10 provide compelling evidence of a change.

11 The natural history study in the
12 palovarotene application provides the best data to
13 define the natural history of FOP. There are
14 really no other concomitant treatments that would
15 affect the primary endpoint, so we need to look at
16 the similarity of control versus treatment
17 populations. Over the course of the natural
18 history study, subjects were allowed to transfer
19 out of this study and into an interventional study
20 if they met the inclusion and exclusion criteria.
21 Eight subjects transferred to Study 201,
22 13 subjects transferred to Study 202, and

1 39 subjects transferred to Study 301. There were
2 some differences in the groups noted. The natural
3 history population is older with more advanced
4 disease. Various analyses were done to further
5 explore the impact of these differences, and you
6 will hear more about that in the FDA statistical
7 presentation.

8 One other key issue was the apparent
9 increase in flare-ups. Retinoids have been
10 associated with hyperostosis and calcification of
11 ligaments and tendons. As well, musculoskeletal
12 adverse events, including back pain, arthralgia,
13 myalgia, and rarely reports of severe myositis also
14 occur. There is a concern that some of these
15 events may trigger or exacerbate flare-up symptoms,
16 requiring prolonged use of higher FOP palovarotene
17 doses. You will hear more about this in the FDA
18 safety presentation.

19 That leads us to our discussion and voting
20 questions. We have two discussion and two voting
21 questions. In the first discussion question, we
22 ask that you discuss the evidence of effectiveness

1 for palovarotene demonstrated in Study 301. In
2 your discussion, we ask you to consider the
3 following, the use of the post hoc analyses to
4 support demonstration of efficacy and the
5 interpretability of the results of the external
6 control natural history study.

7 Our second discussion question, we ask your
8 view of the flare-up events in subjects treated
9 with the proposed palovarotene dosing regimen and
10 the relevance to the risk-benefit considerations.
11 We ask that you also comment on whether you have
12 any other concerns with the other safety issues
13 included in the meeting materials and slide
14 presentations or discussed today.

15 For our voting questions, the first question
16 is, does the evidence from Study 301 of
17 palovarotene's treatment effect show the drug is
18 effective in patients with fibrodysplasia
19 ossificans progressiva? We ask you to provide the
20 rationale for your vote.

21 The second voting question is, do the
22 benefits of palovarotene outweigh its risks for the

1 treatment of patients with FOP? If you voted yes,
2 to provide your rationale, and if you voted no, to
3 provide the rationale for your vote and provide
4 recommendations for additional data that may
5 support the conclusion that the benefits outweigh
6 the risks. I'd like to thank you, and we look
7 forward to hearing from you today.

8 DR. LOW WANG: Thank you so much, Dr. Kehoe,
9 for that overview.

10 Both the FDA and the public believe in a
11 transparent process for information gathering and
12 decision making. To ensure such transparency at
13 the advisory committee meeting, FDA believes that
14 it is important to understand the context of an
15 individual's presentation.

16 For this reason, FDA encourages all
17 participants, including the applicant's
18 non-employee presenters, to advise the committee of
19 any financial relationships that they may have with
20 the applicant, such as consulting fees, travel
21 expenses, honoraria, and interest in the applicant,
22 including equity interests and those based upon the

1 outcome of the meeting.

2 Likewise, FDA encourages you at the
3 beginning of your presentation to advise the
4 committee if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your presentation, it will not preclude you from
8 speaking.

9 We will now proceed with Ipsen's
10 presentations.

11 **Sponsor Presentation - Howard Mayer**

12 DR. MAYER: Good morning, members of the
13 advisory committee and FDA. My name is Howard
14 Mayer, and I am executive vice president and head
15 of research and development at Ipsen. We're
16 pleased to be here today to share the data
17 supporting the positive benefit-risk profile of
18 palovarotene in patients with fibrodysplasia
19 ossificans progressiva or FOP. FOP is an
20 ultra-rare severely disabling disease in which
21 patients form heterotopic ossification or the
22 presence of bone and soft tissue, where bone

1 normally does not exist. Fundamentally, HO is the
2 key pathophysiologic process that leads to disease
3 progression and morbidity in these patients.

4 FOP is a genetic disease that typically
5 starts in early childhood. Palovarotene would be
6 the first and only disease-modifying therapy that
7 has the potential to change the progressive
8 trajectory of FOP. Palovarotene is an orally
9 bioavailable retinoic acid receptor gamma selective
10 agonist that reduces the volume of new HO, a
11 hallmark of FOP progression.

12 Let me explain the mechanism of action. In
13 normal cell signaling, bone morphogenetic proteins,
14 or BMPs, bind to the ACVR1 receptor, also known as
15 the ALK2 receptor. This induces heterodimerization
16 with a type 2 receptor and results in
17 phosphorylation of the downstream pathway mediators
18 of SMAD 1, 5, and 8 that in turn associate with
19 SMAD 4. These complexes then translocate to the
20 nucleus, resulting in bone formation.

21 FOP is an autosomal dominant disorder that
22 results in hyperactive BMP signaling by altering

1 the ACVR1 receptor response to legends. In nearly
2 all cases, it is caused by an R206H pathogenic
3 variant in this receptor. This activating variant
4 causes dysregulation of the BMP signaling pathway,
5 in part, by receptor activation independently of
6 ligands, but also by being hyper-responsive to BMP
7 and activin A ligands. Because of this increased
8 receptor activity, additional phosphorylation of
9 downstream mediators occurs, delivering increased
10 BMP signaling to the cell nucleus with an
11 appropriate physiological trigger such as soft
12 tissue injury. This promotes ectopic
13 chondrogenesis and osteogenesis and, in turn,
14 heterotopic ossification.

15 Shown here is a simplified depiction of the
16 proposed mechanism for palovarotene in FOP. By
17 inhibiting chondrogenesis to regulation of BMP
18 signaling, palovarotene modulates downstream SMAD
19 signaling through activation of RAR gamma. This
20 has been demonstrated in mouse models of HO and
21 FOP, and confirmed in relevant cell lines.

22 Palovarotene was shown to reduce the

1 aberrant inflammatory response at the site of a
2 lesion to inhibit heterotopic ossification and
3 restore healthy tissue response to muscle injury.
4 These preclinical data supported the clinical
5 investigation of palovarotene in FOP.

6 Let me briefly walk you through the clinical
7 development history. The efficacy and safety of
8 palovarotene is supported by our clinical
9 development program, which has enrolled 25 percent
10 of the world's known population with FOP. FOP is
11 an ultra-rare disease, and in 2014 when we
12 initiated this program, there was limited
13 information on FOP disease progression, including
14 outcome measures that could serve as the basis for
15 a clinical development program; therefore, we
16 conducted a rigorous natural history study to
17 prospectively characterize FOP disease progression.
18 At roughly the same time, we began phase 2 studies
19 to evaluate multiple palovarotene doses. Based on
20 the critical unmet need and the results from our
21 phase 2 studies, palovarotene has received orphan
22 drug breakthrough therapy and rare pediatric

1 disease designations.

2 In 2017, we initiated our pivotal Study 301
3 to evaluate the efficacy of palovarotene using the
4 patients from the natural history study as a
5 control group. In December 2019, the FDA
6 instituted a partial clinical hold due to the risk
7 of premature physeal closure or PPC. Based on
8 this, dosing was stopped in patients less than
9 14 years of age.

10 PPC is an important risk associated with
11 palovarotene treatment, and therefore, we are
12 proposing that the target population include
13 females 8 years of age and older and males 10 years
14 and older. This is based on a benefit-risk
15 assessment that considered the risk of PPC, the
16 skeletal maturity of patients, and the risk of
17 developing HO.

18 The development of HO, an associated
19 physical impairment, can occur in patients starting
20 at birth, and these effects are both irreversible
21 and cumulative, making early intervention critical
22 to preserving a patient's ability to function over

1 time. The efficacy and safety data that we will
2 present today support a positive benefit-risk in
3 this proposed target population. In addition, our
4 education and risk management program will provide
5 physicians, patients, and caregivers the
6 information to guide appropriate treatment and will
7 emphasize that the decision to treat should be
8 based on an individual benefit-risk determination
9 for each patient.

10 The proposed indication for palovarotene is
11 for the prevention of heterotopic ossification in
12 adults and children aged 8 years and above for
13 females and 10 years and above for males with FOP.
14 The data from the palovarotene clinical program
15 support the proposed oral dosing regimen. Patients
16 will receive palovarotene 5 milligrams daily or the
17 weight-based equivalent. When symptoms associated
18 with a flare-up are reported, or at the time of a
19 traumatic event, chronic 5-milligram dosing is
20 stopped and the flare-up dosing regimen initiated.

21 Patients will receive palovarotene
22 20 milligrams once daily for 4 weeks, followed by

1 10 milligrams once daily for 8 weeks. If flare-up
2 symptoms persist beyond 12 weeks, patients can
3 receive treatment extensions in 4-week increments.
4 At the completion of the flare-up dosing regimen,
5 patients resume chronic palovarotene dosing at
6 5 milligrams daily.

7 The totality of data we will share today
8 demonstrates that palovarotene has a positive
9 benefit-risk profile in support of approval for the
10 treatment of FOP in the target population. FOP is
11 an ultra-rare genetic condition that causes severe
12 deformity and disability starting in early
13 childhood and is associated with complete
14 immobilization and early mortality. There are no
15 approved therapies to treat this aggressive and
16 irreversible disease.

17 Palovarotene was shown to reduce the volume
18 of new heterotopic ossification in patients with
19 FOP, demonstrating the ability to modify the
20 underlying cause of disease progression and
21 disability. Palovarotene has a well-characterized
22 safety profile consistent with the well-established

1 profile reported with other systemic retinoids.
2 For specific adverse events, the proposed risk
3 management activities will inform and guide
4 patients and physicians on the safe use of
5 palovarotene. If approved, palovarotene would be
6 the first and only disease-modifying therapy that
7 reduces new HO and has the potential to change the
8 progressive trajectory of FOP.

9 Here is the agenda for the remainder of
10 today's presentation. All outside experts have
11 been compensated for their time and expenses to be
12 with us here today. We also have additional
13 experts with us today to help address your
14 questions. Thank you. I will now turn the
15 presentation over to Dr. Brown.

16 **Sponsor Presentation - Matthew Brown**

17 DR. BROWN: Good morning. My name is Matt
18 Brown. I'm a professor of medicine at King's
19 College London, and I'm the chief scientific
20 officer of Genomics England. I'm a practicing
21 rheumatologist and have been involved in the care
22 of patients with FOP and in FOP research for nearly

1 30 years. Indeed, the discovery of the causative
2 gene in FOP, ACVR1, was made in collaboration
3 between my own laboratory and Professor Fred Kaplan
4 and Eileen Shore's North American group. I'm
5 pleased to be here today to discuss the unmet
6 medical clinical need in FOP because whilst over
7 the last couple of decades, we've learned a great
8 deal about the disease, we still have no approved
9 treatments that can slow the relentless progression
10 of this severely disabling condition.

11 As you've heard, FOP is a genetic condition
12 that arises due to mutations in the ACVR1 gene. It
13 results in mild skeletal developmental disorders,
14 or patterning disorders, typically just
15 abnormalities of the big toe, but more importantly
16 results in episodic flares in which soft tissue
17 inflammation occurs, and that soft tissue, instead
18 of inflammation and instead of healing through the
19 normal route, heals by the formation of new bone in
20 the affected tissues, which we call heterotopic
21 ossification, and which we measure through
22 whole-body CT scans.

1 In the three scans on the right, you see
2 whole-body CT scans of three different patients
3 with FOP, age 4, 10, and 31 years of age, and the
4 amount of HO measured is given in the box at the
5 bottom. In the 4-year-old patient with FOP, you
6 can see that there is no heterotopic ossification,
7 although the eagle eye amongst you will see that
8 the child has some abnormalities, including
9 abnormal big toes and abnormal hip joints, which
10 are typical of FOP.

11 In the next patient at 10 years of age, you
12 can see that there's a considerable amount of
13 heterotopic ossification that has developed in the
14 chest wall, around the hips, and knees. In the
15 chest wall, this will result in loss of mobility of
16 the chest and a restrictive lung insufficiency, as
17 well as scoliosis, and at the hips and knees, this
18 results in difficulty walking and early
19 requirements for assistance for walking and use of
20 wheelchairs. By 31 years of age, the patient has
21 extremely extensive diffuse heterotopic
22 ossification affecting the chest wall, the hips,

1 knees, and ankles, and this patient will be
2 severely disabled by this condition, almost
3 certainly unable to be independently mobile,
4 wheelchair-bound, and likely bed-bound.

5 So thankfully, FOP is an ultra-rare disease
6 affecting only about 1 in 1.1 million individuals,
7 and we think that there are fewer than
8 400 individuals in the United States. The most
9 common symptomatic manifestations of it are
10 flare-ups, and these occur starting in early
11 childhood. They're characterized by the typical
12 manifestations of inflammation; that is, they cause
13 localized pain, swelling, erythema, warmth, and
14 tenderness, and they occur in response to minor
15 insults like minor bruising that can occur from
16 iatrogenic injuries, such as, for example, in
17 reaction to injections like vaccines, or local
18 anesthetic, or in response to surgery, or sometimes
19 occur just in response to generalized viral
20 infections like influenza. In about half of the
21 cases of the flare-ups, there is no apparent
22 precipitating cause.

1 So on average, patients with FOP experience
2 about two flare-ups per year, but this frequency
3 varies considerably both between patients, as some
4 patients have very frequent flares and some have
5 much less frequent flares, and in individual
6 patients they vary in frequency over time. So
7 patients can have periods where they go for long
8 periods of time without flares and then have lots
9 of flares over a short period of time, and we
10 really have no predictors at this point about those
11 that have lots of flares or even the frequency of
12 flares in individual patients.

13 So the critical thing, though, is that these
14 flares ultimately lead to heterotopic ossification,
15 which is irreversible. This new bone formation
16 occurs in nodules, segments, or sheets of bone.
17 The nodules where they occur under the skin can
18 result in pressure areas and pressure sores, which
19 are slow to heal and cause a lot of discomfort.
20 When they occur around nerves, they cause
21 entrapment neuropathies, causing chronic
22 neuropathic pain, and when they occur around the

1 chest, as you can see in these images here, which
2 is a common problem with FOP, they result
3 ultimately in loss of chest wall movement,
4 deformity of the chest, and ultimately thoracic
5 insufficiency syndrome, which is a major cause of
6 death in the condition.

7 This is a continuously progressive
8 condition, and you can see in these diagrams five
9 rough stages of the condition. In the early stages
10 of the condition, under 10 years of age,
11 heterotopic ossification and flares occur typically
12 in the neck and in the proximal upper limbs
13 predominately. Because the amount of HO that's
14 deposited is relatively small at this stage,
15 children affected require no or minimal assistance,
16 but as the amounts of bone increase and
17 progressively affects other areas of the
18 body -- other areas as the legs, for
19 example -- then disability increases, and the
20 ability to walk reduces, increasing the need for
21 using aids.

22 By late or severe disease, by this time

1 we're talking about people in their mid to late
2 teens, the disease often affects the jaw, resulting
3 in inability to chew and severe dental hygiene
4 problems. When it affects more joints in the arms,
5 patients become dependent for activities of daily
6 living such as dressing, toileting, and eating; and
7 when it affects more extensively at the lower
8 limbs, patients then require assistance to walk,
9 either pick-up frames or wheelchairs. And by
10 15 years of age, which I'd point out is still quite
11 young, on average, patients are requiring certain
12 assistive devices, indicating quite severe
13 disability.

14 As the disease progresses, then the level of
15 disability increases, and by 25 years of age, most
16 patients are wheelchair-bound. Symptomatic
17 thoracic insufficiency syndrome then starts to
18 appear, and by end-stage disease, or later stages
19 of diseases -- and here we're talking typically
20 late 20s early 30s -- patients are severely
21 disabled and frequently bed-bound. Kaplan-Meier
22 analysis suggests that the life expectancy for the

1 disease is 56 years of age, but I personally think
2 that's an overestimate, and I have yet to see a
3 patient who's made it to 50 years of age.

4 For patients with FOP, the pattern and onset
5 of physical impairment relates precisely to where
6 heterotopic ossification appears and links clearly
7 heterotopic ossification with the physical symptoms
8 and disability in the condition. Presented here
9 are the results of a global cross-sectional survey,
10 which evaluated the onset of disability in
11 500 patients with FOP according to region.

12 So what you can see is that in patients who
13 are younger than 10 years of age, they have already
14 developed disability affecting the neck, upper
15 back, shoulders, and chest. By between 10 and
16 18 years of age, the disease becomes generalized
17 and affects all areas. And the point of this
18 illustration is to show that there is a real need
19 to treat patients early because once disability
20 occurs in any of these areas, it is irreversible.

21 So how do we manage patients with FOP? As
22 has already been mentioned, there are no effective

1 or FDA-approved treatments to prevent either
2 flare-ups or to prevent the development of
3 heterotopic ossification or to reverse it should it
4 occur.

5 In surgery, for example, to remove either
6 lumps of heterotopic ossification or to deal with
7 deformity or disability issues, it is not
8 recommended, as this results in major flares of the
9 disease and precipitates extensive new heterotopic
10 ossification, usually making the patient worse than
11 the presurgical state.

12 Patients often use high-dose corticosteroids
13 for short periods of time during flare-ups with the
14 goal of reducing the severity and duration of the
15 flare-up, ultimately with the aim of reducing the
16 extent and quantity of heterotopic ossification
17 that occurs. Unfortunately, we have no data to
18 demonstrate that steroid use reduces heterotopic
19 ossification or improves the long-term outcome of
20 the patients with FOP. Given the lack of
21 treatments for it, actually management of FOP is
22 predominantly supportive and multidisciplinary

1 teams are involved in trying to provide patients
2 with the best support possible to manage as they
3 slowly lose physical ability.

4 To conclude, patients with FOP are in major
5 need of a treatment that will slow down the
6 formation of heterotopic ossification and will
7 alter the trajectory of this severe debilitating
8 disease. There are no treatments currently
9 available for FOP, and without such treatments,
10 patients are going to continue to suffer from the
11 multiple and irreversible clinical consequences of
12 heterotopic ossification and will lose significant
13 function, require full-time caregiver assistance to
14 survive, and will suffer early mortality.

15 So given the devastating and irreversible
16 consequences of this disease, preventing even small
17 amounts of heterotopic ossification would be a
18 clinically meaningful outcome for patients, as it
19 would allow patients to maintain mobility and
20 function over time.

21 I'll now pass over to Dr. Marino. Thank
22 you.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Sponsor Presentation - Rose Marino

DR. MARINO: Thank you, Dr. Brown.

Good morning. My name is Rose Marino, and I'm a vice president of clinical development at Ipsen and a board-certified pediatric endocrinologist. This morning, I will review the clinical data showing that palovarotene reduces the volume of new heterotopic ossification, or HO, in patients with FOP relative to untreated patients. Importantly, the observed reductions demonstrate palovarotene's ability to modify the underlying cause of disease progression.

Let me begin with the conclusions from our phase 2 clinical program. The results from our phase 2 studies, as well as nonclinical data, contributed to our understanding of FOP disease progression and informed the dose selection in our phase 3 study. Our phase 2 program assessed patients at the time of an active flare-up. Imaging conducted within 7 days of the onset of flare-up symptoms showed substantial soft tissue edema, muscle necrosis, and immature HO,

1 demonstrating that HO formation may begin even
2 before clinical symptoms present. Thus, it became
3 apparent that chronic daily treatment was required
4 to ensure exposure to palovarotene at the very
5 start of HO formation.

6 Multiple flare-up dosing regimens were
7 evaluated in the phase 2 studies, and the emerging
8 data suggested that higher doses over longer
9 duration were required to maximally inhibit HO
10 formation, especially for more severe flares.
11 These learnings from the phase 2 trials informed
12 that chronic daily treatment, in combination with
13 increased flare-up dosing upon symptom onset, would
14 provide the optimal approach to reduce HO
15 formation.

16 Let me now introduce our natural history
17 study. Key learnings from the natural history
18 study played an important role in the selection of
19 endpoints for our phase 3 trial. The NHS was a
20 three-year, non-interventional study designed to
21 characterize FOP disease progression and evaluate
22 patient characteristics. 114 patients with FOP

1 participated, representing approximately 14 percent
2 of known patients with the disease globally.

3 Data from the natural history study showed
4 us that the measures used to evaluate functional
5 outcomes in FOP were not suitable to demonstrate
6 disease progression over the course of an
7 interventional trial; however, it was clear that HO
8 volume, a clinically meaningful outcome for
9 patients, could not only be objectively measured
10 but also showed changes over relatively short
11 periods of time.

12 One of the functional outcomes measured was
13 CAJIS, a physician-reported measure for assessing
14 range of motion. CAJIS can be useful for a
15 healthcare provider in the clinic to evaluate
16 disease status and track a patient's function over
17 the course of a lifetime.

18 This figure illustrates how CAJIS is scored
19 using the elbow as an example. The schematic on
20 the left shows a CAJIS score of 0, which
21 corresponds to a range of motion between 90 and
22 100 percent. At this stage, there's minimal loss

1 of function. On the right is a CAJIS score of 2,
2 which represents joints that are completely locked.
3 In the middle is a CAJIS score of 1. As shown by
4 the area shaded in yellow, a CAJIS score of 1
5 represents a range of motion between 10 and
6 90 percent, and this highlights the challenge with
7 CAJIS as an endpoint to evaluate disease
8 progression in clinical trials because a patient
9 could experience an 80 percent loss of function,
10 but their CAJIS score would remain unchanged.

11 Although CAJIS has its limitations,
12 cross-sectional data from the NHS demonstrate that
13 the worst the joint-specific CAJIS score, the
14 higher the mean volume of HO within that region.
15 These figures show individual patient total HO
16 volume by CAJIS score. I'd like to highlight here
17 that median new HO associated with complete
18 ankylosis of the knee -- so a CAJIS of 2 -- is
19 approximately 50,000 cubic millimeters. In
20 contrast, a median new HO volume of just 18,000
21 cubic millimeters is enough to completely lock the
22 elbow. These data support the utilization of HO

1 volume as the primary endpoint in Study 301 because
2 even a relatively small amount of new HO in the
3 wrong place can lead to a significant loss of
4 function.

5 The design of our pivotal phase 3 trial was
6 informed by emerging information from the natural
7 history study. At the time, we considered the
8 size, scope, and duration required to execute a
9 randomized-controlled trial, but given our
10 understanding that patient-reported outcomes were
11 not sensitive enough to demonstrate change over the
12 short term and that HO could serve as an objective
13 endpoint that is sufficiently sensitive to
14 demonstrate meaningful change, alternative trial
15 designs were considered.

16 With the natural history study ongoing and
17 almost completely enrolled, there was a desire to
18 maximally utilize this large data set that was
19 actively collecting HO data. Given these factors,
20 in the setting of an ultra-rare disease, the
21 natural history study was selected to serve as the
22 control group for Study 301.

1 It's important to recognize that the natural
2 history study included a number of key
3 characteristics that support its use as a control
4 group for Study 301. In both, the NHS and
5 Study 301, HO assessments were obtained in a robust
6 standardized fashion across all centers. For all
7 whole-body CT scans, HO was first assessed by
8 visual inspection in each of the 9 body regions.
9 When new HO was identified in a region, each reader
10 confirmed the borders of the HO on each low-dose
11 whole-body CT slice and quantitatively assessed the
12 total volume using MIM [ph], a fully validated
13 software application.

14 To eliminate potential bias, all assessments
15 were conducted in a controlled environment by two
16 independent radiologists, with a third for
17 adjudication, and all readers were blinded to
18 clinical information. The assessments of inter-
19 and intra-read variability demonstrated a high
20 level of consistency between readers.

21 While enrollment of the NHS was completed
22 before Study 301 began, both studies ran during

1 concurrent time frames, and all study sites from
2 the NHS participated in Study 301. Throughout both
3 studies, patients were treated with consistent
4 standards of care and background therapy, which
5 have remained unchanged. Symptomatic treatment of
6 flare-ups was permitted in both studies, which
7 primarily included the use of prednisone; however,
8 it is important to note that there is no evidence
9 to suggest that these medications would affect the
10 primary endpoint results.

11 Both studies enrolled sufficiently similar
12 patient populations, enabling valid efficacy
13 comparisons. Presented here is the summary of the
14 baseline demographics and disease characteristics.
15 Patients enrolled into the natural history study
16 were slightly older, with a mean age of
17 17-and-a-half years compared to 15 years in
18 Study 301. In addition, total HO volume, CAJIS,
19 and FOP-PFQ were numerically higher in untreated
20 patients, which is not unexpected due to the
21 natural progression of FOP. While we acknowledge
22 the numerical differences in baseline

1 characteristics, multiple sensitivity analyses have
2 shown that these do not impact the efficacy of
3 palovarotene.

4 In summary, the primary outcome of
5 annualized new HO assessed via low-dose whole-body
6 CT scan is an objective measure that was obtained
7 using equivalent imaging protocols in both studies.
8 Both studies ran during concurrent time frames, and
9 the same clinical sites that participated in the
10 NHS also participated in Study 301.

11 Standard of care in this disease has
12 remained unchanged and was followed in both
13 studies. Both studies enrolled similar patient
14 populations, enabling valid efficacy comparisons.
15 In addition, the results were adjusted for baseline
16 differences in potential prognostic factors, and
17 altogether, these factors make untreated patients
18 from the natural history study a valid comparator
19 for Study 301.

20 Turning now to our pivotal Study 301,
21 Study 301 is the first multicenter phase 3 study in
22 patients with FOP and was designed to evaluate the

1 efficacy and safety of palovarotene. Enrolled
2 patients received 5 milligrams of palovarotene
3 daily or the weight-based equivalent when they are
4 not experiencing a flare-up. At the time of a
5 flare-up or a substantial trauma, the dose is
6 increased to 20 milligrams for 4 weeks, followed by
7 10 milligrams daily for 8 weeks after that.

8 Main assessments, including whole-body CT
9 scans, were conducted every 6 months. Results from
10 Study 301 were compared with data from untreated
11 patients from the natural history study. The
12 primary endpoint was the annualized change in new
13 HO volume, and as Dr. Brown described earlier, HO
14 formation is a key characteristic of FOP, and it
15 provides an objective assessment of disease
16 progression.

17 Study 301 also included multiple secondary
18 endpoints to evaluate the proportion of patients
19 with any new HO, number of body regions affected,
20 percent of patients with at least one flare-up, and
21 flare-up rate. We also conducted exploratory
22 endpoints to assess functional outcomes.

1 Based on the prespecified statistical
2 analysis plan, three interim efficacy analyses were
3 conducted. A futility assessment was conducted
4 with the second interim analysis when all patients
5 have at least 12 months of follow-up. The third
6 interim analysis was prespecified after all
7 patients had at least 18 months of follow-up, and
8 the final analysis was preplanned after 24 months
9 of follow-up but was not conducted due to the
10 interruption in study drug administration. Thus,
11 interim analysis 3 serves as the final analysis.

12 The primary endpoint of mean annualized new
13 HO volume was assessed at all interim analyses, and
14 for this we used a Bayesian compound Poisson model,
15 which describes the likelihood of an HO growth
16 event and the volume of HO growth per event. The
17 Bayesian model also incorporated a square-root
18 transformation of new HO volume per region. As
19 such, it required that new HO volumes be
20 non-negative.

21 Turning to study disposition, 107 patients
22 participated in Study 301 and 114 in the natural

1 history study. The principal enrolled population
2 includes patients who met all inclusion criteria
3 and had a confirmed R206H variant. The primary
4 analysis was conducted in the full analysis set,
5 which includes all patients from the principal
6 enrolled population who had at least one
7 post-baseline HO assessment. The principal safety
8 population includes all patients from the principal
9 enrolled population who received at least one dose
10 of palovarotene and all untreated patients with
11 post-baseline follow-up.

12 Now, turning to the results, starting with
13 the second interim analysis and the futility
14 assessment, presented here is annualized change in
15 new HO using the prespecified Bayesian model with
16 square-root transformation. As seen in the area
17 shaded to the left of 0.7, the model predicted a
18 4.9 percent probability that palovarotene would
19 reduce annual mean in new HO by more than
20 30 percent on the square-root scale, which would
21 translate approximately to a 50 percent reduction
22 when not on the square-root scale.

1 As such, the futility boundary was crossed,
2 and per the protocol, administration of
3 palovarotene was paused and the study data were
4 unblinded. In order to better understand the
5 results at IA2, the raw data were analyzed and
6 demonstrated a large difference between the groups.
7 Palovarotene-treated patients had a 59 percent
8 reduction in mean annualized new HO compared to
9 untreated patients.

10 Additional analysis without the square-root
11 transformation, including a Wilcoxon ranked-sum
12 test and a weighted linear mixed effects model for
13 wLME, all indicated a treatment effect favoring
14 palovarotene. The large discrepancy between the
15 Bayesian analysis with square-root transformation
16 and the Frequentist statistics led to an
17 understanding that the square-root transformation
18 was inappropriately masking the treatment effect.
19 Because of this, we repeated the primary efficacy
20 analysis without the square-root transformation.

21 This post hoc analysis of the prespecified
22 Bayesian model found that the probability of

1 success was drastically different. Without
2 square-root transformation, the model predicted a
3 79 percent probability that palovarotene would
4 reduce annual mean new HO by more than 30 percent.
5 Furthermore, the model predicted a 99.5 percent
6 probability that palovarotene would reduce annual
7 mean new HO compared with untreated patients.

8 Based on the totality of evidence from the
9 second interim analysis, patients 14 years and
10 older reinitiated dosing with palovarotene. At the
11 third interim analysis, the primary endpoint was
12 analyzed using both the prespecified Bayesian and
13 wLME models. Because of the partial clinical hold
14 in December and the dosing interruption in January,
15 all data from the primary endpoint were censored at
16 the time of these interruptions.

17 The Bayesian model for the third interim
18 analysis showed similar results as interim
19 analysis 2. The square-root transformation
20 continued to introduce a bias against palovarotene,
21 inappropriately showing a diminished treatment
22 effect. When removing the square-root

1 transformation, the model predicted a 99.4 percent
2 probability that palovarotene would reduce
3 annualized mean new HO compared with untreated
4 patients.

5 Presented here are the patient-level data
6 from Study 301 and the natural history study, with
7 each line representing an individual patient. On
8 the left are palovarotene-treated patients and on
9 the right are untreated patients from the natural
10 history study. And while the majority of patients
11 in both groups developed new HO, these figures
12 demonstrate that the overall volume was less in
13 palovarotene-treated patients. Importantly, fewer
14 patients treated with palovarotene had large volume
15 increases in new HO, as shown by the dotted line
16 compared with untreated patients.

17 To account for the limitations of the
18 Bayesian analysis, we analyzed the data using the
19 wLME model, which includes all data as collected.
20 Based on this analysis, palovarotene-treated
21 patients achieved a 54 percent reduction in mean
22 annualized new age HO volume compared with

1 untreated patients.

2 Now focusing on the target population of
3 females 8 years of age and older and males 10 years
4 of age and older, we again see consistent results
5 in favor of palovarotene. Palovarotene-treated
6 patients achieved a 49 percent reduction in HO
7 volume compared with untreated patients.

8 Next, we performed multiple sensitivity
9 analyses to evaluate the potential concerns of
10 relying on an external control to evaluate
11 efficacy. First, we conducted a paired analysis of
12 the 39 untreated patients from the natural history
13 study who transitioned to palovarotene in
14 Study 301, and thus contributed data to both
15 studies. This analysis is important because these
16 patients serve as their own control and allow us to
17 evaluate palovarotene's impact on disease
18 progression.

19 Overall, the 39 transition patients had a
20 52 percent reduction in mean annualized new HO with
21 palovarotene when compared to their mean annualized
22 new HO while receiving standard of care in the

1 natural history study. In addition, when looking
2 at the volume of new HO over time in the
3 39 transition patients, we can see the positive
4 impact of palovarotene on disease progression.

5 The whole-body CT scans were conducted at
6 different time intervals between the two studies.
7 These data show that the trajectory of new HO
8 volume while on palovarotene was dampened through
9 18 months of follow-up, and since HO accumulates
10 over time and is irreversible, the ability to
11 minimize development before patients lose function
12 is clinically meaningful.

13 We also conducted a matched pairs analysis.
14 This analysis evaluated the change in HO volume in
15 palovarotene-treated patients from Study 301
16 compared with untreated patients from the natural
17 history study. All patients who crossed over from
18 the NHS to Study 301 were excluded from this
19 analysis. Each untreated patient was matched with
20 a treated patient, to the extent possible, based on
21 the distribution of propensity scores and a caliper
22 matching algorithm.

1 As seen in this plot of the distribution of
2 propensity scores by treatment, it shows the
3 improvement in the comparability of the patient
4 with respect to the age; age-adjusted baseline HO;
5 sex; baseline CAJIS; and time since last flare-up
6 after matching. The matched pairs analysis showed
7 a 77 percent reduction in mean annualized new HO
8 volume with palovarotene compared with untreated
9 patients.

10 These data support that efficacy is not an
11 artifact of confounding differences between
12 patients in Study 301 and the NHS. In addition,
13 multiple other sensitivity analyses support that
14 untreated patients from the natural history study
15 are a valid comparator for Study 301. Each of
16 these sensitivity analyses consistently demonstrate
17 that palovarotene reduces the volume of new HO.

18 Long-term data provide additional evidence
19 supporting the efficacy of palovarotene. These
20 analyses include all data collected through last
21 patient/last visit and allow for the assessment of
22 efficacy both on and off treatment. As described

1 previously, the primary endpoint results were
2 censored at the time of dose interruptions. For
3 the long-term analysis, we will refer to this as
4 the pre-pause period. The interruption period
5 provides an estimate of new HO volume among
6 patients during treatment interruption and uses the
7 last whole-body CT collected prior to dose
8 interruption and the first scan after re-initiation
9 of palovarotene. The post-restart period includes
10 all patients who restarted palovarotene treatment
11 and had two or more whole-body CT scans during this
12 period.

13 Finally, we also performed an analysis based
14 on the ITT period, which spans both on and off
15 treatment regardless of whether patients started
16 palovarotene treatment or remained off treatment.
17 This analysis provides a conservative estimate, as
18 it includes data while patients were not receiving
19 treatment.

20 Turning to the results, the wLME analysis
21 for the ITT period from baseline to last visit in
22 Study 301 compared to the natural history study

1 showed a 45 percent reduction in the LS mean new HO
2 volume in palovarotene-treated patients compared to
3 untreated patients. Now looking at the bar chart
4 on the right, this analysis of the raw data shows
5 the mean annualized new HO volume by time period in
6 the long-term analysis. The amount of new HO
7 accrued during the off-treatment phase is greater
8 than that accrued while on treatment with
9 palovarotene.

10 Next, we looked more closely at the
11 17 patients that restarted treatment who had at
12 least two whole-body CT scans during the restart.
13 Here, we also see a substantial increase in the
14 amount of new HO during the off-treatment period
15 when compared to the time when these patients were
16 receiving palovarotene treatment.

17 Shown here is an analysis looking at
18 patients in Study 301 who had treatment
19 interruption, the majority due to the partial
20 clinical hold, and never restarted palovarotene.
21 These data demonstrate that HO formation was
22 blunted while on palovarotene with the return to HO

1 formation closer to what was seen in the natural
2 history study when treatment was stopped. The
3 consistency of results across these long-term
4 analyses support that palovarotene reduces new HO
5 volume.

6 Next, I will discuss secondary and
7 exploratory endpoints. Study 301 was designed to
8 show a reduction in new HO volume in
9 palovarotene-treated patients compared to the NHS.
10 While this was demonstrated, it was thought that a
11 reduction in body regions with new HO in
12 palovarotene-treated patients would contribute to
13 this treatment effect. This was not the case, as
14 shown here. This may be related to the mechanism
15 of palovarotene to dampen rather than completely
16 block BMP signaling.

17 In addition, although we do not expect
18 palovarotene to impact the flare-up rate, we did
19 observe more flares in palovarotene-treated
20 patients compared with untreated patients. As
21 Dr. Brown described earlier, literature reports
22 suggest that patients with FOP experience

1 approximately two flare-ups per year. In fact, a
2 detailed review of flare-up rates from multiple
3 different sources, including a 500-patient survey,
4 an ongoing clinical trial in FOP, as well as
5 registry data from the IFOPA, report that patients
6 with FOP experience between approximately
7 1-and-a-half to 2-and-a-half flare-ups per year.
8 This suggests that the rate observed in Study 301
9 may be more in line with what would be expected in
10 clinical practice.

11 The explanation of the differences in
12 flare-up rate between Study 301 and the NHS could
13 be due to the difference in how flare-ups were
14 captured in the two studies, which may have
15 resulted in an underestimation of flare-up
16 reporting in the NHS. To better understand the
17 clinical significance of this finding, we asked
18 ourselves whether the observed flare rate in
19 Study 301 was impacting the positive HO results.

20 Presented here is the volume of new HO in a
21 subset of patients who experienced at least one
22 flare-up in either study. This analysis shows that

1 among patients experiencing a flare, the volume of
2 annualized new HO with palovarotene is
3 substantially less than in the natural history
4 study. The long-term data are also important when
5 considering this finding.

6 Presented here are the data for the
7 17 patients with HO assessments in all three time
8 periods. Through four years of follow-up, the
9 benefit of palovarotene on reducing the volume of
10 new HO is lower while patients are receiving
11 treatment. Thus, the data from our study suggests
12 that palovarotene's effect on HO formation will
13 persist even in the setting of a flare.

14 Next, I will describe the functional outcome
15 measures. Physician- and patient-reported
16 outcomes, including CAJIS, FOP-PFQ, and PROMIS,
17 were evaluated in Study 301 as exploratory
18 endpoints. It is important to highlight that while
19 these measures can track physical function over the
20 lifetime of a patient with FOP, they are not
21 sufficiently sensitive to measure change over the
22 course of a clinical trial.

1 Presented here are mean total CAJIS score
2 and FOP-PFQ worst score in palovarotene-treated and
3 untreated patients. As expected, these assessments
4 showed minimal worsening in untreated patients over
5 this relatively short period of time, and thus are
6 not sufficiently sensitive to demonstrate
7 meaningful changes through two years of
8 observation. As palovarotene treatment is not
9 expected to improve these measures, reduction in
10 annualized new HO observed that palovarotene
11 treatment should result in preserved function if
12 observed over longer periods of time.

13 Finally, I will review additional evidence
14 from our phase 2 program that supports the
15 treatment effect of palovarotene. Since Study 202
16 was an extension study and not powered to
17 demonstrate efficacy via comparisons to the NHS, a
18 matched pairs analysis was performed to better
19 align these populations and aid in comparisons.

20 Here, I am focusing on Study 202 Part C, as
21 this study most closely resembles Study 301. This
22 analysis shows the mean annualized new HO volume

1 for 19 patients who received palovarotene in
2 Study 202C compared to 19 matched patients from the
3 natural history study who did not cross over to
4 receive palovarotene. Patients were matched
5 according to age; sex; time since last flare-up;
6 age-adjusted HO volume; and CAJIS. Overall, there
7 was a 43 percent reduction in annualized new HO
8 volume in treated compared to untreated patients.

9 The long-term data from Study 202C provide
10 additional evidence supporting the benefit of
11 palovarotene. Presented here are the pre-pause,
12 interruption, and post-restart data for the
13 9 patients from Study 202C who contributed data in
14 all three periods. Consistent with the results
15 presented earlier for Study 301, we again see that
16 the rate of new HO volume is less while patients
17 are receiving treatment.

18 In summary, the data from Study 301
19 demonstrate that palovarotene reduces the volume of
20 new heterotopic ossification in patients with FOP.
21 In the overall population, palovarotene-treated
22 patients achieved a 54 percent reduction in new HO

1 compared to untreated patients. Sensitivity
2 analysis have shown a consistent benefit of
3 palovarotene and support that the NHS is a valid
4 comparator for Study 301. And despite the higher
5 rate of flare-ups reported in palovarotene-treated
6 patients compared to the NHS, the overall volume of
7 new HO was less with palovarotene.

8 Additionally, analyses of the long-term data
9 from last patient/last visit, as well as evidence
10 from Study 202C, provide supportive evidence of
11 palovarotene's efficacy. Collectively, these data
12 support that palovarotene modifies the underlying
13 cause of disease progression and disability in
14 patients with FOP.

15 Thank you. I will now turn the presentation
16 over to Dr. Schranz.

17 **Sponsor Presentation - Jennifer Schranz**

18 DR. SCHRANZ: Good morning. My name is
19 Jennifer Schranz, and I'm the global head of Rare
20 Disease at Ipsen. I will present the safety
21 profile of palovarotene, and then review our risk
22 management activities that were developed to guide

1 physicians in their benefit-risk discussions with
2 patients and families.

3 164 patients with FOP have received at least
4 one dose of palovarotene across the development
5 program. As outlined in the proposed label, we are
6 recommending that palovarotene be initiated in a
7 specific target population; therefore, I will focus
8 my presentation on the 139 palovarotene-treated
9 patients who represent this population. This
10 includes patients from Study 301 and our phase 2
11 studies.

12 Mean exposure was approximately
13 3-and-a-half-years, and 78 percent of patients
14 remained on treatment for more than 30 months. In
15 addition, safety has been evaluated in more than
16 300 healthy participants and more than 700 patients
17 from other indications. These results support the
18 safety of palovarotene in patients with FOP.

19 I would like to begin with the results from
20 our bone safety monitoring program, specifically
21 focusing on the premature physeal closure or PPC.
22 Premature physeal closure, although not a

1 life-threatening event, is an important risk
2 associated with palovarotene treatment. In the
3 target population of females older than 8 years of
4 age and males older than 10 years of age,
5 13 palovarotene-treated patients have reported
6 events of PPC. These include a continuum of
7 partial through complete closure of the growth
8 plates.

9 To characterize the magnitude of risk, we
10 conducted a detailed review of the individual
11 patient narratives, including radiologic and
12 clinical assessments of growth. We found that
13 growth does not generally stop upon initiation of
14 palovarotene or diagnosis of PPC. In addition,
15 some patients had signs of growth disturbance prior
16 to the identification of PPC, suggesting that
17 monitoring can form ongoing risk-benefit decisions.

18 Regarding potential, long-term consequences,
19 no patient in Study 301 experienced a femoral
20 angular deformity and there was no difference in
21 leg length asymmetry between treated and untreated
22 patients. The clinical consequences of FOP and

1 heterotopic ossification formation are severe, and
2 thus for every growing patient, the potential risks
3 of PPC need to be weighed against the benefits of
4 reducing the volume of new HO formation and
5 potential for preserved mobility. In summary,
6 premature physeal closure is a risk associated with
7 palovarotene treatment in pediatric patients with
8 open growth plates and will be highlighted in a
9 boxed warning within the prescribing information.

10 Turning now to general safety, palovarotene
11 has a manageable safety profile. All patients
12 experienced an adverse event. The majority were of
13 mild to moderate intensity, with 25 percent
14 experiencing a severe adverse event. Thirty-seven
15 percent required a dose modification and 9 percent
16 discontinued treatment due to an adverse event.
17 Serious adverse events occurred in 41 percent of
18 patients. There were no deaths across the FOP
19 development program, either on study or within
20 30 days after discontinuation of therapy.

21 Consistent with other systemic retinoids,
22 mucocutaneous events were the most commonly

1 reported adverse event. These events were
2 generally mild to moderate, and they were
3 manageable through dose modification and
4 prophylactic skin care. Musculoskeletal events
5 were also reported in more than a third of
6 palovarotene-treated patients. It is important to
7 note these events are also associated with the
8 natural progression of FOP and are commonly seen in
9 patients with FOP who are not receiving
10 palovarotene.

11 Mucocutaneous events were the most commonly
12 reported adverse events that led to a dose
13 reduction. Overall, dose reductions were effective
14 in managing these events and allowed patients to
15 remain on treatment. Dry skin was the only adverse
16 event that led to treatment discontinuation in more
17 than one patient. All other events were observed
18 in one patient each. These data support that the
19 safety profile of palovarotene is manageable, and
20 the majority of patients are able to remain on
21 treatment.

22 Next, looking at serious adverse events,

1 presented here are the most common
2 treatment-emergent serious adverse events that
3 occurred in the target population. Coronavirus
4 infection and premature physéal closure were the
5 most commonly reported serious adverse events. In
6 addition to the information and guidance provided
7 to the proposed label, our risk management plan was
8 designed to inform and guide patients and
9 physicians on the safe use of palovarotene. These
10 include an educational program to inform healthcare
11 providers, patients, and their caregivers on the
12 risks of palovarotene treatment.

13 Because FOP is an ultra-rare disease, we
14 anticipate that treatment will likely be initiated
15 by a specialist. Palovarotene will only be
16 distributed through a single specialty pharmacy
17 with pharmacists trained on the USPI, the
18 educational program overview, and the educational
19 materials. Each potential prescriber will receive
20 an introductory letter describing the program and a
21 set of comprehensive educational materials.
22 Prescribers will need to confirm review prior to

1 prescribing palovarotene.

2 In addition, each potential patient will
3 receive an overview of the key risks with
4 supplementary educational materials specific to
5 either females, growing pediatric patients, and
6 their caregivers. All of these educational
7 materials will also be available via the online
8 product website for ease of access.

9 We are also planning a 10-year post-approval
10 registry study with a target of enrolling at least
11 80 percent of patients treated with palovarotene.
12 The registry study will be protocol-based with
13 study site personnel trained in rigorous data
14 collection, with periodic reviews of data quality
15 to ensure completeness. The primary aim of the
16 study is to collect and assess real-world safety
17 data with specific safety endpoints, focus on
18 pregnancy outcomes, PPC, and vertebral fractures.

19 The registry study will also further
20 characterize the effectiveness of this treatment,
21 including palovarotene's effect on physical
22 function as per the measurements collected in our

1 phase 3 study. Although it will be conducted as a
2 real-world study, sites will be selected and staff
3 educated and trained on the importance of enrolling
4 patients to characterize the long-term safety and
5 effectiveness of palovarotene. In practice, after
6 palovarotene is prescribed, patients would be
7 enrolled in a clinic visit. Follow-up visits would
8 occur on site or remotely according to routine
9 clinical practice in order to limit additional
10 burden on patients and their caregivers.

11 In conclusion, palovarotene has a
12 well-characterized safety profile, consistent with
13 the well-established profile reported with other
14 systemic retinoids. Mucocutaneous events were most
15 commonly reported. The majority of adverse events
16 were mild to moderate, and patients were able to
17 remain on therapy through dose reductions and
18 supportive care. Premature physeal closure and
19 teratogenicity are important risks of treatment and
20 are clearly communicated with a boxed warning in
21 the proposed label. We have developed a robust
22 education and risk mitigation program to inform and

1 guide patients, physicians, caregivers, and
2 pharmacists on the safe use of palovarotene.

3 Thank you. I will now turn the presentation
4 over to Dr. Hsiao.

5 **Sponsor Presentation - Edward Hsiao**

6 DR. HSIAO: Good morning. My name is Edward
7 Hsiao, and I am a professor of medicine at the
8 University of California, San Francisco, where I
9 direct the Metabolic Bone Clinic. I am one of
10 about 20 worldwide clinicians specializing in FOP.
11 In 2007, I met my first patient with FOP and was
12 astounded by the huge amount of abnormal bone
13 formation and the overwhelming effects of the
14 disease. Since then, I have had the unique
15 opportunity to care for more than 60 patients with
16 FOP and have dedicated my research to understanding
17 this disease and developing medical management
18 strategies for patients. It is from this vantage
19 point that I am sharing my perspective as a
20 clinician on the use of palovarotene in patients
21 with FOP.

22 It's important to emphasize that FOP is a

1 devastating disease. Patients need a treatment
2 option that can slow its relentless progression.
3 Throughout my career, I have cared for many
4 patients with FOP, ranging from a couple of months
5 old to those aged 50 and beyond. The discussions I
6 have with my patients and their families are
7 difficult. They quickly realize that the new bone
8 formation affects all aspects of daily life.

9 There are no effective treatments to slow
10 the life-altering effects of FOP. It is
11 devastating to watch as their children lose the
12 ability to accomplish basic activities of daily
13 living that we take for granted, like being able to
14 walk to the bathroom and wipe themselves after
15 using the toilet, or being able to turn around to
16 see something behind them, or being able to walk or
17 eat on their own.

18 Patients also realize that the bone
19 formation has other impacts besides mobility. They
20 are unable to sit or stand comfortably from masses
21 in their back, their thighs, or flanks, or they
22 develop pressure sores from the bony protrusions.

1 As Dr. Brown discussed earlier, standards of care
2 for our patients is limited to symptomatic
3 treatment, as patients continue to progress over
4 time. These changes are permanent. Thus, blocking
5 any new bone formation is the critical strategy for
6 slowing progression of this devastating disease.

7 Palovarotene is the first medication that
8 has been tested in the rigorous manner to show a
9 reduction in the amount of HO and FOP. This is a
10 clinically meaningful outcome for patients. The
11 data presented earlier show that palovarotene-
12 treated patients achieve a 54 percent reduction in
13 new HO compared with untreated patients. It is
14 important that we understand the magnitude of this
15 reduction and what it means for our patients.

16 To give context as to what these volumes
17 mean, the increase of 20,000 cubic millimeters of
18 annualized new bone that was formed in untreated
19 patients is about the size of a Clementine. Now,
20 compare that to the 9,000 cubic millimeters of
21 annualized new bone seen in palovarotene-treated
22 patients over this same time period. This equates

1 to roughly the size of a grape.

2 If we go back to the NHS data presented
3 earlier, patients with an elbow CAJIS score of 2,
4 which represents complete locking, have a median of
5 18,000 cubic millimeters of HO. Thus, limiting the
6 new bone volume to 9,000 cubic millimeters instead
7 of 20,000 in the elbow would potentially preserve
8 the ability to move, perhaps allowing a patient to
9 reach for items on a shelf, to wash their hair, or
10 to brush their teeth. So although bone is still
11 forming, the fact that palovarotene can slow this
12 formation is very meaningful to patients because it
13 would allow them to maintain their mobility and
14 independence for more time.

15 As with any therapeutic option, it's
16 important to weigh the benefits of treatment with
17 the safety profile. Because bone formation in FOP
18 is cumulative and irreversible, early intervention
19 is critical to preserve a patient's ability to
20 function over time. During the clinical
21 development program, PPC was shown to be a risk
22 with palovarotene treatment, especially in younger

1 children; therefore, this is an important
2 risk-benefit discussion for pediatric patients and
3 their families.

4 In Study 301, we observed a higher flare
5 rate compared with the natural history study.
6 Although the cause of this is not clear, the data
7 showed that the volume of new HO remains less in
8 patients receiving palovarotene regardless of the
9 observed difference in flare rates. As a site
10 investigator, a number of our patients have
11 expressed that this is a tolerable risk if it would
12 allow them to maintain the ability to move or to be
13 independent for a longer period of time.

14 Finally, mucocutaneous side effects were the
15 most commonly reported adverse event in the
16 palovarotene clinical program. While these events
17 were significant for some, most of the patients I
18 cared for were able to tolerate the medication very
19 well. For my patients who did experience these
20 side effects, many were able to remain on therapy
21 with topical emollients or following dose
22 reduction. These considerations highlight the

1 importance of clearly communicating the risks of
2 palovarotene versus the potential benefits of early
3 intervention to our patients and their families so
4 that treatment decisions can be made based on the
5 individual's needs.

6 In conclusion, the totality of data showed
7 that the benefits of palovarotene treatment
8 outweigh the potential risks. Palovarotene
9 represents an important treatment option for
10 patients with FOP. It would be the first
11 therapeutic option shown to slow the trajectory of
12 this disease through a reduction in new HO.

13 Clearly, the medication may not be for all
14 patients with FOP; however, as a physician who has
15 cared for these patients for more than 15 years, it
16 is my clinical perspective that all patients with
17 FOP should be given the opportunity to consider
18 whether palovarotene is right for them. For our
19 patients, palovarotene represents the best chance
20 to live a more normal life and to slow the
21 relentless progression for a disease that currently
22 has no other effective options.

1 Thank you. I'll now turn it over to Drew
2 Sansone.

3 MR. SANSONE: Thanks, Dr. Hsiao.

4 My name is Drew Sansone, and I'm the vice
5 president of Regulatory Affairs and Quality for
6 North America Ipsen. I'd like to conclude this
7 presentation by thanking the entire FOP community.
8 The support and sacrifice of study investigators,
9 personnel, and most importantly, the patients and
10 their families, have made this program possible.

11 Thank you. We'd be happy to take any
12 questions that the panel might have at this time.

13 DR. LOW WANG: Thank you so much for this
14 presentation.

15 Before we proceed with questions, I'd like
16 to invite our patient representative,
17 Dr. Chaikhoutdinov, to introduce himself by stating
18 his name and his affiliation.

19 (No response.)

20 DR. LOW WANG: Dr. Chaikhoutdinov, are you
21 able to unmute yourself?

22 (No response.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Clarifying Questions for Sponsor

DR. LOW WANG: Maybe we'll go ahead and move on.

We will now proceed to clarifying questions for Ipsen. Please use the raise-hand icon to indicate that you have a question, and remember to lower your hand by clicking the raise-hand icon again after you've asked your question. When acknowledged, please remember to state your name for the record before you speak and direct your question to a specific presenter, if you can. If you wish for a specific slide to be displayed, please let us know the slide number, if possible.

Finally, it would be helpful to acknowledge the end of your question with a thank you and end of your follow-up question with, "That is all for my questions," so we can move on to the next panel member.

I'd like to take the chair's prerogative and start with the first question. I do have a question for Dr. Marino, and this is relating to slide 60 and 61.

1 It was noted that there was markedly higher
2 annualized new heterotopic ossification volume
3 during the interruptions, so off treatment,
4 especially when looking at the next slide, which
5 looks at the 17 individuals with data in all three
6 phases. So I was wondering if you could please
7 expand on that and what you think the implications
8 are since there are no other treatments to
9 transition patients to.

10 MR. SANSONE: This is something that we've
11 looked into extensively, and we believe the reasons
12 are multifactorial. I'll have Dr. Marino come up
13 and discuss more.

14 DR. MARINO: Thank you. Indeed,
15 unfortunately we did have to interrupt therapy for
16 patients due to the reasons discussed in the
17 presentation, but we continue to collect data, as
18 we were restarting therapy in order to be able to
19 assess this off-treatment time period.

20 What we're seeing on the slide is an
21 increase in heterotopic ossification after
22 discontinuing treatment, with a return to a

1 dampening of that formation back on treatment. To
2 me, this shows that treatment is necessary, and to
3 continue to have dampening of the heterotopic
4 ossification, you need to continue the therapy in
5 order to continue to receive the benefit.

6 DR. LOW WANG: Alright. Thank you.

7 Let's move on to the first question from our
8 panel.

9 Dr. Nason, you're first.

10 DR. NASON: Great. Thank you. This is
11 Martha Nason. I'm a statistician at NIH, and I
12 have two questions about the analysis. The first
13 is I couldn't tell whether the fact that some of
14 the same people were in both the natural history
15 and in 301 was accounted for in the analysis,
16 explicitly since those are obviously not
17 independent. I was just hoping the statistician
18 could confirm whether that was included in the
19 model.

20 MR. SANSONE: Let me ask Dr. Strahs to come
21 up and address that question, please.

22 DR. NASON: Thank you.

1 DR. STRAHS: Good morning. I'm Andrew
2 Strahs, head of global biometry for R&D at Ipsen.
3 Yes, I can confirm that that was taken into account
4 in both the Bayesian analysis and the wLME
5 analysis. There was a random subject affect in
6 both.

7 DR. NASON: Thank you. If you don't mind
8 staying for a sec, I have one more question which
9 you might be able to answer. I just wanted to
10 confirm -- I was trying to make sure I understood
11 the annualized part of the HO, and I believe it's
12 different for different types of HO, so I just want
13 to make sure I'm understanding correctly.

14 When it was an ongoing new HO, it was
15 divided by the time interval that that was measured
16 over; however, when it was a baseline HO, it was
17 using the subjects age to annualize the data. Is
18 that correct?

19 DR. STRAHS: Those are different. The
20 endpoint that was studied in the study was new
21 HO --

22 DR. NASON: Right.

1 DR. STRAHS: -- so it was total amount of
2 new HO divided by time of observation. The
3 baseline, which we often used as a covariate, was
4 baseline total HO across all body regions divided
5 by baseline age. We did do modeling both with that
6 age-adjusted baseline and baseline, and we did not
7 see a difference in using those two versions at
8 baseline.

9 DR. NASON: Okay. With the one that's
10 annualized by the interval, was their data from the
11 natural history study alone just to show that was
12 relatively constant with age, or did that seem to
13 plateau with age?

14 DR. STRAHS: We do see that the the new HO
15 volume does decrease with age. The cumulative HO
16 does build --

17 DR. NASON: Fine, sure.

18 DR. STRAHS: -- for age, yes.

19 DR. NASON: But I'm just trying to figure
20 out, especially given age differences between the
21 populations, how the annualized rate might be
22 impacted by that.

1 DR. STRAHS: We did do substantial analyses
2 also including age as a covariant, and that did not
3 change the observation of post hoc efficacy.

4 DR. NASON: Okay. Thank you.

5 DR. LOW WANG: Next, Dr. Coffey.

6 DR. COFFEY: Thank you. My questions are
7 also related to the efficacy and the
8 statistical -- Chris Coffey, statistician,
9 University of Iowa. Sorry about that.

10 I think one of the questions that I have is
11 I understand the problems that you ran into with
12 your log transformation of the endpoint that led
13 you to do the additional analyses. I suspect one
14 of the reasons, though, that the log transformation
15 was proposed originally was because, clearly, when
16 you look at that plot of the outcomes skewed data,
17 you have some really large increases on the left
18 side, more in the middle, and then a few in the
19 other direction at the end.

20 So my question kind of on the other side
21 though, the way that you presented in multiple
22 slides, like 6061 we just looked at and several

1 others, were annualized mean differences, which
2 seems like that could potentially be biased in the
3 other direction, where some of the really large
4 differences could really skew the means towards the
5 higher end as opposed to the medians.

6 So in trying to get a sense of the effect,
7 if you looked at median effects in the groups, how
8 would that compare, and have you looked at that?

9 MR. SANSONE: I'm going to have Dr. Strahs
10 come back up and address those questions, please.

11 DR. STRAHS: First, I'd like to clarify that
12 it was a square-root transformation and not a log
13 transformation.

14 DR. COFFEY: Thank you.

15 DR. STRAHS: Second, our observation was
16 that the medians behaved similarly to the means,
17 and interestingly, we were worried about the effect
18 of extreme value. So one sensitivity analysis that
19 we did -- and I could pull up CO-47 to look at the
20 individual patient annualized new HO. One analysis
21 that we did was we took everyone who observed
22 greater than 100,000, and we replaced that with

1 100,000. We were concerned that an extreme value
2 could be influential, and we found very similar
3 results. FDA subsequently extended that analysis
4 and varied the cutoff, and also produced very
5 similar results.

6 DR. COFFEY: Great. Thank you.

7 DR. LOW WANG: Next, we have Dr. Yanovski.

8 DR. YANOVSKI: Thanks. Jack Yanovski. I
9 have one question that, again, it's asking for
10 supplemental analysis to what's been shown. The
11 discussion has been about children between 8 and 14
12 potentially being different from children who are
13 older than that age.

14 Have you analyzed the data, and can you show
15 us an analysis of how the amount of FOP developed
16 in those different age groups through the study and
17 the different areas? That would be, of course,
18 compared to this historical control group, as well
19 as to the on-and-off treatment. Do you have those
20 data for the age group 8 to 14 versus older
21 children, older people?

22 MR. SANSONE: We do, and I'll ask Dr. Marino

1 to come up with the data.

2 Dr. Marino?

3 DR. MARINO: Sure. Thank you. We have done
4 several subgroup analyses to look at different age
5 groups, which also helped us determine our
6 indication population of the 8/10. And what we're
7 showing on the slide now are the age cutoffs of
8 those that are in the non-target population, those
9 less than 8/10, the 8/10 to 14, and the greater
10 than 14; and what we see is consistent efficacy
11 across all age categories here, understanding that
12 these are subgroup analysis with smaller numbers.

13 DR. YANOVSKI: Thank you. That's my only
14 question.

15 DR. LOW WANG: Next, we have Dr. Wang.

16 DR. WANG: Thanks. I just wanted to follow
17 up on Dr. Coffey's question on this issue of the
18 square-root transformation, as I recognize that
19 this will be probably a topic of discussion given
20 the efficacy results. If you could just explain so
21 that I make sure I understand correctly; your
22 feeling is that the reason that the square-root

1 transformation in retrospect was inappropriate is
2 because of the different frequency of the CT scans
3 in the treated group versus the non-treated group,
4 as square roots aren't additives, which seems like
5 a very reasonable point.

6 I guess my question is, this would have been
7 known when you prespecified the analysis that the
8 frequency of the CT scans was different. Was that
9 considered when you considered the options, and how
10 specifically did you settle on a square-root
11 transformation to account for skewness or other
12 problems with the data, as opposed to an
13 alternative similar to those that you chose in the
14 post hoc analyses?

15 MR. SANSONE: I'm going to have Dr. Strahs
16 come up and walk you through some of the evolution
17 of our analysis and how we came to be with the
18 square-root transformation.

19 DR. STRAHS: The square-root transformation
20 was actually a relatively late addition. The
21 concern was that large amounts of HO in a small
22 number of patients could mask a finding of

1 efficacy, and we include an example in the briefing
2 document that demonstrates this. But ultimately,
3 once we adjust -- so this bias was not appreciated
4 before the unblinding.

5 When we do a sensitivity analysis that
6 artificially equalizes the visit structure, though,
7 we see very little difference between with and
8 without square-root transformation. Both yield
9 approximately a 40 percent reduction in new HO with
10 a probability of efficacy both over 90 percent. At
11 least in the Bayesian analysis, ultimately,
12 square-root transformation, once you adjust for the
13 bias, makes very little difference.

14 DR. WANG: Thank you.

15 DR. LOW WANG: Next, Dr. Blaha.

16 DR. BLAHA: Hi. Mike Blaha, Johns Hopkins.
17 My questions have to do with -- could we pull up
18 slides, let's start with CO-57, and they have to do
19 with the series of sensitivity analyses and just
20 trying to follow them. In particular, I'm going to
21 zero in on propensity score adjustment.

22 Just here, I guess it looks very consistent

1 across these, but if I understand right, the sample
2 size is changing considerably across these studies,
3 and I struggled a little bit to follow exactly the
4 difference between the matched pairs analysis, and
5 the propensity score analysis, and the propensity
6 weighted analysis, if those were all shown, and
7 maybe you could comment on that. But before that,
8 let's go back one slide if you don't mind, and I'm
9 going to do the same on my screen, or actually back
10 two slides, to CO-55.

11 This whole issue, I'm just trying to follow
12 the sample size that came up here, and I'll follow
13 up with one final question about the propensity
14 matching here. It shows before matching, there's
15 definitely some differences between the NHS and the
16 301, and then this showed that after matching, of
17 course, we have more similarities. But the sample
18 size has changed again here, and even the 61 and 58
19 were different on the prior slide; it was 62 and
20 58, so I was getting confused.

21 If I could ask the group to give me a quick
22 comment, maybe at least on this slide, how these

1 sample sizes are arrived at. And then after that,
2 one follow-up question about what was in the
3 propensity adjusted model. But maybe a quick
4 question about the differences between analysis on
5 the multiple sensitivity analysis slide, and then
6 the sample sizes on this slide.

7 MR. SANSONE: I'll have Dr. Strahs come back
8 up and talk about those analyses a bit.

9 Dr. Strahs?

10 DR. STRAHS: The 62 dropped to 61 because
11 one subject did not have time since last flare-up
12 populated, so that subject was dropped for the
13 propensity score weighting analysis.

14 DR. BLAHA: Okay. Then this slide, before
15 matching, we have 61 and 58, and after matching, we
16 have a pair of 39. Could you just walk me through
17 again about how we arrived at these numbers?

18 DR. STRAHS: Sure. It was based on caliper
19 matching using the propensity scores for the five
20 variables that Dr. Marino mentioned. I believe it
21 is time since last flare-up; baseline CAJIS; the
22 age-adjusted baseline; HO; sex; and age.

1 DR. BLAHA: So then, I guess 19 participants
2 were not able to be matched, I guess, from 301 into
3 the matched pair group?

4 DR. STRAHS: That's right. That's right,
5 only those that were able to have a matching within
6 the caliper were included. That's how we go from
7 the numbers on the left to the 39 on the right.

8 DR. BLAHA: Okay. Sorry, a final question.
9 Can you just list the things that were in the
10 propensity model again? I just want to confirm how
11 you entered baseline HO into the model. That's a
12 critical variable and understanding the change.

13 DR. STRAHS: Right.

14 DR. BLAHA: How did you get to that?

15 DR. STRAHS: In this analysis, we used the
16 age-adjusted baseline HO, which was the total
17 volume of HO at baseline divided by the baseline
18 age. We've done other analyses where we've
19 included just straight unadjusted baseline, and in
20 that modeling, we really didn't see any difference
21 between them.

22 DR. BLAHA: Difference between the results,

1 not difference between the --

2 DR. STRAHS: Right, different results; yes,
3 different analyses. But more regression analyses
4 in the ITT analysis that Dr. Marino mentioned, we
5 didn't identify one as having any more predictive
6 value than the other.

7 DR. BLAHA: That's the end of my questions.
8 Thank you.

9 DR. LOW WANG: Thank you. Next is
10 Dr. Gerhard.

11 DR. GERHARD: First, a quick follow-up to
12 Dr. Blaha's question from a second ago. In the
13 propensity score weighted model, you then include
14 that the 61 and the 58 didn't have to match within
15 the caliper, so just a quick follow-up.

16 The actual question was for the transition
17 period analysis, so that's slides 51 to 53; and
18 either for Dr. Marino, and maybe for Dr. Hsiao as
19 well, this is the analyses that takes the people
20 that were in the natural history study and then
21 switched over to the 301, and the argument was made
22 that these might be specifically important because

1 it's a within-person comparison.

2 To me, a lot of alarm bells go off because
3 the reason that people switched at this specific
4 point in time might be particularly because they
5 were doing poorly and had an increase in HO prior
6 to switching, and then you'd basically just see a
7 regression to the mean.

8 So I would like to understand a little bit
9 more, for those patients that switched, what
10 triggered to switch from the natural history study
11 to join Study 301, and was that because they were
12 doing particularly poorly at the time? Is there
13 any data that you have to extend that would shed
14 some light on that? Thank you.

15 MR. SANSONE: I'm going to start by asking
16 Dr. Marino to come up and begin to address those
17 questions.

18 DR. MARINO: As Study 301 was enrolling, the
19 natural history study was ongoing, and all patients
20 that were still within the natural history study
21 were invited to participate in Study 301, as well
22 as the general FOP community. We had advertised on

1 clinicaltrials.gov and through the IFOPA, so we
2 were making sure that we weren't selecting patients
3 out of the NHS into Study 301. We didn't collect
4 specific data or reasons why people left the NHS to
5 come to 301. We did do an analysis to look at
6 their HO formation when they left, and it did not
7 appear that they were doing worse, if you will, in
8 NHS compared to 301, which I think was one of your
9 concerns.

10 To give maybe a little bit more clarity, we
11 do have one of our PIs who participated in both
12 studies, who could give you a little more of an
13 idea of how his patients transferred and for what
14 reasons, so I'll ask Dr. Hsiao to come up and give
15 his perspective.

16 DR. HSIAO: Yes. Thank you very much for
17 that question. I think as one of the site
18 investigators, there were multiple reasons that
19 patients gave. I want to emphasize that what we
20 did was offer the option of transferring to 301 to
21 every subject in the natural history study.

22 A number of patients were considering

1 different factors, including travel time, and for
2 many, whether or not there was increased risk in
3 terms of having to travel through the airports and
4 potentially have trauma or difficulties from the
5 traveling itself, as well as difficulties on the
6 family side. Especially for families with young
7 children, you can imagine that having to come on
8 site requires a lot of effort, a lot of caretakers
9 that need to come on site, and so a lot of these
10 different types of factors were often cited by the
11 subjects.

12 DR. GERHARD: Thank you. That's very
13 helpful.

14 DR. BROWN: If I could just --

15 DR. GERHARD: I just wanted to follow up,
16 though, also on the statistical follow-up question.

17 DR. STRAHS: Yes, I can confirm that the
18 weighted analysis used all of the 58 and the 61
19 subjects, and there it was an attempt to include
20 everyone but make more comparable by using weights
21 to make the propensity scores, distributions
22 between the full set, more similar. So I can

1 confirm your question.

2 DR. LOW WANG: Thank you.

3 I just wanted to state that we'll shorten
4 our break to 10 minutes, so we have five more
5 minutes for the last two advisory committee members
6 to ask their questions.

7 So next is Dr. Weber.

8 DR. WEBER: Thank you. This is for
9 Dr. Marino. Comments were made about dose
10 reduction --

11 DR. LOW WANG: Would you please state your
12 name? Sorry about that.

13 DR. WEBER: Sorry. Tom Weber -- I'm
14 sorry -- from Duke. This is for Dr. Marino.

15 This is the question. Comments were made
16 about dose reduction during the study, and looking
17 at the packet, I see how those are kind of guided.
18 But I had a question specifically whether dose
19 reductions during this trial specifically were
20 analyzed as to how it affected H0 efficacy because
21 that could have real-world implications in terms
22 of, if it's approved, dose reductions and how it's

1 going to work. So if you can address that first
2 question.

3 MR. SANSONE: I'll ask Dr. Marino to come up
4 and address the question around dose reduction.

5 Dr. Marino?

6 DR. MARINO: We did have dose reductions,
7 mostly because of adverse events of skin reactions.
8 We didn't do an analysis, per se, looking at the
9 dose reductions in particular, but what we're
10 seeing in our efficacy, and what we're showing you,
11 is including all of those dose reductions. So what
12 we would expect in the real world would likely be
13 what we saw on the trial, given that we did see
14 dose reductions based on mostly the adverse events,
15 so I don't have an analysis to show you for that
16 particular question.

17 DR. WEBER: Okay.

18 My second quick question is, I know that the
19 con meds such as prednisone don't affect the
20 national history, but certainly they have potential
21 adverse skeletal and other consequences. So do we
22 have any data on con med, specifically prednisone,

1 dose reduction during the trial and whether that
2 can provide an indirect benefit in that regard?

3 DR. MARINO: Most of the patients did
4 receive prednisone, although not all of them, so we
5 can give you a slide just to give you a sense of
6 who received and for what flare-up; so about
7 three-quarters of the patients in the natural
8 history study and about two-thirds in Study 301.
9 Usually, it's a short course. It's only
10 4 or 5 days, and they stop prednisone. So we did
11 not do a formal analysis, but in reviewing data
12 over the last several years, patients weren't
13 reducing or not reducing based on their steroid
14 use.

15 DR. WEBER: Okay. Thank you.

16 DR. LOW WANG: Thanks.

17 So we have two minutes left before the
18 break, so we have time for one more question by
19 Dr. Greevy.

20 DR. GREEVY: This is Robert Greevy. Thanks
21 for squeezing me in for a question. Could you
22 bring up slide 47, CO-47? In this particular

1 slide, it shows both positive and negative changes.
2 I like this slide a lot because it shows all the
3 data. Are negative changes physiologically
4 possible, or is this more an indication of just
5 measurement error in assessing HO?

6 MR. SANSONE: This is something that we've
7 given a lot of thought to, and let me have
8 Dr. Marino come up and provide an explanation.

9 DR. MARINO: So at the beginning of the
10 trials, when we were designing Study 301 and the
11 read paradigm of looking at heterotopic
12 ossification, we didn't anticipate that we'd
13 actually see all of these reductions. We saw them
14 in both the natural history study -- it's maybe
15 hard to see on the right -- and we saw them in
16 Study 301. It was 5 percent of the patients in the
17 NHS and 29 percent of the patients in Study 301.

18 What I can tell you is we've looked at this
19 both radiologically, and what we see is that -- and
20 I'll show you an example of a picture of what HO
21 looks like at baseline and how it could reduce over
22 time. So the radiologist didn't read negative HO

1 or smaller HO; they just read HO. And what we did
2 is we subtracted the baseline from the subsequent
3 time points; therefore, if you had a smaller amount
4 measured after baseline, you would have a net
5 negative reduction.

6 This was an observation, as I said, that we
7 saw more in palovarotene compared to the NHS
8 patients. Could this be something that
9 palovarotene is influencing? It's possible, but I
10 don't really have data that can show you that
11 definitively. Given the mechanism of action of
12 palovarotene, it could be influencing both the
13 catabolic and anabolic phases of HO, or it could
14 also just be a part of measurement variability of
15 the scans when you're measuring very small volumes
16 of HO. So there could be a biologic plausibility.
17 It's just something that the setup of the study
18 wasn't designed to really look at.

19 DR. GREEVY: Gotcha. That's helpful.

20 A follow-up question might be for
21 Dr. Strahs.

22 DR. LOW WANG: Very quick.

1 DR. GREEVY: It's super quick, just which
2 analyses truncated those changes at zero? Because
3 I know at least the Poisson model truncated them at
4 zero. Did all the analyses do that?

5 DR. STRAHS: No. The weighted linear mixed
6 effects analysis did not employ a square-root
7 transformation and was able to use the data as
8 observed as measured. So the only analyses shown
9 in which negative was truncated at zero were the
10 Bayesian analyses.

11 DR. GREEVY: Gotcha. Thank you very much.

12 DR. LOW WANG: Alright. Thank you.

13 We'll now take a nine-minute break until
14 11:40 Eastern Time. Panel members, please remember
15 that there should be no chatting or discussion of
16 the meeting topics with other panel members during
17 the break. So we'll reconvene at 11:40 Eastern
18 Time. Thank you.

19 (Whereupon, at 11:31 a.m., a recess was
20 taken, and meeting resumed at 11:40 a.m.)

21 DR. LOW WANG: Okay. It's now 11:40.
22 Before we start our FDA presentations, I wanted to

1 mention that we may have time after the open public
2 hearing for Dr. Applegate's question.

3 Now, I'd like to invite Dr. Chaikhoutdinov
4 to unmute his microphone.

5 Dr. Chaikhoutdinov, please introduce
6 yourself by stating your name and affiliation.

7 CDR BONNER: Dr. Low Wang, please unmute
8 your mic.

9 DR. CHAIKHOUTDINOV: Hi. I'm Dr. Marat
10 Chaikhoutdinov. I'm representing my patients, FOP
11 patients. My daughter actually has FOP.

12 DR. LOW WANG: Thank you.

13 We will now proceed with the FDA
14 presentations, starting with Dr. Stephen Voss.

15 (Pause.)

16 DR. LOW WANG: It looks like we're still
17 setting up audio and everything in the FDA Great
18 Room.

19 (Pause.)

20 CDR BONNER: This is LaToya Bonner speaking.
21 We'll take a brief break to address technical
22 issues. Thank you.

1 (Pause.)

2 DR. LOW WANG: Thank you, everyone, for your
3 patience. We can now proceed to the start of our
4 FDA presentations with Dr. Voss.

5 **FDA Presentation - Stephen Voss**

6 DR. VOSS: Thank you. I will give an
7 overview of the clinical studies.

8 The natural history study was a three-year
9 observational study to gather information about FOP
10 and possible endpoints for clinical trials.

11 Study 201 and the first part of the extension
12 study, 202, evaluated short-term palovarotene
13 treatment of acute flare-ups with assessments of
14 the flare-up site. Chronic dosing with higher
15 doses for flare-ups was evaluated in the later
16 parts of Study 202 and in Study 301, with
17 assessments of long-term disease progression.

18 The natural history study enrolled FOP
19 patients with no recent flare-ups. The study
20 included annual assessments of disease progression,
21 including whole-body HO by CT scan, the CAJIS
22 scale, which measures restricted mobility, and the

1 FOP Physical Function Questionnaire, designed to
2 measure impairments of function that are
3 characteristic of the disease. The NHS also
4 evaluated a subset of reported flare-up events over
5 a 12-week period, with assessments of local
6 symptoms and imaging of the flare-up site.

7 The NHS baseline data confirmed the clinical
8 impression that, over time, patients with FOP tend
9 to have progressive accumulation of heterotopic
10 ossification, as well as greater restriction of the
11 range of motion at multiple joints as measured by
12 the CAJIS score. There was also a direct
13 correlation of the total body HO volume with
14 increasing CAJIS score. This is consistent with
15 the view that restricted movement in FOP patients
16 results directly from accumulation of HO around
17 joints and supports the use of HO as a clinically
18 meaningful endpoint in this disease.

19 The NHS enrolled 114 patients with FOP,
20 about half of whom subsequently transferred into
21 interventional studies as listed here. There were
22 no prespecified selection criteria for these

1 transfers as long as the enrollment criteria of the
2 interventional study were met. Study 201 enrolled
3 patients with an acute flare-up episode with onset
4 of symptoms within 7 days. Subjects were
5 randomized into one of three treatment groups:
6 palovarotene 10 milligrams for 2 weeks followed by
7 5 milligrams for 4 weeks; or palovarotene
8 5 milligrams for 2 weeks followed by
9 2-and-a-half milligrams for 4 weeks; or placebo for
10 6 weeks.

11 Following the 6-week treatment period,
12 subjects were observed for six additional weeks.
13 Enrollment was initially restricted to patients at
14 least 15 years of age. Subsequently, the age was
15 lowered to 6 years old with dose adjustments based
16 on body weight for subjects with younger bone ages
17 based on X-rays of the hand and wrist.

18 Prior to these studies, the best method of
19 imaging and measuring heterotopic ossification was
20 largely unknown. Study 201 included standard
21 radiographs as well as CT and MRI of the flare-up
22 sites. The primary endpoint was the proportion of

1 responders as defined by standard X-rays showing no
2 or minimal new HO at the flare-up site at week 6.
3 The secondary endpoints included the volume of new
4 HO at the site and local symptoms of pain,
5 swelling, and range of motion.

6 Study 201 enrolled 40 subjects with FOP with
7 a mean age of 21 years. In two of the three
8 treatment groups, the mean number of flare-ups in
9 the year prior to enrollment was around two per
10 year, which is consistent with published surveys.
11 The rate was somewhat higher, 4.6 per year, at
12 baseline in the higher dose group, the
13 10/5-milligram group. Most of the flare-ups
14 treated in the study involved the hip or knee area,
15 which are sites that are commonly affected. Most
16 subjects presented with typical symptoms of pain,
17 swelling, and stiffness.

18 At the end of the 6-week treatment period,
19 only 2 out of the 40 subjects in the study
20 exhibited significant new HO by X-ray of the
21 flare-up site; therefore, this study did not meet
22 this primary endpoint. New HO was detected in more

1 subjects at week 12 compared to week 6, and CT
2 scans were found to be more sensitive than plain
3 radiographs. At week 12, the proportion of
4 subjects with new HO was somewhat lower in the two
5 palovarotene groups compared to placebo. The mean
6 volume of new HO was also lower in the palovarotene
7 groups. The volume data were highly variable. Pain
8 scores declined moderately in each of the treatment
9 groups.

10 All 40 subjects from Study 201 enrolled in
11 the open-label extension study, 202, and 18
12 additional subjects were later added. Part A of
13 this study evaluated additional flare-ups, which
14 were treated with the palovarotene 10/5-milligram
15 regimen. Part B of this study introduced higher
16 doses for flare-ups, 20 milligrams for 4 weeks
17 followed by 10 milligrams for 8 weeks. Chronic
18 daily dosing of 5 milligrams between flare-ups was
19 also added for skeletally mature subjects in
20 Part B, and then for all subjects in Part C. All
21 doses were weight adjusted for skeletally immature
22 subjects.

1 When chronic dosing was begun, the primary
2 imaging modality changed from assessment of
3 flare-up sites to annual whole-body HO measurements
4 by CT. The method outlined here was used in all
5 the studies, 202B and C, and Study 301, and the
6 NHS. At the baseline of chronic dosing, HO volume
7 was measured at each of 9 body regions, excluding
8 the head. On post-baseline scans, HO was
9 re-measured in regions where any new HO was
10 apparent.

11 An unexpected finding was that many areas of
12 HO actually reduced in size over time, the
13 mechanism of which we do not fully understand. The
14 data were reported as total volume for each body
15 region; therefore, changes from baseline could be
16 positive or negative for each region based on the
17 balance between areas of HO that were growing or
18 shrinking in size within a certain region. Data
19 were not recorded on individual HO lesions or the
20 extent to which changes were related to new versus
21 pre-existing lesions.

22 The endpoint of annualized new HO represents

1 the sum of changes from baseline across all body
2 regions divided by the time interval between the
3 first and last scans. The criteria for initiating
4 flare-up dosing changed between Parts B and C of
5 Study 202. We are mainly interested in the data
6 from Part C because it ran in parallel to Study 301
7 and used the same treatment regimen. Flare-ups
8 were treated with high doses for 12 weeks, which
9 was extended if symptoms were persisting, and the
10 cycle was restarted at 20 milligrams for
11 intercurrent new flare-ups or a marked worsening of
12 symptoms.

13 Under a protocol amendment, flare-up dosing
14 was also started if the subject had substantial
15 trauma, such as a fall which was considered likely
16 to trigger a flare-up. The primary endpoint of
17 Study 202 Part C was the annual rate of new
18 whole-body HO. This was the same primary endpoint
19 as Study 301; however, 202 was a smaller study that
20 was not powered to demonstrate efficacy through
21 comparisons to the NHS.

22 In December 2019, treatment of children

1 under 14 was stopped due to reports of premature
2 growth plate closure. The following months,
3 treatment of all other subjects was paused based on
4 an interim analysis. Many of these subjects later
5 restarted treatment after a pause of several
6 months. The efficacy data have been summarized for
7 three treatment periods. The pre-pause period
8 represents data on treatment that were submitted in
9 the original NDA. The subsequent interruption
10 period represents a time interval that was mostly,
11 although not all, off treatment, and the post-pause
12 period represents a subgroup of subjects who
13 restart a treatment and had data on new HO
14 following the restart.

15 The 202C subjects had a mean age of
16 21 years, which is older than the subjects in the
17 other studies, NHS and 301, and they had higher
18 levels of whole-body HO at baseline, probably
19 related to the age difference. In the pre-pause
20 treatment period, the Study 202C subjects developed
21 new HO at an average rate of about 19 cubic
22 centimeters per year. In the off-treatment

1 interruption phase, mean new HO rose to about
2 26.8 cubic centimeters per year. Most of these
3 subjects subsequently restarted treatment, and
4 during this phase, the average rate of new HO was
5 much lower, 6.4 cubic centimeters per a year.

6 Among these, there were 9 subjects in 202C
7 who had data in all three phases. This group
8 showed a pattern similar to the overall study, with
9 the rate of new HO increasing from the pre-pause to
10 the treatment interruption period, and then a
11 decline following the restarting of treatment.

12 The phase 3 study, 301, was a single-arm
13 study of patients with FOP who were at least
14 4 years old and without a recent flare-up within
15 4 weeks. All subjects received the same chronic
16 plus flare-up regimen used in 202C. A total of
17 107 subjects enrolled and external control is
18 provided by untreated subjects in the NHS. There
19 were 39 subjects who participated in both studies.

20 [Inaudible - audio gap.]

21 DR. LOW WANG: We can't hear right now.

22 CDR BONNER: Correct. We will have a

1 temporary break until we address the technical
2 issues in the Great Room. Thank you. This is
3 LaToya Bonner.

4 (Pause.)

5 DR. LOW WANG: I can hear you now.

6 DR. VOSS: Okay. We're back.

7 NHS subjects tended to have more advanced
8 disease at baseline, including a higher --

9 DR. LOW WANG: Apologies for interjecting.
10 I don't see the slides. I don't know if those are
11 being projected.

12 (Pause.)

13 DR. LOW WANG: Okay. I can see the slides
14 now.

15 DR. VOSS: Okay.

16 NHS subjects tended to have more advanced
17 disease at baseline compared to 301, including a
18 higher mean volume of whole-body HO and slightly
19 higher mean scores on other disease parameters such
20 as the CAJIS index. This is consistent with the
21 somewhat older age of the NHS subjects. In the
22 pre-pause period of Study 301, annual new HO volume

1 averaged 9.4 cubic centimeters per year.

2 [Inaudible - audio gap].

3 DR. LOW WANG: Again, we've lost sound.

4 (Pause.)

5 CDR BONNER: LaToya Bonner, DFO. We will
6 take a brief break to address the technical issues.

7 Thank you.

8 (Pause.)

9 DR. VOSS: I apologize for our technical
10 issues. If everyone can see this slide, this is
11 study -- can we go forward on the slides, about
12 three or four more slides?

13 (Pause.)

14 DR. VOSS: Alright. Let's try to resume
15 here.

16 The primary efficacy analysis of the phase 3
17 study was based on whole-body HO volume measured by
18 CT scan, which was conducted every 6 months in
19 Study 301 and every 12 months in the NHS. Scans
20 were read using the same method as in Study 202C
21 based on 9 body regions, and the scans from 301 and
22 the NHS were combined at random for blinded

1 readings by two independent reviewers.

2 These are some baseline data on NHS and
3 301 subjects. Demographically, these two groups
4 were similar, except that NHS subjects were, on
5 average, about 2-and-a-half-years older at the time
6 of enrollment. NHS subjects tended to have more
7 advanced disease at baseline, including a higher
8 mean volume of whole-body HO and slightly higher
9 mean scores on other disease parameters such as the
10 CAJIS index. This is consistent with the somewhat
11 older age of the NHS subjects.

12 In the pre-pause period of Study 201, annual
13 new HO volume averaged 9.4 cubic centimeters per
14 year, which was lower than the rate in Study 202C.
15 Similar to 202C, average new HO volume was higher
16 during the interruption of dosing and lower again
17 after treatment was restarted in the post-pause
18 period.

19 This table represents the 17 subjects in
20 Study 301 who had HO data in all three phases,
21 showing the pattern of relatively low new HO volume
22 on treatment before the pause, then an increase off

1 treatment, then low again after restart. There
2 were 16 subjects in Study 301 who never restarted
3 treatment after the pause but did have follow-up
4 scans. This group had very low rates of new HO
5 during treatment and higher rates after stopping.

6 That's my last slide. My biostatistical
7 colleague, Dr. Alex Cambon, will now present
8 in-depth analyses of the Study 301 efficacy data.

9 **FDA Presentation - Alexander Cambon**

10 DR. CAMBON: First, I will briefly discuss
11 the study design and endpoints and then go over the
12 prespecified analyses and results. Thereafter, I
13 will focus on key efficacy review issues.

14 Study 301 was a single-arm study evaluating
15 palovarotene for decreasing heterotopic,
16 ossification accumulation in adult and pediatric
17 subjects with FOP as assessed by low-dose,
18 whole-body computed tomography or WBCT. The study
19 was designed to compare with the NHS as an external
20 control with similar enrollment criteria; however,
21 the WBCT was assessed every 6 months in Study 301
22 and every 12 months in NHS. We will discuss

1 further the impact of this difference on analysis
2 results.

3 There were 39 subjects who transitioned from
4 the NHS study to Study 301. These subjects
5 contributed efficacy data for both studies.
6 Although it was an open-label study, the image
7 readers were blinded to the source of the image.
8 The images from the two studies were interspersed
9 during the blinded reading process.

10 The protocol-defined primary endpoint was
11 annualized change in new HO volume. We will also
12 refer to it as annualized new HO in the
13 presentation. The key secondary endpoint was the
14 proportion of subjects with any new HO at month 12.
15 The prespecified primary analysis for the primary
16 endpoint was based on a Bayesian compound Poisson
17 model. Under this model, the change in HO since
18 previous WBCT scan was modeled as a compound
19 distribution of the number of body regions with new
20 HO and the new HO volume per region where a new HO
21 occurred.

22 The method does not calculate the annualized

1 change in new HO for each subject directly; rather,
2 it estimates the mean annualized new HO by
3 multiplication of mean annual number of events and
4 the mean growth per event, where the occurrence of
5 a positive new HO is an event. The treatment
6 effect was expressed as a ratio of the annualized
7 new HO between treated and untreated subjects,
8 which is estimated by the ratio of annual event
9 rates multiplied by the ratio of the growth rates
10 per event.

11 With the intent to reduce variability and
12 increase study power, the prespecified analysis
13 included a square-root transformation and change in
14 HO since the previous scan by region for
15 calculation of mean growth of new HO greater than
16 zero; however, this turned out to be one of the key
17 review issues. The study failed to show efficacy
18 of palovarotene based on the prespecified primary
19 analysis, with posterior probability of reduction
20 in the annualized rate of HO equal to 0.65;
21 however, additional post hoc analysis appeared to
22 show evidence of benefit on the primary endpoint,

1 which I'll elaborate on later.

2 I will now focus on the key efficacy review
3 issues, which is the appropriateness of reliance on
4 post hoc analyses and the use of an external
5 control to support effectiveness. I will now
6 discuss issue 1, the appropriateness of reliance on
7 post hoc analyses.

8 Post hoc analyses are generally considered
9 hypothesis generating because it could inflate the
10 chance of a false positive finding and raise
11 concerns of potential bias caused by selection of
12 analyses for the intended favorable outcome;
13 however, in this particular context, FDA
14 acknowledges that the prespecified primary analysis
15 may not have been the appropriate method of
16 analyzing the primary endpoint for reasons outlined
17 in subsequent slides.

18 Acknowledging the limitations of the
19 prespecified analyses, we think it is reasonable to
20 consider alternative more appropriate analyses for
21 assessing evidence of efficacy. We will discuss
22 such analyses later. Before that, I would like to

1 briefly talk about the limitations of the
2 prespecified analysis.

3 The applicant reported that the prespecified
4 Bayesian analysis has a bias against palovarotene
5 due to the more frequent assessments and the
6 application of a square-root transformation on each
7 incremental change of HO between CT scans. We
8 acknowledge this limitation. The rate of growth in
9 HO volume was estimated based on the square-root
10 transformation of each incremental change in HO by
11 reason between scans.

12 Since WBCT scans were not performed at the
13 same time interval in the two studies -- they were
14 scheduled every 6 months in Study 301 and every
15 12 months in NHS -- the prespecified estimation
16 approach involves comparing the sum of the square
17 root of each incremental change to the square root
18 of the sum of each change. This might have
19 contributed to the failure of the primary analysis.

20 Here we demonstrate how more frequent
21 assessments could lead to a bias against
22 palovarotene under the prespecified approach with a

1 square-root transformation. We show that
2 2 subjects with identical change in HO at one year
3 could have different estimated annual new HO in the
4 two studies. Suppose a new ratio of volume 200
5 occurs in a body region before month 6?, and then
6 200 more HO volume occurs from month 6 to month 12?
7 The annual new HO will be 400; however, with a
8 prespecified statistical model for Study 301, it
9 would be counted as two events with growth rate of
10 square root of 200 per event under the square-root
11 transformation. For NHS, it will be counted as one
12 event with growth rate of square root of 400.
13 Therefore, the estimated annual HO will be 2 times
14 the square root of 200, or 28.3, in Study 301, and
15 the square root of 400, or 20, in NHS,
16 respectively. This example illustrates that the
17 prespecified approach may lead to a non-sensible
18 difference in the estimated annualized new HO and a
19 bias against more frequent assessment.

20 The applicant's post hoc Bayesian analysis
21 without square-root transformation produced results
22 that supported the efficacy with a high posterior

1 probability of reduction greater than 0.99 in
2 annualized new HO in treated subjects compared with
3 untreated subjects. Adjusting for additional
4 covariance yielded similar results. The applicant
5 also conducted a Bayesian analysis with the
6 square-root transformation but adjusted for the
7 visit schedule; that is, Study 301 visit schedule
8 collapsed into a single 12-month WBCT to match the
9 timing of the first NHS post-baseline WBCT. The
10 results were also in favor of palovarotene with the
11 posterior probability reduction rate of HO greater
12 than 0.9.

13 We note that the inference for the Bayesian
14 model could be affected by different specifications
15 of prior distributions of the multiple parameters
16 in the model, and the results could differ if
17 influential priors are specified. Additionally,
18 the model targeted only positive changes in HO and
19 set negative changes to zero. FDA post hoc
20 analyses, which we will show later, will focus on
21 Frequentist methods analyzing the annualized new HO
22 with minimal assumptions.

1 The applicant's weighted linear mixed
2 effects model, or wLME, without square-root
3 transformation and with a baseline annualized new
4 HO as the only covariant, achieved nominal
5 significance. We have replicated the results. In
6 this analysis, the annualized new HO for each
7 subject was calculated using the change in total HO
8 volume from baseline associated with the longest
9 follow-up, divided by the length of follow-up. The
10 mean difference in annualized new HO between
11 Study 301 subjects and NHS subjects was about
12 11 cubic centimeters per year.

13 The length of follow-up varied substantially
14 among subjects and between studies. The wLME model
15 accounted for the various followed-up lengths using
16 a weighted approach. We note that the estimated
17 treatment effect based on various lengths of
18 follow-up could be difficult to interpret without
19 the strong assumption of a constant rate of change
20 in HO.

21 As most subjects had HO assessments on
22 month 12 before the treatment pause, we conducted a

1 landmark analysis comparing the change in HO volume
2 between Study 301 and NHS subjects during a
3 12-month observation period. This approach does
4 not rely on assumptions on the rate of change.
5 However, some subjects did not have changes in HO
6 through a 12-month period, including 4 subjects in
7 Study 301 and 11 subjects in NHS. Depending on how
8 substances without 12-month HO change could be
9 handled, there are different ways for performing
10 the landmark analysis.

11 We performed the landmark analyses using
12 different methods for subjects who did not have
13 12-month data to investigate the consistency of
14 findings. For all these analyses, a robust
15 sandwich estimator is used to account for
16 heteroscedasticity and variance. Results from
17 these landmark analyses, with different ways of
18 handling subject without 12-month data and
19 including different covariates, are consistently in
20 favor of palovarotene.

21 The prespecified Bayesian model
22 incorporating square-root transformation appears to

1 have a bias against palovarotene due to the more
2 frequent assessments in Study 301. We conducted
3 more appropriate analysis to compare the annualized
4 new HO between treated subjects in Study 301 and
5 untreated subjects in NHS. These post hoc analyses
6 seemed to support that the annualized new HO of
7 treated subjects was lower than that of NHS
8 subjects. These analyses used regression
9 adjustments to account for baseline differences
10 between subjects in the two studies. Additional
11 analysis methods based on matching and waiting to
12 account for the use of an external control will be
13 discussed in subsequent slides.

14 Now we turn to the second issue, the use of
15 an external control to support effectiveness.
16 Despite the limitations associated with using an
17 external control rather than a randomized
18 concurrent control, FDA recognizes that support for
19 effectiveness can emerge using an
20 externally-controlled trial when the following are
21 met, as outlined in the FDA guidance on subsequent
22 evidence of effectiveness: one, the natural

1 history of the study is well defined; two, the
2 external control population is very similar to that
3 of the treatment group; three, concomitant
4 treatments that affect the primary endpoint are not
5 substantially different between the external
6 control and the trial population; and four, the
7 results provide compelling evidence of a change in
8 the estimated progression of disease.

9 The concomitant treatments in this
10 population are not expected to affect the outcome
11 of interest. The NHS study is the first study
12 performed to characterize the disease progression
13 and outcome. We will focus on whether the
14 difference between the study population at NHS and
15 301 impacted the conclusion regarding efficacy, and
16 whether the results are compelling enough to
17 overcome the general concerns of potential
18 confounding factors.

19 The enrollment criteria for the NHS and
20 Study 301 were generally similar. The table
21 presents baseline data for three cohorts: the
22 39 transition subjects who contributed to efficacy

1 data for both NHS and Study 301; 62 NHS subjects
2 who did not enroll in Study 301; and 58 subjects in
3 Study 301 who had not participated in the NHS. As
4 shown, NHS subjects who remained in the NHS were
5 older, with a mean age of 20.5 years, than subjects
6 who transitioned to Study 301, with a mean age of
7 13.5 years, and other Study 301 subjects, with a
8 mean of 14.6 years.

9 Consistent with older age, the NHS-only
10 subjects tended to have more advanced disease with
11 generally higher whole-body HO volume, a greater
12 number of body regions with HO and higher scores on
13 CAJIS, indicating a more severely impaired range of
14 motion and physical function. Transition subjects
15 reported a shorter time since last flare-up.

16 We consider the propensity score-based
17 weighting and matching methods to reduce the
18 effects of baseline confounding. They are the
19 commonly used methods for analyzing non-randomized,
20 studies. When the propensity score model is
21 correctly specified and there is no other
22 unmeasured confounding, the propensity score-based

1 methods could effectively reduce or eliminate the
2 effects of measured confounding. The propensity
3 score-based weighting uses the inverse probability
4 of receiving treatment or control to create
5 weighted samples that are comparable in terms of
6 baseline covariates.

7 The purpose of propensity score matching is
8 to match treated subjects with untreated subjects
9 who share a similar value of the propensity score.
10 Subjects with similar propensity scores are
11 expected to have similar distributions and baseline
12 covariates. We consider the following covariates
13 based on clinical relevance and importance for this
14 indication: baseline age; sex; baseline total HO
15 volume divided by age; CAJIS; and time since last
16 flare-up.

17 The FDA review team performed 12-month
18 landmark analyses, including all subjects after
19 propensity score-based weighting and matching
20 Results from these analyses are consistent. The
21 average difference in annualized new HO between
22 subjects in Study 301 and NHS was about 14 cubic

1 centimeters per year after weighting. The
2 covariate 3 presented in the table included all the
3 selected covariates in the propensity score model,
4 whereas covariates 2 and 1 excluded some covariates
5 with missing data.

6 In the propensity score matching analysis,
7 treated subjects were exactly matched on sex and
8 age group. They were further matched with NHS
9 subjects in a 1-to-1 ratio by the propensity score
10 using the nearest neighbor approach. There were
11 61 matched pairs. The mean difference in
12 annualized new HO among the matched subjects was
13 about 16 cubic centimeters per year. The
14 application of a propensity score-based matching
15 method needs to consider a bias-variance tradeoff
16 and whether the matched subset is still
17 representative of the target population. When
18 tighter matching on more covariates is requested,
19 the number of matched subjects could be much
20 smaller.

21 Balanced assessments showed the covariates
22 were well balanced after weighting and matching.

1 This table represents the means and standardized
2 mean difference between Study 301 and NHS after
3 propensity score-based weighting for the average
4 treatment effect. The standardized mean
5 difference, which is calculated as the difference
6 in means divided by the average standard deviation,
7 is the most used measure for assessing balance.
8 All the standardized mean differences are small,
9 indicating the weighted subjects are comparable in
10 these baseline covariates. We note that validity
11 of conclusion on treatment effects from propensity
12 score-based analyses relies on the unverifiable
13 assumption that there are no known or unmeasured
14 confounding factors that could impact the results.

15 The applicant performed analyses limited to
16 subjects who transitioned from NHS to Study 301.
17 One of the applicant's analysis compared the
18 observations associated with the longest follow-up
19 between studies. The other analysis compared the
20 last 12-month change of HO in NHS with the first
21 12-month change in Study 301. Results from the two
22 analyses favored palovarotene, and we were able to

1 confirm the results.

2 These within-subject comparisons
3 complemented the between-subject comparisons by
4 using subjects' own observations in NHS as a
5 control, which may potentially have reduced
6 unmeasured confounding. Interpretation of results
7 from these analyses should consider to what extent
8 the disease is expected to progress similarly as
9 what was observed in NHS after these subjects
10 transitioned to Study 301; however, the impact of
11 disease progression when enrolled in Study 301
12 could not be fully assessed without a concurrent
13 control.

14 Various methods that are commonly used for
15 causal inference provided consistent results with
16 the applicant's post-wLME method. It appears that
17 a difference in annualized new HO between treated
18 and untreated subjects was not driven by any
19 systematic difference in baseline covariates that
20 are expected to be clinically important; however,
21 there is a residual uncertainty on the impact of
22 unknown confounders on the study conclusion.

1 In summary, we acknowledge that there is a
2 bias of the prespecified analyses for Study 301,
3 and the bias had a great impact on the study
4 conclusion. It seems reasonable to analyze the
5 data using the more appropriate methods to better
6 assess evidence of efficacy in this setting.
7 Nevertheless, general concerns of potential bias
8 caused by selection of analysis methods toward the
9 intended favorable outcome remain legitimate.

10 Evidence based on external controls is most
11 convincing when the treated and untreated
12 populations are sufficiently similar and the
13 results are compelling. Consistent results from
14 various post hoc analyses, based on methods for
15 causal inference, indicate that the difference in
16 the primary outcome between treated and untreated
17 subjects was unlikely due to any systematic
18 difference in measured confounding factors; and as
19 previously shown in Dr. Voss' presentation, the
20 findings from the within-subject comparisons for
21 subjects who restarted treatment after dose
22 interruption, along with findings from subjects who

1 transferred to Study 301, may complement the
2 between-subject comparisons and seem supportive of
3 a drug effect. However, it remains unclear how
4 much unknown or unmeasured confounding factors have
5 contributed to the findings, given the lack of
6 randomization, and whether the results are
7 compelling enough to conclude a treatment effect,
8 considering the specific context of this ultra-rare
9 disease. This concludes the statistical
10 presentation.

11 **FDA Presentation - Stephen Voss**

12 DR. VOSS: I hope everyone can hear me. I'm
13 going to present an overview of some key safety
14 issues for this application.

15 There are many known safety issues
16 associated with retinoids. Most of those listed
17 here are labeled as warnings and precautions for
18 various approved retinoid medications. Several of
19 these were observed in the palovarotene studies,
20 and the safety profile appears to be characteristic
21 of a retinoid.

22 Flare-ups were evaluated in the studies as a

1 possible issue related to efficacy and safety. It
2 was anticipated that chronic dosing of palovarotene
3 may block the initiation of some flare-up episodes;
4 therefore, flare-ups were designated as an efficacy
5 endpoint in Study 301. There was also some concern
6 for safety because of the known association of
7 retinoids with musculoskeletal adverse events,
8 including myositis and hyperostosis.

9 This slide shows data from the phase 2
10 study, 201. Under this protocol, an index flare-up
11 was treated for 6 weeks, followed by 6 weeks of
12 observation. Any possible new flare-up at a
13 different site during this 12-week period were not
14 treated as new flare-ups but were recorded as
15 adverse events of condition aggravated.

16 Among the three treatment groups, there were
17 more subjects receiving the higher dose of
18 palovarotene 10/5-milligram regimen who reported
19 these new events, 62 percent; however, this group
20 of subjects also reported at baseline having
21 experienced more flare-ups during the year prior to
22 enrollment.

1 This is similar data across all the phase 2
2 studies and natural history study in which subjects
3 were treated or observed during the course of an
4 acute flare-up. In the 12-week follow-up period,
5 the incidence of a new flare-up or
6 condition-aggravated event was 23 percent in
7 flare-ups that were untreated in the NHS and
8 slightly higher in events treated with higher doses
9 of palovarotene, 36 to 37 percent; however, some of
10 this difference may be related to differences in
11 reporting of flare-up events in different studies,
12 which I'll discuss in the next couple of slides.

13 In Study 301, the proportion of subjects
14 with at least one reported flare-up event was
15 slightly higher than in untreated NHS subjects,
16 67 percent versus 56 percent. The number of
17 flare-ups per subject was also higher, so the rate
18 of flare-ups per month in Study 301 was about twice
19 the rate in NHS subjects, about 0.15 versus 0.07
20 new events per month. Among the 39 subjects who
21 participated in both studies, the data were
22 similar.

1 An important caveat to this finding is that
2 flare-ups appear to have been underreported in the
3 NHS. Compared to Study 301, NHS subjects were not
4 followed as closely by investigators, and worsening
5 of flare-ups symptoms was not systematically
6 recorded as a new event as it was in Study 301.
7 The recorded rate of 0.07 flare-ups per month in
8 the NHS is lower than in a published survey in
9 which untreated patients reported an average of
10 about 2 flare-ups per year, which is about 0.16 per
11 month.

12 In addition, data from the 39 subjects who
13 crossed over from the NHS to Study 301 showed a low
14 rate of flare-ups recorded prospectively during the
15 last 12 months in the NHS, about 0.6 events per
16 month. But when these same subjects transferred
17 into Study 301, their retrospective recall of
18 flare-ups during NHS was higher, 1.1 events per
19 month for the same 12-month period.

20 Within Study 301, the rate of reported
21 flare-ups was higher when subjects were receiving
22 the higher doses to treat an initial or index

1 flare-up. Most of these new events occurred at a
2 different location from the initial flare-up, while
3 others represented a worsening of symptoms at the
4 original location. By protocol, all these events
5 were treated as new flare-ups that restarted the
6 12-week treatment sequence at 20 milligrams. Thus,
7 many treatment cycles extended well beyond 12 weeks
8 and included multiple flare-ups. The rate of
9 flare-ups initiated during chronic dosing with
10 5 milligrams was relatively low, about 0.12 per
11 month. During flare-up dosing with 20 or
12 10 milligrams, the rate was higher, about 0.33 new
13 flare-ups per month.

14 We're not certain why flare-ups were
15 reported more frequently during palovarotene
16 treatment, particularly during the higher dose
17 treatment following an initial flare-up.
18 Anecdotally, some patients with FOP are reported to
19 experience clusters of flare-ups at different sites
20 in a short time. In some cases, this may be
21 related to a rebound in symptoms following the
22 withdrawal of prednisone that was used to treat the

1 acute symptoms.

2 Potentially, retinoids could cause myositis,
3 resulting in a flare-up, or could cause adverse
4 effects such as myalgia or arthralgia that could be
5 misinterpreted as symptoms of a new flare-up. It's
6 not clear to us from the data whether such
7 mechanisms may have played a significant role or,
8 if so, whether they would be affected by the dose
9 level.

10 Also uncertain is whether the increase in
11 reported flare-ups has any clinical significance in
12 regard to disease progression. In both Study 301
13 and NHS, subjects who reported at least one
14 flare-up develops, on average, much larger
15 quantities of new HO compared to subjects who
16 reported no flare-ups; however, the data were
17 highly variable. Overall, there was a moderate
18 association between the rate of flare-ups and the
19 rate of new HO, and a somewhat weaker correlation
20 between the extent of flare-up dosing with the rate
21 of new HO.

22 Teratogenicity is a major safety issue for

1 palovarotene given the association of multiple
2 retinoids with fetal malformations and other
3 adverse pregnancy outcomes. In patients with FOP,
4 the disease itself creates risks to mother and
5 fetus as indicated in current FOP guidelines. It
6 is expected, then, for palovarotene, the risk could
7 be managed through education and enhanced labeling,
8 including a contraindication for pregnancy and
9 boxed warning similar to other retinoids.

10 Premature epiphyseal closure, or PPC, or
11 closure of the growth plate, was anticipated to be
12 a possible safety issue based on prior literature
13 reports involving children treated with systemic
14 retinoids usually at high doses for prolonged
15 periods. The palovarotene studies included bone
16 safety monitoring in pediatric subjects with open
17 growth plates. This included periodic X-rays of
18 the knee and hand and wrist every 6 months,
19 measures of standing height and knee height, and
20 measurement of femur and tibia lengths by CT.

21 In the phase 2 and 3 studies, PPC incidence
22 was unexpectedly high and appeared to be highest in

1 the youngest participants, girls under age 8 or
2 boys under age 10. In this group, 14 out of
3 25 subjects, or over half, were affected. In the
4 age 8 or 10, up to 14 year-old, group, 13 out of
5 39, or one-third, were affected. There were no
6 subjects at age 14 or older reported to have PPC.

7 Almost all the cases were first apparent on
8 X-rays of the knee. Hand and wrist X-rays
9 generally did not show large increases in bone age
10 in the subjects who were developing PPC. No risk
11 factors other than age could be identified, and
12 levels of palovarotene exposure were not a
13 consistent predictor of risk. Several of the
14 affected children had not experienced any
15 flare-ups, and therefore received only the lower
16 chronic levels of dosing.

17 In the natural history study and Study 301,
18 mean height Z-score was in normal range at
19 baseline. At month 12, there were moderate
20 declines from baseline Z-score, which were slightly
21 greater in the palovarotene-treated subjects, and
22 there were trends of slower growth in the children

1 who were developing PPC. Other growth parameters,
2 including knee height and femur and tibia lengths,
3 showed similar trends.

4 This slide lists two PPC-related potential
5 concerns in addition to reduced height. Leg length
6 discrepancies could, in theory, develop if there's
7 asymmetric growth, especially between the growth
8 plates of the right and left knee. This was
9 assessed in the studies using femur and tibia
10 length measurements on each side, and there was no
11 evidence of any differences developing over time.
12 Joint angulation deformities is another potential
13 concern. This also did not appear to develop in
14 any study participants, according to X-rays;
15 however, the duration of treatment and follow-up in
16 these studies was generally limited, so possible
17 long-term effects cannot be ruled out.

18 When the PPC risk became clear, the partial
19 clinical hold was put into effect for palovarotene
20 treatment in children under 14. The main rationale
21 for this particular cutoff was that it includes
22 most children who have not yet reached at least

1 90 percent of adult height. This cutoff also
2 includes most of the children in the palovarotene
3 studies who had not reached at least 90 percent
4 skeletal maturity, which was defined as a bone age
5 of 12 years for girls or 14 for boys. Children
6 with bone ages below these cutoffs received reduced
7 doses based on weight, and nearly all of the PPC
8 cases occurred despite the reduced doses.

9 The proposed target population of age 8 and
10 above for girls or 10 and above for boys is
11 estimated to correspond to about 80 percent of
12 adult height on average. Below age 8 or 10, more
13 than half the children developed PPC, and the risk
14 is considered high. Above the 8- or 10-year cutoff
15 up to 14 years, the risk related to PPC and growth
16 appears to be somewhat lower than the younger
17 children, though still a substantial risk, and that
18 concludes FDA's presentation. Thank you.

19 **Clarifying Questions for FDA**

20 DR. LOW WANG: Thank you, Drs. Voss and
21 Cambon for your flexibility with the technical
22 issues, and of course for your presentations. I

1 also wanted to thank the committee members for your
2 patience and understanding. We're a little bit
3 behind schedule, but we do have ample time for
4 questions, so let's plan to end our question-and-
5 answer session by 1:15.

6 So we'll now proceed to clarifying questions
7 for the FDA presenters. Just a reminder to please
8 use the raise-hand icon to indicate that you have a
9 question, and remember to lower your hand by
10 clicking the raise-hand icon again after you've
11 asked your question. When acknowledged, please
12 remember to state your name for the record before
13 you speak and direct your question to a specific
14 presenter, if you can. If you wish for a specific
15 slide to be displayed, please let us know the slide
16 number, if possible.

17 Finally, it would be helpful to acknowledge
18 the end of your question with a thank you and end
19 of your follow-up question with, "That is all for
20 my questions," so we can move on to the next panel
21 member.

22 We'll invite Dr. Applegate to start us off.

1 DR. APPLGATE: Thank you, and I hope I'm
2 not too diffused because I'm an imager, not an
3 endocrinologist, and thank you for all the
4 wonderful presentations. I wanted to ask about a
5 question that was brought up in the prior session,
6 but is, I think, still applicable that might
7 explain some of the negative percent; like
8 5 percent of the subjects had decreased volumes on
9 the drug treatment by CT measurements.

10 Is it possible that with better CT
11 equipment, or with, I don't know, auto
12 segmentation, or with different ways in which the
13 bone volume is measured, could that explain why
14 there's decreased volume? It doesn't make a lot of
15 sense to me. That's my first part question.

16 My second part question is, have people
17 looked at using MRI to detect cartilage before it
18 becomes bone, and could they consider MR to detect
19 PPCs earlier, and also for follow-up of
20 complications, if that's a concern? That's my
21 first question. Thank you.

22 DR. KEHOE: Thank you, Dr. Applegate. This

1 is Theresa Kehoe. I'm going to try to repeat
2 because some of our sound in the Great Room is a
3 little decreased.

4 So you are asking specifically about the
5 negative HO that was seen on the CT scans.

6 DR. APPLGATE: That were trended down. I
7 can't make sense.

8 DR. KEHOE: Yes. We've thought a lot about
9 that, about whether or not it simply is almost like
10 a callous formation, is if you get bone that is
11 formed, and then it consolidates as it's there
12 longer and remodels. If that is some of what we
13 are seeing on --

14 DR. APPLGATE: Like myositis ossificans,
15 yes.

16 DR. KEHOE: Okay. That has been some of our
17 postulation of what we are seeing with the negative
18 HO.

19 Then your second question was --

20 DR. APPLGATE: Another hypothesis was, is
21 there different equipment involved over time that's
22 better, and has that been consistent in the

1 research study as another hypothesis? But I think
2 your first idea is probably the better one.

3 Then the other comment is to try to maybe
4 use MR for following this disease in particular
5 because it can pick up earlier cartilage and
6 follow-up PPC, or find PPC and follow it.

7 DR. KEHOE: Right. We would agree that
8 following the growth plates radiographically in
9 these children that are put on palovarotene would
10 need to be very closely followed, and that would be
11 something we would consider for labeling.

12 DR. APPLGATE: Then may I ask one other
13 question? It may be back to the Ipsen people, but
14 it was in table 9 and figures 34 and 35. It
15 suggested that -- and this is in the primary data,
16 not on the slides; the information submitted.

17 Dr. Wang, I don't know if you will allow me
18 to ask it now.

19 DR. LOW WANG: Actually, why don't we wait
20 on that question for after the OPH.

21 DR. APPLGATE: Okay. Thank you.

22 DR. LOW WANG: I did want to follow up on

1 the question about the negative HO volume. I
2 wasn't sure; and this was mentioned in the briefing
3 documents as well. Was the magnitude of the
4 negative changes larger than the measurement error
5 of HO using whole-body CTs? So just making sure
6 that those changes were, quote, "real."

7 DR. KEHOE: Yes. I don't know. I would ask
8 our colleagues from Ipsen if they know the
9 measurement error from the CT, and whether those
10 changes are within that error.

11 MR. SANSONE: I'm going to have Dr. Marino
12 address that question from Ipsen.

13 Dr. Marino?

14 DR. MARINO: Based on the inter- and
15 intra-reader variability assessments that we looked
16 at, the variability is about 10,000 cubic
17 millimeters. So some of what we're seeing was
18 outside of that range. I think the waterfall plots
19 could probably show individually where those ranges
20 fell. So some were within and some were outside of
21 that.

22 DR. LOW WANG: Alright. Thank you.

1 Our next question is from Dr. Yanovski.

2 DR YANOVSKI: Thanks. This is Jack
3 Yanovski. I have two questions. The first is an
4 analytic one -- and I should have probably asked it
5 as well to Ipsen -- which is that I noticed that
6 the standardization for HO is always to divide by
7 age, but at least in some of the analyses that FDA
8 presented, HO divided by age was in the same
9 analysis as using age as a covariate. Isn't that
10 overcontrolling? That is the first question. But
11 more generally, is it actually the right way to
12 control for the age HO relationship, to assume that
13 it's fully linear? So shouldn't this have been
14 modeled in a different fashion, in general?

15 DR. KEHOE: My colleague, Dr. Li, will
16 answer, but my understanding was that divided by
17 age was only done at the baseline HO --

18 DR. YANOVSKI: Yes, but still, that's
19 assuming a linear relationship.

20 DR. KEHOE: Right. So Dr. Li will answer.

21 DR. LI: Hello. This is Feng Li. I'm the
22 statistical team leader. For your question, we

1 examined the impact of different covariates. We
2 included a total baseline HO as a covariate instead
3 of the age standardized HO, and the results are
4 pretty similar. Also, with matching and the
5 propensity score weighting analysis, the purpose is
6 to reduce the effect of the assumed model on the
7 results. With the matched analysis, we are less
8 concerned about whether the outcome model is not
9 linear or non-linear, so that will give you a
10 robust conclusion.

11 DR. YANOVSKI: Thank you.

12 My second, again, probably may not be
13 something that FDA has examined, but again, I'm
14 concerned about the timing in which the drug is
15 being proposed to be initiated. What's magical
16 about 8 in girls and 10 in boys as opposed to 10 in
17 girls and 12 in boys? Do we have any analyses that
18 really suggest that it's essential or different to
19 start at that age as opposed to a couple of years
20 later, when, presumably, the number of individuals
21 at risk for early epiphyseal closure and perhaps
22 other complications might be diminished?

1 So it's really a moving target question. At
2 what age do we get to a point where the
3 pre-existing HO is so severe, and how many people,
4 really, and what percentage of the people have
5 reached a point of severity of HO, where we think
6 the game is already a problem -- or I shouldn't
7 call it the game -- or the disease is already such
8 a problem that we wouldn't want to delay treatment?

9 A related question is how many -- what
10 percentage of the people in that 8 years, 9 years,
11 10, all the way through the age when epiphyseal
12 fusion and other complications might not be a
13 problem -- of those actually have none or very
14 little gain in HO during the study period?

15 DR. KEHOE: Let me ask Dr. Voss. I think
16 there is some, perhaps, arbitrariness to the ages
17 that are being used. Mainly, we believe we would
18 like to have a product that you could use for the
19 youngest and to prevent HO from developing. I
20 think Dr. Voss discussed why we chose age 14 when
21 we initiated the partial clinical hold, and the
22 sponsor has come back and justified why they think

1 the lower ages perhaps would be better, given the
2 risk-benefit. I don't know that we have
3 specifically done analyses on the younger
4 populations. Perhaps our Ipsen colleagues have
5 that information.

6 MR. SANSONE: I'd actually like to offer
7 some additional perspective from one of our
8 clinical experts. I'm going to call up Dr. Brown
9 to start.

10 DR. BROWN: So as a clinician, I feel the
11 age cutoffs are fairly arbitrary. I'd agree with
12 you; however, the key thing is that in some
13 patients, they are experiencing very rapid
14 progression of disease in quite young age groups,
15 and there the wish for treatment and the need for
16 treatment is much greater than in other patients,
17 where you do actually experience fairly mild
18 disease early on, and then it catches up later on.

19 So I think the age at which you start
20 treatment is very much something that's a
21 discussion between clinicians and the individual
22 patients and families to decide about, for example,

1 what the flare rate is, how fast the disease is
2 accelerating, and whether there's any evidence of
3 toxicity like premature physcal closure in the
4 patients that have already been started on the
5 agent. So I think it's something that actually
6 should be handed back to the patients and to their
7 families to be making the decision about.

8 DR. YANOVSKI: Is that the end of the Ipsen
9 comment, or is there more?

10 MR. SANSONE: That's all. Thank you.

11 DR. YANOVSKI: Thanks.

12 I guess as a related issue, the chosen
13 primary outcome, which of course the FDA accepted
14 to be the CT measure, is not something that would
15 be routinely available in clinical practice or
16 likely to be entertained. So the question will
17 fall back on, for that kind of analysis where we
18 throw it back to the patient to select, what would
19 be the criteria that would be applied? And if
20 there's something else that's going to be used,
21 then that should have been studied. Maybe that's
22 more of a comment and less than a question for the

1 FDA, but thank you. That is all I have, but thank
2 you very much for the answers.

3 DR. LOW WANG: Dr. Kehoe, did you want to
4 comment on that or should we move on to the next?

5 DR. KEHOE: Well, I think we are all
6 learning through this drug development program
7 about FOP and the modalities available. We know
8 that X-ray, DEXA, MRI, all of those were evaluated
9 during this development program to try to look for
10 endpoints that may be beneficial. How that will
11 translate into the caregiver setting, I think we
12 will continue to evaluate and struggle with.

13 What we know is that right now X-ray used
14 currently is not helpful. That was what was known
15 prior to this development program, but it is not
16 sensitive enough when looking for the endpoints
17 that we needed. So how to translate it back into
18 the clinic I think is something that we will
19 continue to have to discuss.

20 DR. LOW WANG: Thank you.

21 Let's move on to Ms. Robotti.

22 MS. ROBOTTI: Hi. Thank you. I wanted to

1 confirm something first.

2 DR. LOW WANG: Excuse me. Please state your
3 name before you --

4 MS. ROBOTTI: Of course. Thank you. This
5 is Suzanne Robotti. I wanted to confirm that the
6 drug is supposed to be used daily throughout the
7 patient's life, and also that the study average
8 time on drug was about 30 months. Did I get that
9 right? Anyone? Sorry.

10 DR. KEHOE: Yes.

11 MS. ROBOTTI: Okay.

12 DR. KEHOE: The drug is given daily in the
13 5-milligram dose, and then if there are symptoms or
14 flare-up, the dose is increased.

15 Is that what you're asking?

16 MS. ROBOTTI: Yes. The intention is if the
17 patient doesn't take it in 4-week or 8-week
18 flights, as happened in the trial, of course, it
19 would be every day, daily, for the rest of their
20 life, presumably.

21 DR. KEHOE: Correct, at the lower
22 5-milligram dose, yes.

1 MS. ROBOTTI: Of course. Thank you.

2 Secondly, the flare-up is at the higher
3 dosing. Of course, it's a concern. I'm sure it's
4 a concern with everyone. I don't remember seeing
5 any data that tested the 10 milligrams and the
6 20 milligrams that were optimal. And if you did
7 test for that, were you testing to see if it
8 stopped the next flare-up, or shortened that
9 flare-up, or how did you measure the effectiveness
10 of the dosing at the higher levels?

11 DR. KEHOE: Well, during the development
12 program, the earlier trials, the 201 trial,
13 actually used a 5-milligram and 2-and-a-half
14 milligram, and then a 10-milligram/5-milligram
15 flare-based dosing that didn't appear to be very
16 effective. That is why the dose was increased to
17 20 milligrams and tapered down to 10 milligrams for
18 the flare-based dosing. So we have seen data with
19 lower doses, but not in the chronic plus
20 flare-based dosing setting.

21 MS. ROBOTTI: So you said it wasn't
22 effective. How do you measure that?

1 DR. KEHOE: Based on the radiographs that
2 were done in the phase 2 studies. Dr. Voss will
3 help me answer that question.

4 DR. VOSS: In the phase 2 study, the 201
5 study, the quantity of new HO at the flare-up site
6 was lower in the two palovarotene groups compared
7 to placebo, but the difference from placebo was not
8 statistically significant, so it was not entirely
9 clear whether there was effective reduction of the
10 new HO with those doses.

11 When the higher doses of 20 milligrams and
12 10 milligrams for flare-ups were used, there was
13 more data generated, so I think there was more of a
14 comfort level developed about the effectiveness of
15 the flare-up dosing at those dose levels.

16 MS. ROBOTTI: Okay. But it seemed to have
17 kind of rebound flare-ups, potentially, so you're
18 risking additional flare-ups. Do I understand that
19 correctly?

20 DR. VOSS: It was found that there were more
21 flare-ups during flare-up dosing, and we have been
22 trying to figure out the reason for that and the

1 potential clinical significance of that. Perhaps I
2 could show one of our backup slides, number 15, if
3 someone could find that backup slide.

4 Okay. Great. So these are scatter plots of
5 the number of flare-ups versus the annual rate of
6 new HO for individual subjects in Study 301 and the
7 NHS. On the left, in Study 301, you can see that
8 there's a lot of variability. And the one subject
9 that had, by far, the largest amount of new HO did
10 report a lot of flare-ups, 13 flare-ups, but there
11 were other subjects who reported more flare-ups and
12 had very much lower quantities of new HO or even
13 negative new HO, so there was a lot of variability.

14 On the right side in the NHS study, there
15 were fewer flare-ups reported overall, and it was
16 interesting that the three subjects with the
17 largest quantity of new HO reported only 1 to 2
18 flare-ups. So I thought that was a little bit
19 counterintuitive. I think these are all untreated
20 flare-ups, so you may expect that patients
21 reporting more flare-ups would have more HO, and
22 that didn't necessarily happen in that study.

1 If we could just go to the next backup slide
2 also, number 16, please. Ipsen analyzed the
3 relationship of flare-up rates and new HO in these
4 three studies. Study 301 is on the top, 202 is in
5 the middle, and the NHS is on the bottom. And in
6 all three of the studies, there was really not a
7 strong relationship of new flare-ups with new HO.
8 So that gives us a little bit of comfort in terms
9 of a lack of evidence that more flare-ups mean more
10 new HO in the treated group.

11 I think you expect to see somewhat of a
12 positive relationship because we know that
13 flare-ups often caused new HO, but there was not a
14 strong relationship. And I think that if we had
15 seen a stronger relationship, that would have been
16 difficult to reconcile with the findings of the
17 primary endpoint that showed that treated patients
18 developed much less new HO compared to untreated
19 patients.

20 DR. LOW WANG: Thank you.

21 I would like to move on to Dr. Weber.

22 MS. ROBOTTI: Thank you.

1 DR. WEBER: Yes. This is Tom Weber. This
2 is for Dr. Voss as well on slide 84 of the
3 presentation. Oh, it's the wrong slide. It's the
4 height on the mean high Z-score change between the
5 natural history study and Study 301.

6 DR. VOSS: I think that was 84 in the backup
7 slides.

8 DR. KEHOE: The main slides, you mean.

9 DR. VOSS: The main slides?

10 DR. KEHOE: Yes, the main slides.

11 DR. VOSS: So we want slide 84 of the main
12 slides.

13 DR. WEBER: Yes, that's it.

14 In the comparison between the two studies in
15 terms of the natural history versus 301 in the age
16 group 8 to 10 to 14, it looks like there is no
17 difference in the change in mean height Z-score. I
18 just had a question about the comparability of
19 those groups and were they, again, allowing for
20 differences between studies.

21 Were the baseline characteristics similar so
22 that that would add some robustness to the

1 observation? There really wasn't a difference
2 between treated and untreated change in Z-score?

3 DR. VOSS: I don't think I can answer that
4 question. We didn't really look at that.

5 DR. WEBER: Okay. I'm just trying to get
6 some sense because it looks like there's no
7 difference between those groups, in that age group,
8 between the two studies, correct, in terms of the
9 change in Z-score --

10 DR. VOSS: Right.

11 DR. WEBER: -- 0.3 versus 0.36?

12 DR. VOSS: Yes. There was tremendous
13 variability in the height data, in the Z-score
14 data, and part of that is related to as the FOP
15 patients grow older during their teenage years,
16 many of them develop scoliosis and various
17 deformities that can create havoc with the height
18 measurements. So there was a lot of variability in
19 all the data.

20 DR. WEBER: Okay. Thank you.

21 DR. LOW WANG: Thank you.

22 I did want to take just a few more minutes

1 for Dr. Applegate. I noticed that you lowered your
2 hand, but if you would like to ask your question,
3 we can take a few more minutes.

4 DR. APPLGATE: Well, I'm not sure I can go
5 to the right slide or anything because it's in the
6 original. It's in documents that I took notes on a
7 long time ago. I remembered there was some
8 interesting subanalyses of sex and age, and it
9 looked like, from table 9, that I know the women
10 were older, but it just looked like there was more
11 of a response in the men.

12 I didn't know if there was any puberty
13 effect and if that had been looked at. You're all
14 endocrinologists and you look at this very
15 carefully. So I didn't know if you would have a
16 comment about that and if it's still table 9 in the
17 newer information. And related to that,
18 peri-puberty, I'm sure that's something clinically
19 that people are monitoring and taking into effect.
20 That's my main question.

21 DR. KEHOE: Sure. I'm not sure that we
22 looked at the pubertal effects specifically, so I

1 will ask our colleagues at Ipsen to respond.

2 MR. SANSONE: Yes. We'd be happy to add
3 some detail to that. I'll turn it back to
4 Dr. Marino.

5 DR. APPLGATE: Thank you.

6 DR. MARINO: In looking at the differences
7 between males and females, we didn't collect
8 pubertal status, per se, in the trials, but we
9 think the explanation for the differences has to do
10 with the amount of HO that we saw in the natural
11 history study.

12 So if you're looking at the slide now, the
13 female patients in the natural history study
14 actually had less than half of the HO that the
15 males had, and the age differences did play into
16 some of what we're seeing here. So it could be
17 something to do with the age, but then when we look
18 at the comparisons between the treated and the
19 untreated females, the difference is less because
20 the NHS, the untreated patients actually formed a
21 lot less HO compared to the females in the treated
22 trial.

1 Looking at the differences in males and
2 females in the treated trial, they're pretty
3 comparable, so it could be something to do with the
4 age, but I also don't have much information in
5 terms of either their pubertal status, or menstrual
6 history, or anything of that to comment on.

7 DR. APPLGATE: Okay. Thank you.

8 DR. MARINO: Sure.

9 DR. LOW WANG: Great.

10 I don't see anyone else's hands raised, so
11 we'll now break for lunch. We'll reconvene at
12 2:00 p.m. Eastern Time. For panel members, please
13 remember that there should be no chatting or
14 discussion of the meeting topics with other panel
15 members, with the press, or with any member of the
16 audience during the lunch break. Additionally,
17 please plan to reconvene at around 1:50 p.m. to
18 ensure you're connected before we restart at 2 p.m.
19 Thank you.

20 (Whereupon, at 1:17 p.m., a lunch recess was
21 taken, and meeting resumed at 2:00 p.m.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(2:00 p.m.)

Open Public Hearing

DR. LOW WANG: Good afternoon. It is now 2:00, and we will now be starting the afternoon session, and start with the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the applicant, its product, and if known, its direct competitors. For example, this financial information may include the applicant's payment of your travel, lodging, or other expenses

1 in connection with your participation in the
2 meeting.

3 Likewise, FDA encourages you, at the
4 beginning of your statement, to advise the
5 committee if you do not have any such financial
6 relationships. If you choose not to address this
7 issue of financial relationships at the beginning
8 of your statement, it will not preclude you from
9 speaking.

10 The FDA and this committee place great
11 importance in the open public hearing process. The
12 insights and comments provided can help the agency
13 and this committee in their consideration of the
14 issues before them.

15 That said, in many instances and for many
16 topics, there will be a variety of opinions. One
17 of our goals for today is for this open public
18 hearing to be conducted in a fair and open way,
19 where every participant is listened to carefully
20 and treated with dignity, courtesy, and respect.
21 Therefore, please speak only when recognized by the
22 chairperson. Thank you for your cooperation.

1 I do see a message from one of the speakers
2 that there is an echo, and I just wanted to check
3 with AV to find out whether or not this is
4 something you can remedy before we start. you sound
5 great to meet. Thank you.

6 AV TECH: You sound great to me. Thank you.

7 DR. LOW WANG: Okay. Alright. Terrific.
8 Let's get started then.

9 Speaker number 1, please unmute and turn on
10 your webcam. Will speaker number 1 begin and
11 introduce yourself? Please state your name and any
12 organization you're representing, for the record.
13 You have three minutes.

14 MS. OLSEN: My name is Megan Olsen, and I'm
15 here today to share my family's story. I have no
16 financial disclosures.

17 Our lives were permanently changed on
18 September 11, 2022. That was the day we learned
19 that our son Hayden had FOP. He was just
20 2 and a half. As this condition is progressive,
21 you just don't know when the new bone will start
22 growing or where. As a parent, you are constantly

1 consumed with a thought of if your child wakes up
2 with an arm or a leg frozen in place. You freak
3 out whenever they fall or get bumped. I never took
4 Hayden to the park because I was so scared of him
5 falling. A mother shouldn't have to worry about
6 something like that.

7 Hayden has bone running from his right
8 shoulder to his left hip. His chest walls are so
9 encumbered by bone that he's always in severe
10 respiratory distress. In 2011, he got sick with
11 pneumonia and ended up in the PICU for 4-and-a-half
12 months. The doctors had to put him on a ventilator
13 his second day or he would have died. Eleven years
14 later, Hayden is still on a ventilator 24/7, and
15 beyond seeing his regular pediatrician, we see
16 cardiologists, pulmonologists, OT pulmonologists,
17 and audiologists, just to name a few.

18 Hayden's medical bill for the 4-and-a-half
19 month stay in intensive care in 2011 was around
20 \$1.25 million. The 24-hour-a-day cost for in-home
21 nursing that he requires due to his trach and his
22 ventilator is upwards of \$350,000 a year. The

1 financial toll is high, but so is the toll on
2 Hayden's life. He doesn't live a normal life. He
3 can't be independent. He's 23 years old and will
4 start attending UC Berkeley in the fall. Even
5 though being able to go to Berkeley was a
6 celebration for us, Hayden will never have a true
7 college experience. He can't live on campus, he
8 can't go out with friends, and he can't even go on
9 dates unless a nurse, his dad, or I are there; not
10 a fun date when your parents are right there. When
11 all his peers have graduated and are moving on to
12 jobs, new locations, he is stuck at home. He is
13 isolated, and it's hard for him to make friends and
14 do things that other kids do his age.

15 While access to an approved treatment may
16 not change his current condition, perhaps it can
17 keep FOP from further progressing. Speaking to you
18 today, I dream about if there had been treatments
19 to stop his bone growth before it became so
20 restricting, Hayden and our family would be living
21 a much different world, a world where Hayden's
22 father and I could work one primary job instead of

1 being a parent, a medical caregiver, and a
2 full-time employee; a world without overwhelming
3 medical expenses; a world where Hayden could live
4 independently and pursue a career in relationships
5 like his friends. I ask you to consider this world
6 that you could give to other families like ours as
7 you consider your decision today. Thank you.

8 DR. LOW WANG: Thank you.

9 Speaker number 2, please unmute and turn on
10 your webcam. Will speaker number 2 begin and
11 introduce yourself? Please state your name and any
12 organization you are representing, for the record.
13 You have three minutes.

14 MS. ROCKE: Hi. My name is Miriam Rocke. I
15 am speaking on my own behalf as an individual
16 living with FOP, and I have no financial
17 disclosures.

18 I was diagnosed with FOP at around age 13,
19 after several years of medical chaos, including two
20 surgeries and chemotherapy for what they thought
21 was cancer. At the time, I had several teachers
22 and other adults in my life check in with me about

1 whether I was depressed because of the diagnosis.
2 At the time, I didn't understand why. After all,
3 it was an entirely physical disease, not mental,
4 and we finally knew what was going on with me. But
5 it turns out knowing that you have FOP isn't the
6 same as living with it.

7 FOP is incredibly depressing and isolating.
8 For one thing, you are obviously different. As a
9 child, I learned that being barefoot in public
10 meant people would comment on my weird toes. As a
11 teenager, I was extremely self-conscious about the
12 funny way I had of moving. More than once, I have
13 accidentally offended people because they thought I
14 was ignoring them when it was just that they were
15 beside or behind me, and I can't turn my head to
16 look at them.

17 FOP's rarity means it doesn't have the
18 in-person social support that other medical
19 conditions have. You can find local support groups
20 for ALS, or cancer, or arthritis, and other people
21 have at least heard of these conditions and know
22 what they are, but FOP is too rare. And I don't

1 have much in common with age-based communities.
2 After college, I tried joining a social group for
3 people in their 20s and 30s. They all wanted to do
4 outdoor activities like hiking, whitewater rafting,
5 and rock climbing, where I had to use a wheelchair
6 full-time. They were focused on building careers,
7 where I was coming to terms with being
8 unemployable. I essentially had more in common
9 with 80-year-old retirees than with people my own
10 age.

11 And lastly, one of the most discouraging
12 aspects about FOP has been the lack of treatments.
13 The condition is progressive, meaning it will get
14 worse over time, and there's been no way to
15 predict, control, or change the course of events.
16 Over the years, I've lost the ability to walk, to
17 stand safely, to drive a car, to play the violin,
18 to put a jigsaw puzzle together, to hug another
19 person, or even pet a dog.

20 FOP has been a powerful lesson in adapting
21 to uncertainty and change, but at the same time,
22 it's discouraging to look back at 10 years ago, or

1 20, or 40 and see everything I've lost, and
2 daunting to look at the future and wonder when
3 things will change again. And it really is when,
4 not if. I'm mostly immobile at this point, but
5 even so, I have something left to lose. Last year,
6 I had a 3-month jaw flare-up that affected my
7 ability to speak, swallow, and chew. I am lucky
8 that it resolved well, but next time I may not be
9 so lucky.

10 My hope is that future generations of FOP
11 children won't have to learn the same lessons I
12 did; that treatments like this one will prevent as
13 much bone from forming, preventing as much loss of
14 motion as possible, and allow them to just be kids.
15 No drug is without risk, but I would like you to
16 remember the cost of doing nothing. Thank you very
17 much for the opportunity to speak to the committee.

18 DR. LOW WANG: Thank you.

19 Speaker number 3, please unmute and turn on
20 your webcam. Will speaker number 3 begin and
21 introduce yourself? Please state your name and any
22 organization you are representing, for the record.

1 You have three minutes.

2 MS. ROYS: My name is Cathryn Roys, and I
3 will be reading the testimony of Ashley Martucci
4 today on her behalf. Please display her slide.

5 "My name is Ashley Martucci, and I am a
6 person living with FOP. I have no financial
7 disclosures. One technological advance I don't
8 believe we've mastered is the Freeze Ray. Sure, we
9 have simple, smaller tools focused on smaller scale
10 solidifications, acne, blemishes, but I'm talking
11 about full-fledged, you draw a toot
12 [indiscernible], and in front of you stands a
13 perfect statuesque figure of a once living,
14 breathing, moving person before you. I can imagine
15 this is what has actually happened to me. That's
16 what it felt like.

17 "One morning you were left with the newly
18 calcified status, and you must adapt every single
19 aspect of your life to your new mobility; the next
20 day, wake up and do it all over again. Living with
21 your newly calcified body would mean you weren't
22 able to reach the cabinets anymore in your

1 childhood home. It means not leaving a classroom
2 to use the bathroom because most doors cannot be
3 opened with a push of a button. The course of your
4 life has been changed, and now you have to make the
5 most of the time you have left. But remember the
6 only rule; you can't move.

7 "I lived half of my life misdiagnosed.
8 Living without the knowledge I have gene mutation,
9 my childhood was full of contact sports, running,
10 playing tennis, general education classes, much
11 more than the average afflicted child might
12 experience. I wasn't diagnosed until the
13 7th grade. Soon enough, no sooner than my
14 18th birthday, my arm was completely frozen at my
15 side, my knee, my hip; and much later, but still
16 within the confines of these past two years, my
17 good arm decided it no longer wanted to leave my
18 side.

19 "These months that followed allowed for
20 icing my joints, crying on the couch, and sitting
21 on a shower chair wondering where the hell I went
22 wrong. I take about 20 pills a day to manage my

1 pain and a sleeping pill to allow me an hour or two
2 of rest. I have also begun therapy and
3 antidepressants due to the mental toll these past
4 two years have taken on me. Quality of life has
5 become a daily internal conflict for myself and
6 others as members of this greater community, with
7 my day with FOP tolerable enough to experience
8 tomorrow.

9 "A few weeks ago, I traveled to a farm in my
10 power wheelchair, a first for me, but it also
11 marked the first time I cannot open my mouth wide
12 enough to enjoy the sweetness of an apple, a far
13 cry from the conflict of the fall prior where I
14 could run through the orchards and pick
15 single-handedly. Unfortunately, most of my life as
16 I had known it had become just like that, a memory.

17 "I have lost much muscle to calcification,
18 but I've learned new ways to function. I've
19 learned how to get shirts on by aiming and tossing
20 them over my head. After brief suffocation, I'm
21 dressed. I've customized my fashion to include
22 laceless sneakers that I can slide my foot in

1 without lifting a finger. I've learned how to
2 balance on one leg to complete tasks like brushing
3 my teeth.

4 "By losing I've also gained a lot of
5 strength, strength to ask others for help, one of
6 the toughest things to do as a human being, and
7 today I'm asking for yours. Just because I'm a
8 fighter, I'm fortunate to have met many other
9 fighters through being a member of the rare disease
10 community. I ask you to think of us today as you
11 make your decision and stand beside us in this
12 fight. Though just because one could be strong and
13 persistent doesn't mean they're destined to a life
14 of struggling. There are a number of people that
15 have to fight this on the planet, and I ask for
16 your attention."

17 DR. LOW WANG: Thank you.

18 Speaker number 4, please unmute and turn on
19 your webcam. Will speaker number 4 begin and
20 introduce yourself? Please state your name and any
21 organization you're representing, for the record.
22 Please stick with the three-minute limit. Thank

1 you.

2 MS. BLACK: Good afternoon. I'm Margo
3 Black. I'm a clinical nurse specialist in the
4 program for Metabolic Bone Disorders at Vanderbilt
5 University Medical Center in Nashville, Tennessee.
6 Our clinical research is supported, in part, by
7 Regeneron and clinical trials of garetosmab for
8 FOP. I have no other disclosures.

9 I've been a nurse for 45 years. I've worked
10 as an educator, a care coordinator, a clinical
11 researcher with a variety of patients who have rare
12 skeletal dysplasias. I want to tell you about my
13 experience in planning the care for these patients
14 with FOP. First, transport is quite a challenge.
15 Patients often must sit straight up in their car.
16 They must have a special oversized vehicle to
17 transport special technology of the wheelchair, so
18 planning with our parking team in advance is
19 important.

20 I've rarely had patients with such high
21 safety needs. It's critical that our patients with
22 FOP have advanced planning for their needs, so I

1 must mobilize additional trained staff to assist
2 the patients. For instance, our anesthesia team I
3 alert in advance because if they do require
4 intubation, because the neck is fused early,
5 hyperextension of the neck is not possible, so most
6 patients with FOP would require nasotracheal
7 intubation, which is a highly specialized skill and
8 not the usual standard oral intubation.

9 Patients with FOP have very difficult access
10 to veins, so to perform a standard venipuncture or
11 place an IV is complicated. We must use the most
12 highly skilled IV specialist and use bedside
13 ultrasound to minimize the risk of further tissue
14 injury, and thereby more heterotopic bone
15 formation. Their veins are quite deep and often
16 difficult to access because muscle definition is
17 not good.

18 Patients also require special consideration,
19 with some patients having locked jaws, and
20 therefore an increased risk for aspiration. I've
21 seen children and adults with FOP who cannot grow,
22 cannot kick a ball, children and adults who want to

1 play, and yet the risk of further disability is a
2 terrifying thought. I have never had to go to
3 sleep personally at night and wonder if I'd be able
4 to bend over the next day and pick up something
5 from the floor, hold a fork in my hand, wipe my own
6 nose, wash my own hair, scratch my own itch, or
7 play blocks in the floor with my grandchild.

8 It is a privilege for me to witness such a
9 resilient group of patients and their families as
10 they navigate life's difficulties. It's a
11 resilient group of patients who are highly
12 innovative and eager to connect with each other and
13 share their experiences about solutions that work
14 for them. Patients and families with FOP are
15 strong advocates for research, so today I ask you
16 to remember them as you make your choice. May we
17 all unite to support and champion these challenges
18 and needs of such a special group. Thank you for
19 this opportunity.

20 DR. LOW WANG: Thank you.

21 Speaker number 5, please unmute and turn on
22 your webcam. Speaker number 5, please state your

1 name and any organization you are representing, for
2 the record. You have three minutes.

3 DR. FRIEDMAN: Thank you. My name is
4 Dr. Clive Friedman, and I have a financial
5 disclosure. I have received a grant from Ipsen to
6 do an oral health survey on Canadian patients;
7 however, today I am speaking on my own behalf as a
8 practicing pediatric dentist who sees patients with
9 FOP.

10 I'm in private practice and an assistant
11 clinical professor at both the University of
12 Toronto and the Schulich School of Medicine and
13 Dentistry in Western Ontario. I'm a member of the
14 International Political Council for FOP and on the
15 Board of Directors of Tin Soldiers. I have
16 attended family gatherings for FOP since 2008 in
17 the United States, as well as internationally, and
18 as such, have been privileged to have seen over
19 200 people with FOP. I am consulted on a weekly
20 basis about oral health issues from people across
21 the globe.

22 I would like to address oral health

1 implications for my patients with FOP, as well as
2 for the many that I've consulted for over the
3 years. Quality of life for people with FOP is
4 severely impacted by their oral health. Mobility
5 restrictions impact their oral health, and jaw
6 ankylosis can begin from as early as 2 to 3 years
7 of age, specifically if they experience trauma to
8 the orofacial complex. A large percentage of
9 individuals with FOP, by the time they reach 30
10 have complete jaw closure. Dental procedures, if
11 done incorrectly, can be major triggers for flares.

12 Oral health is the entryway to overall
13 health. Everything from one's ability to eat,
14 smile, breathe, swallow, and speak is impacted, and
15 this is only the start. Imagine for one moment
16 being able to eat one day, and the next on a liquid
17 diet for the rest of your life; speak for five
18 minutes with your teeth completely together. You
19 don't need to imagine further.

20 One of my patients, while on the trial drug,
21 experienced trauma to his jaw and experienced
22 considerable decreased opening; however, recovered

1 to almost normal opening while on the drug. Having
2 a drug like this available that can help even one
3 patient and change the course of that person's life
4 is immense, even if the success rate is only in the
5 region of 60 percent.

6 I live in Canada, and I'm aware the Canadian
7 authorities have recognized the value of providing
8 the current drug to our patients with FOP. Seeing
9 this made available to the many patients in the
10 U.S. would be indeed a welcomed opportunity. Thank
11 you for your time and attention.

12 DR. LOW WANG: Thank you.

13 Speaker number 6, please unmute and turn on
14 your webcam. Speaker number 6, please state your
15 name and any organization you are representing, for
16 the record. You have three minutes.

17 MS. DANZER: Hi. My name is Erin Danzer. I
18 am speaking on my own behalf as someone living with
19 FOP. I have no financial disclosures. Please
20 display my slide.

21 I'm 25 years old, and I was diagnosed with
22 FOP when I was about 10 months old. Growing up, my

1 FOP was very mild, so I wasn't as restricted
2 mobility-wise. I was able to walk and run until I
3 turned 20, when I had a flare-up in my right hip
4 that affected my ability to walk. Now I'm an
5 ambulatory wheelchair user. I can walk some, but
6 with great difficulty, and ultimately, it can be
7 very dangerous for me because of my balance, and it
8 can be painful at times as well.

9 When I turned 22, I lost mobility in my jaw,
10 and it locked, causing eating and being able to
11 take medications very difficult. My day-to-day
12 life entails being able to get out of bed safely,
13 dressing, bathing, and other acts of self-care that
14 I need someone to help me with. I'd say the
15 hardest thing about living with FOP was having to
16 adapt constantly to a new normal.

17 FOP is so unpredictable. In one night, I
18 lost the ability to stand up straight that affected
19 my ability to walk forever. I'm constantly
20 grieving the old me and all the experiences I was
21 able to have. If there was an approved drug that
22 stopped bone from growing, I wouldn't constantly

1 have to grieve anymore. The chronic pain that I
2 live with every day, I've learned to bear, but
3 sometimes the pain grows so unbearable and it
4 affects my ability to live my life to the fullest.
5 Because of my limited range of motion, a fall could
6 ultimately end my life since I would not be able to
7 brace myself. Because my jaw is locked completely
8 and I am unable to open it, I could choke on my own
9 vomit.

10 I don't live a normal life. I've always had
11 to think ahead whenever I go out. The hardest part
12 of my day is eating and taking medications.
13 Because my jaw is locked completely, I live off of
14 a soft food and liquid diet. I can't go out to
15 dinner with friends and family and order off the
16 menu like everyone else. I can't enjoy food
17 anymore like I used to. Eating takes a lot of
18 preparation and thinking ahead because I have such
19 a limited range of foods that I can eat, having to
20 blend it, puree it, or chop it into tiny pieces. I
21 can't pick up lunch at the cafeteria like the rest
22 of my college peers. My nutrition declined

1 increasingly since my jaw locked a few years ago.
2 Not only has it affected my physical health, but my
3 mental health as well.

4 Accepting my FOP and everything that I've
5 lost because of it has caused me to gain a new
6 perspective on life. I am forced to navigate this
7 world that isn't built for someone like me, but I
8 adapt. Just knowing that it's too late for me
9 breaks my heart, but I've come to terms with all of
10 that that I've lost because of my FOP, and I'm
11 grateful for all the memories and experiences that
12 I've had.

13 With an approved drug, generations after me
14 wouldn't have to endure these issues because the
15 drug could potentially slow the bone growth. It's
16 my hope for the future generations of those with
17 FOP that they wouldn't have to endure any more
18 flare-ups and can live a normal life. Thank you.

19 DR. LOW WANG: Thank you.

20 Speaker number 7, please unmute and turn on
21 your webcam. Speaker number 7, please state your
22 name and any organization you are representing, for

1 the record. You have three minutes.

2 MR. SUCHANEK: My name is Joseph Suchanek, I
3 live in New York State, and I have no financial
4 disclosures. I'm 30 years old in a body that is
5 clearly not working for me. Like many
6 30 year olds, you want to be free and independent,
7 get to do whatever you want, whenever you want,
8 make mistakes, and be spontaneous and make
9 memories. I'm not like most people. My body is
10 95 percent locked.

11 I lost basically every function of
12 independence. My neck is fused upward, so I can't
13 physically look down. I can't get a power
14 wheelchair since I can't drive it without being
15 able to look down. Even prism glasses won't help
16 me see where I'm driving a wheelchair. I'm stuck
17 with a person next to me. I can't go to the
18 bathroom on my own, or get dressed, or even get out
19 of bed, so I'm stuck with a person next to me.

20 I am 100 percent reliant on someone to live.
21 I lost the ability to swallow anything, so I have a
22 tube in my stomach. I have to drink formulas and

1 tubes to feed me throughout the day. A regular
2 person can eat and drink [indiscernible], while I
3 have to find a place to eat and prepare the
4 feeding. I'm stuck with a person next to me since
5 I can't do it no matter how hard I try.

6 If I was like most people, all my problems
7 could go away with surgery, but my body repair
8 mechanism is faulty. With surgery, maybe I could
9 swallow again, but nobody knows the complication
10 surgery could cause or even if it's worth the risk.
11 I have to spit my saliva into a cup forever. I
12 can't go on my own, and when I do it's only in
13 limited windows of time since I'm stuck with a
14 person next to me.

15 The other person is someone I have to fully
16 trust and who understands me. I have no paid
17 caregiver, so they have to like me enough to want
18 to spend the day with me and assist me with every
19 function. There are festivals I want to go to and
20 places I want to see, but people are too busy with
21 their own lives or just don't want to go, or maybe
22 just not with me.

1 I can't be mad at anybody. All I can be is
2 sad for not being able to get my desires met. I
3 can blame others, but it's not fair. I can blame
4 myself, and that's fair. I guess life isn't fair.
5 I have to watch the world go by while seemingly
6 everyone else does regular tasks with ease. It
7 takes me longer to get ready, planning events out
8 if it's wheelchair accessible, plus if something
9 goes wrong. People can just leave the house in
10 five minutes, and they go with little to no
11 barriers, or worry what restaurant to go to and
12 know the ice cream isn't good for you. But you eat
13 it anyway since you can handle the aftermath of
14 being lactose intolerant.

15 The most frustrating part out of all this is
16 a solution that is hidden behind a thick piece of
17 glass that I can't break. Many of these surgeries
18 are physically possible, like to fix my swallowing
19 or help me walk, or move my neck. My disease is a
20 huge barrier. I am the problem. A doctor has to
21 mutter the words, "there is nothing I can do" and I
22 have to accept it no matter how hard it is to

1 swallow. Thank you.

2 DR. LOW WANG: Thank you.

3 Speaker number 8, please unmute and turn on
4 your webcam. Speaker number 8, please state your
5 name and any organization you are representing, for
6 the record. You have three minutes.

7 MS. KIRCHHOFF: My name is Karen Kirchhoff.
8 I'm the family services coordinator at the
9 International FOP Association, and I have no
10 financial disclosures.

11 As a physical therapist, I have enjoyed
12 helping people improve their functional abilities
13 for the past 20 years, but none of the injuries or
14 conditions I've worked with have even come close in
15 comparison to the extreme challenges faced by
16 individuals with FOP.

17 The physical effects of FOP are very
18 visually apparent when you meet someone confined to
19 a wheelchair with very limited mobility, but what
20 you don't see is the significant pain and
21 dysfunction that takes place during each and every
22 daily routine in their private lives: parents who

1 have to get up every 2 hours to rotate their adult
2 child in bed at night because they can't reposition
3 themselves without help; people who can't go to the
4 bathroom or shower in privacy because they can't
5 pull down their own pants, wipe themselves, or wash
6 their own hair; people who avoid going out to a
7 restaurant with friends because they have to push
8 food through small gaps in their teeth because
9 their jaw has fused. Even being able to scratch an
10 itch or move stray hair blocking our eyes is a
11 luxury those of us without FOP take for granted.

12 Now, there are assistive devices and tools
13 that can help people with limited mobility to wipe
14 themselves or brush their hair, but as I've quickly
15 learned, these tools have not been redesigned in
16 decades, and for the most part, only help those who
17 actually still have a decent amount of mobility.
18 Sadly, the reality is these assistive devices
19 rarely work for those with FOP. Instead, they're
20 forced to find alternative ways to adapt their
21 routines, make homemade tools to assist them, or
22 give up their independence completely and rely

1 solely on a caregiver.

2 For those who want to maintain their
3 independence, it can take considerable time,
4 effort, and monetary expense to find equipment that
5 might help. And all too often, I've seen people
6 with FOP spend months working towards a solution,
7 or even years finding comfortable positioning in
8 bed or an appropriate wheelchair seating system,
9 only to be devastated by another FOP flare that
10 causes more bone growth or changes their body
11 position again, erasing all their previous efforts
12 and rendering their solution or new equipment
13 useless. Many have shared with me that living with
14 FOP is a never-ending cycle of adjusting to a new
15 but more challenging normal.

16 Now, most people with FOP outwardly seem to
17 take this process in stride, but I know that it
18 actually takes a significant toll on their physical
19 health, let alone their mental health. Many have
20 shared with me that they would be willing to put up
21 with their current pain and physical limitations if
22 they could just know they wouldn't progress any

1 further. Imagine going to bed not knowing if
2 you'll be able to walk the next day or if the
3 cheeseburger you had for dinner will be your last.
4 This daily anxiety and fear that they live with is
5 an equally debilitating part of living with FOP.
6 They have no choice but to be resilient and to try
7 to avoid those what-if fears.

8 But an approved drug could ease some of this
9 burden and reduce their daily anxieties surrounding
10 flares and mobility loss. And just as important,
11 an approved drug could mean those living with FOP
12 are one step closer to never having to adjust to
13 another new normal again. Thank you for your
14 consideration.

15 DR. LOW WANG: Thank you.

16 Speaker number 9, please unmute and turn on
17 your webcam. Speaker number 9, please state your
18 name and any organization you're representing, for
19 the record. You have three minutes.

20 MS. GONZALES: Hi. My name is Alexis
21 Gonzalez, and I have no financial disclosures. I
22 am the sister of AJ, who is 12 years old and living

1 with FOP. In 2012, AJ was diagnosed with FOP at
2 the age of 2 and a half when I was 14 years old.
3 My brother was unaffected by FOP, except for his
4 funny-shaped toes. He was an active kid who loved
5 race cars, Mickey Mouse, and playing pretend
6 superheroes. At that point, I was focused on
7 transitioning into high school, what was I going to
8 wear, and when were the cheerleading tryouts going
9 to be.

10 Meanwhile, my dad was fired from his truck
11 driving job because he called out of work to attend
12 one of AJ's many doctor appointments, as my parents
13 went from doctor to doctor trying to get a
14 diagnosis. My parents had to file for bankruptcy,
15 and our family of five moved into a small
16 two-bedroom apartment. Behind the scenes, my
17 parents were fighting to save our house and get AJ
18 medically needing Medicaid to alleviate some of the
19 medical costs.

20 Throughout my high school years, AJ began to
21 see 10 specialists and receive various therapies.
22 I thought he was getting the help he needed, and my

1 life would continue as planned. In 2016, AJ fell
2 at my high school graduation party and had a
3 flare-up that caused him to lose mobility in his
4 back, neck, and shoulders. He was only
5 6 and a half years old. That one fall off of a
6 slide took away his ability to raise his hand in
7 school, wipe himself in the bathroom, and turn his
8 head to see what is around him.

9 Our entire world was turned upside down. I
10 was no longer AJ's big sister. I became his
11 personal bodyguard and caregiver. At birthday
12 parties, family gatherings, or trips to the grocery
13 store, I made sure AJ would not get bumped into or
14 fall. I tried to prevent FOP from taking anything
15 else away from him.

16 AJ's diagnosis of FOP led me to go to Temple
17 University and become a recreational therapist and
18 child life specialist. During summer breaks, I
19 went home and became AJ's aide so he could attend
20 summer camp. I would not let anything get in the
21 way of AJ participating alongside his peers, even
22 if that meant I had to come home and pass up on an

1 internship or a job opportunity.

2 I graduated college in 2020 and moved back
3 home during the COVID-19 pandemic. While both of
4 my parents continued to work, I became AJ's
5 full-time caregiver and homeschool teacher. For a
6 year, my days and nights were dedicated to ensuring
7 all of AJ's needs were being met. Now it's 2023,
8 I'm 25 years old, and working at a children's
9 hospital, but I haven't stopped being a bodyguard
10 and caregiver for AJ.

11 While AJ has lost a lot, an approved drug
12 treatment to slow down bone growth would help him
13 keep the important skills and capabilities he still
14 has so he can attend school, chew his food, dress
15 himself using adaptive tools, and play adaptive
16 sled hockey. It would give us time, time to grieve
17 all he has lost, time to prepare for the future,
18 and time for him to be a kid. I ask you to
19 remember AJ's story and my story as you make your
20 decision. Thank you.

21 DR. LOW WANG: Thank you.

22 Speaker number 10, please unmute and turn on

1 your webcam. Speaker number 10, please state your
2 name and any organization you are representing, for
3 the record. You have three minutes.

4 MR. EICHNER: Thank you. My name is Steven
5 Eichner, and I have nothing to disclose. I was
6 diagnosed very early about 3 years old, so I did
7 not have a long diagnosis journey, but I've
8 obviously lived the rest of my life with FOP. FOP
9 is a bear of a disease, and those of us with FOP
10 try to avoid poking the bear whenever we can. FOP
11 creates both mental and physical anguish. It
12 impacts every aspect of life. I can't reach the
13 top of my head to wash my hair. I can't reach my
14 feet to tie my shoes. I can't open my jaw to enjoy
15 foods that I once loved.

16 For me, July 4th is a holiday of freedom.
17 About 20 years ago, I started eating an Egg
18 McMuffin on a trip from DC down to Virginia Beach.
19 That was my last Egg McMuffin that I could eat with
20 my mouth open. By the time I got to Virginia
21 Beach, just a 3-and-a-half-hour drive, my jaw was
22 frozen.

1 FOP has impacted my ability to earn income
2 for my family. I've had many career opportunities
3 that I've not been able to take advantage of
4 because of the limitations of FOP. Being able to
5 attend out-of-town meetings or being able to even
6 get across town because I can no longer drive has
7 limited my job opportunities.

8 FOP also creates a substantial economic
9 burden. A two-story house doesn't work so well. I
10 need a large van that's wheelchair accessible.
11 That's also very expensive. As Karen mentioned
12 earlier, there's a lot of adaptive equipment for
13 one to acquire to meet needs that is all terribly
14 expensive.

15 Palovarotene may not be a perfect drug in
16 arresting bone growth, but it is one thing we did
17 not have before. We're not looking for massive
18 change. Every millimeter of bone growth we can
19 avoid gives us just a little bit longer to enjoy
20 the freedoms: one more summer camp; one more jump
21 in the pool; or one more opportunity to throw a
22 ball. You guys have the opportunity today to make

1 a decision to enable patients, their family
2 members, and their caregivers to make the decision
3 that's right for them, and I think it's vital that
4 you make a choice that enables decision making at
5 the individual level. Thank you for your time.

6 DR. LOW WANG: Thank you.

7 Speaker number 11, please unmute and turn on
8 your webcam. Speaker number 11, please state your
9 name and any organization you are representing, for
10 the record. You have three minutes.

11 MS. HOLLYWOOD: Good afternoon. My name is
12 Suzanne Hollywood, and I have no financial
13 disclosures. My husband and I have a 16-year-old
14 son who has FOP. Joe was diagnosed when he was
15 four, although the signs like funny toes and weird
16 bumps on his head would come and go, but they were
17 there from the day that he was born.

18 When his diagnosis was confirmed, our hope
19 was there would be a drug to slow down or stop the
20 progression of the disease until a cure was found.
21 We've held on to that hope. When Joe was 10, we
22 enrolled him in the palovarotene trial. My husband

1 and I carefully considered the risks and the
2 possible positive outcomes of the drug. We
3 discussed with Joe what was involved in
4 participating in a clinical trial and how it may or
5 may not slow down the progression of FOP. It was
6 very important to us that he felt comfortable
7 participating.

8 He was all in and excited, very brave for a
9 10 year old. Safety was our priority; next was
10 maintaining Joe's mobility. We felt the side
11 effects of this medication were minimal, although
12 we knew there was a risk he may be shorter in
13 stature taking palovarotene, but that was a risk we
14 were willing to take in order to keep his mobility.

15 As parents of a child with a devastating
16 progressive disease, you want to hold on to every
17 precious moment of normal for them. Joe goes to
18 school full-time and is on the honor roll. He's
19 involved in clubs and activities and also plays
20 piano and cello. Swimming is his favorite physical
21 activity because he can move freely without fear of
22 getting hurt. We live with the anxiety of the

1 slightest event setting off a flare-up but balance
2 it with wanting him to be happy and have a healthy
3 quality of life. Joe turned 16 this month, and
4 like other 16 years olds hitting this milestone,
5 he'll be getting his driver's permit.

6 In August of 2022, while we were at a
7 birthday party, Joe tried to eat a cake pop. We
8 realized he wasn't able to open his mouth wide
9 enough to bite into it. I can't express the fear
10 that my husband and I felt at this moment. We
11 realized FOP was beginning to affect Joe's jaw. He
12 repeatedly asked us, "It's going to be okay, right?
13 Once the flare-up ends, I'll be able to open my
14 mouth wide again?" I can't describe the feeling of
15 helplessness I felt as a parent at that moment.

16 There aren't enough words to convey the
17 importance of having a drug that will allow my son
18 to continue to live his life and maintain his
19 current mobility while we race for a cure for FOP.
20 I want Joe to be able to follow his dreams and hit
21 a milestone. Thank you for allowing me to speak
22 today.

1 DR. LOW WANG: Thank you.

2 Speaker number 12, please unmute and turn on
3 your webcam. Speaker number 12, please state your
4 name and any organization you are representing, for
5 the record. You have three minutes.

6 DR. LEVY: My name is Charles Levy. I'm a
7 physiatrist who has been practicing for 30 years.
8 I have no financial disclosures. As a medical
9 specialist in rehabilitation, my job is to maximize
10 the function and independence of patients.

11 Starting in 1996, I saw my first patient
12 with FOP, and from this developed a focused
13 interest in this most rare disease. Over the
14 years, I've seen dozens of individuals with FOP,
15 and in some instances visited patients in their
16 homes as I got to know them and their families.

17 Individuals with FOP face an unrelenting
18 episodic assault of heterotopic bone growth
19 involving pain; advancing restriction and joint
20 range of motion; limiting ambulation activities of
21 daily living, including dressing, grooming,
22 bathing, toileting; impacting intimacy, as well as

1 limiting chest wall expansion and posing hearing
2 loss; and freezing the jaw, causing dietary and
3 dental problems.

4 From a rehabilitation perspective, the
5 distorted and nearly frozen postures found in later
6 stages of FOP demand sophisticated rehabilitation
7 assessments and often complex solutions to
8 wheelchair seating and positioning needs. The
9 expertise and customized equipment necessary to
10 respond to the challenges of FOP are expensive and
11 hard to find. By reducing the amount of extra
12 bone, palovarotene shows promise to slow the
13 relentless march of FOP and result in lesser
14 disability and impairment, and increase function.

15 A word about the MOVE trial; in my reading,
16 the outcome measures of the CAJIS, which is a
17 relatively crude measure of joint range of motion,
18 and the relatively short duration of the trial made
19 it unlikely that differences in function and range
20 of motion would be detected; however, the reduction
21 of heterotopic ossification is significant and
22 encouraging.

1 Palovarotene is an imperfect but important
2 advance in the treatment of FOP. Palovarotene is
3 associated with premature physeal closure and
4 mucocutaneous side effects and arthralgias;
5 decreased vertebral bone; mineral density; and
6 content and strength. Because of the high
7 incidence of premature physeal closure, I believe
8 palovarotene should be restricted to those who have
9 already reached skeletal maturity with only rare
10 exceptions.

11 Despite its limitations, palovarotene has
12 demonstrated ability to reduce the burden of HO and
13 makes it an important tool in the physician's
14 armamentarium to counter FOP and preserve
15 functions. Patients and their doctors should have
16 the opportunity to consider treatment with
17 palovarotene to evaluate the risks and benefits and
18 choose the paths that are right for them. The
19 treatment landscape for FOP is evolving. I believe
20 that palovarotene has an important role to play.
21 Thank you for your time and attention.

22 DR. LOW WANG: Thank you.

1 Speaker number 13, please unmute and turn on
2 your webcam. Speaker number 13, please state your
3 name and any organization you are representing, for
4 the record. You have three minutes.

5 MS. DAVIS: My name is Michelle Davis. I'm
6 the executive director of the largest FOP patient
7 advocacy organization, the IFOPA, and we serve FOP
8 families from all over the world. I have no
9 financial disclosures.

10 You've heard a lot about heterotopic
11 ossification today. You likely think it means
12 people with FOP can't dress themselves or are
13 confined to a wheelchair, or require the support of
14 a caregiver. That's only the beginning. What do
15 people with FOP really lose? They lose the ability
16 to not only enjoy a meal, but they struggle to
17 maintain proper nutrition once a jaw locks. We
18 have children and teens using feeding tubes because
19 their jaws are already locked.

20 This is why reducing new bone formation as
21 early as possible is so critical. They lose the
22 ability to properly clean their teeth, which

1 results in tooth decay and creates more
2 inflammation in the body that further exacerbates
3 the disease. I once watched a dentist walk out of
4 a meeting with an FOP patient in tears, shocked by
5 the number of abscessed teeth the patient had.

6 They lose the ability to fully open their
7 mouth if they're vomiting, which creates both a
8 physical risk for aspiration and a constant state
9 of anxiety about becoming sick. There has been at
10 least one death in the community as a result of
11 this. They lose the ability to ride in a vehicle
12 because their body is fused in a standing position,
13 and they can no longer sit. If they don't have the
14 funds to purchase a highly customized wheelchair
15 and van, then they are confined to their home. We
16 have a 16-year-old already in this situation.

17 They lose the ability to break a fall with
18 their arms. In the last two years, at least four
19 people have died from head and neck injuries
20 sustained during a fall. And one of the losses
21 that really impact mental health, they lose the
22 ability to wrap their arms around another person

1 and give them a hug.

2 As you've heard, the path and timing of
3 FOP's progression is unknown. One woman describes
4 walking up the stairs at night to go to bed and not
5 being able to get back down the next day because
6 her hip had locked overnight. This is why chronic
7 dosing is critical. The daily anxiety and fear
8 people with FOP and their families live with is an
9 equally debilitating part of living with FOP, and
10 the financial cost of caregiving, either hiring a
11 caregiver or leaving the workplace to serve as a
12 caregiver, is quite high.

13 The unpredictable progressive nature of FOP
14 is why chronic intervention to slow the bone growth
15 is needed. While families dream of a cure, what
16 they want is for the disease to slow down, even
17 just a little; and they are willing to, with their
18 doctors, weigh hard decisions about the risks and
19 benefits of a drug.

20 The approval of palovarotene, even if it
21 doesn't complete the end of progression of the
22 disease or eliminate all FOP symptoms, could at

1 least reduce some of the daily anxieties and
2 promote better health outcomes for people living
3 with FOP. Most importantly, approval of a drug now
4 would slow bone growth and buy time for people
5 living with this devastating disease while
6 additional treatments progress through clinical
7 trials. FOP is a complicated disease, and a
8 cocktail will likely be needed to truly treat all
9 aspects of the disease. We thank you for your
10 attention to the safety of people living with FOP
11 and the critical need to bring effective treatments
12 to them.

13 DR. LOW WANG: Thank you.

14 Speaker number 14, please unmute and turn on
15 your webcam. Speaker number 14, please state your
16 name and any organization you are representing, for
17 the record. You have three minutes.

18 MS. WALLACE: My name is Rebecca Wallace.
19 I'm an FOP mom, and I'll be reading the testimony
20 of Candace Hixson today on her behalf. Please
21 display her slide.

22 "Hello. My name is Candace Hixson. I have

1 no financial disclosures, and I would like to thank
2 you for the opportunity to share my personal
3 testimony with you today. As I mentioned, my name
4 is Candace, but my favorite people on earth call me
5 Mom. One of these people is my 8-year-old son,
6 Samson. Samson was diagnosed with FOP when he was
7 only 7 months old. Words can't describe the
8 feeling of being told there is nothing you can do
9 to help your baby, so pick yourself up off the
10 floor, go home, and hope for the best.

11 "As you can imagine, our lives haven't been
12 the same since. Samson has lived all of his short
13 life in a state of chronic pain. While it's
14 encouraging that he is able to power through it on
15 most days, it is also emotionally painful as his
16 mother to know there isn't much I can do to help
17 him. No child should have to just power through.

18 "FOP is aggressive and moves quickly.
19 Simple tasks that we all take for granted can be
20 taken from a person with FOP overnight. Before he
21 was a year old, Samson had lost some range of
22 motion in his neck and shoulders. By age 4, he was

1 having trouble dressing himself and putting on
2 shoes because his ability to bend at the waist
3 became restricted. When Samson was 5 years old, he
4 lost the ability to move his dominant arm at the
5 elbow. He woke up fine one morning, and by dinner
6 time, as you can see in his pictures, he couldn't
7 bring his fork to his mouth.

8 "You can also see in his photos that
9 Samson's back is currently covered in extra bone,
10 connecting his shoulder blades and preventing
11 movement in his spine. His shoulders have very
12 limited range of motion and his neck mobility has
13 only recently been taken from him. He can't look
14 at the sky, or look up into my eyes, or look down
15 at his feet to see where he's going. He also seems
16 to have new painful flare-ups almost every single
17 day.

18 "When it comes to FOP, we never know what we
19 will wake up to each morning and what might be
20 taken from him by the end of the day. At an age
21 where most children are becoming more and more
22 independent and doing things like learning to ride

1 bikes, tie their own shoes, or even button a shirt,
2 Samson is having those experiences ripped from him.
3 When he has a fun day of playing, he pays for it
4 with pain in his feet, back, and legs.

5 "My boy is full of sunshine. He is loud and
6 rowdy. He loves with his entire heart, and if he
7 loves you, you know it. He appreciates the beauty
8 in sunset and flowers. He loves Legos, and Xbox,
9 and to play with his brothers, and an approved
10 treatment for FOP, something that would stop or slow
11 the growth of excess bone in his body, would give
12 Samson the opportunity to enjoy the funnest years
13 of his life the way these years are meant to be
14 spent.

15 "If a drug can slow the bone growth, Samson
16 might be able to keep moving and use his one mobile
17 arm, or maintain movement in his legs and hips so
18 he doesn't have to end up confined to a wheelchair.
19 It would give him the chance to try new things, to
20 gain more independence before FOP has the chance to
21 take more of his body from him. It would give me a
22 peace in my heart that I haven't felt since before

1 FOP was a part of our lives. It would give Samson
2 hope for a brighter future, full of opportunities
3 to lead an independent life with less pain, and so
4 much more of his sunshine. Thank you."

5 DR. LOW WANG: Thank you.

6 Speaker number 15, please unmute and turn on
7 your webcam. Speaker number 15, please state your
8 name and any organization you are representing, for
9 the record. You have three minutes.

10 MS. NEWPORT: My name is Hope Newport,
11 family services manager at the IFOPA, and I'll be
12 reading the testimony of Suzanne McCloskey today on
13 her behalf. Please display her slide.

14 "Hello. My name is Suzanne, and my
15 17-year-old daughter Erin was born with FOP. Erin
16 was almost 3 years old when we finally got a
17 diagnosis. For almost 3 years, we struggled to get
18 answers to why she wasn't meeting her fine and
19 gross motor benchmarks. We saw multiple
20 specialists, including orthopedics, physical
21 therapy, and craniofacial.

22 "It took a lump that developed on her chest

1 that got us an appointment with genetics at Seattle
2 Children's Hospital. The diagnosis was brutal. To
3 be told there was no cure, no treatment plan, we
4 were left devastated and unsure how to navigate
5 this rare diagnosis. For Erin, her abnormal bone
6 growth concentrated on her spine, which started
7 around the age of 3 years old. By 10, many FOP
8 flare-ups signaled bone growth up and down her
9 spine in conjunction with normal growth spurts,
10 causing her spine to curve.

11 "From about age 3, she experienced horrible
12 stomach pains that no GI doctor was able to
13 diagnose. She was failing to thrive, not gaining
14 weight, and experiencing painful flare-ups that
15 affected the top of her head down to her hips.
16 Unable to eat, her energy was nearly non-existent.
17 During grade 2, she started missing substantial
18 amounts of school. When she did attend, she would
19 fall asleep in class. By the time she was 11, she
20 had an ND tube to help aid with nutrition.

21 "She caught a common virus that normally
22 doesn't require a doctor to intervene. She showed

1 symptoms on a Friday afternoon, and by Monday, she
2 was in the ICU in respiratory distress. Because of
3 the curvature of her spine caused by FOP bone
4 growth, her lungs were unable to clear the excess
5 mucus caused by the virus. She ended up with a
6 tracheostomy and stayed in-patient for 15 months.
7 She is now wheelchair-bound and 24/7 dependent on a
8 ventilator, and needs daily treatment to clear her
9 lungs. With the pandemic, in-home nursing was very
10 limited. My husband and I sold our home over a
11 year ago and moved away from the city because
12 in-home nursing was very difficult to staff. We
13 were told that the farther away from a main
14 hospital we are, the better odds it would be to
15 find nursing.

16 Erin not only had to adapt to the fast
17 changes to her body, but she also missed out on her
18 childhood. She was so sick all through elementary
19 and middle school, by the time she was well enough
20 to attend school, she should have been in high
21 school. She tried attending brick-and-mortar
22 schools, but by this time, students are on block

1 scheduling, which did not accommodate Erin's needs.
2 She tried virtual school. Because school was
3 virtual, it was more demanding and challenging
4 compared to in-person school. That mode was not
5 accommodating to her needs. We pulled her out of
6 school altogether.

7 "During her in-patient stay for respiratory
8 distress, I heard a lot about quality of life. In
9 layman's terms, that means we now make decisions
10 based on the amount of work needed compared to the
11 benefits you'll receive. Not only did she have
12 this brutal diagnosis that stripped her of her
13 childhood, now we balance expectations versus what
14 is reality.

15 "We've been through way more than we ever
16 anticipated in these last 17 years. I'm slightly
17 jealous that other patients can potentially have
18 medication to help curb FOP bone growth. It's
19 probably too late for Erin, but I would not want
20 another family to go through what we've been
21 through. To have a therapy for FOP is a step in
22 the right direction. Thank you."

1 DR. LOW WANG: Thank you.

2 Speaker number 16, please unmute and turn on
3 your webcam. Speaker number 16, please state your
4 name and any organization you are representing, for
5 the record. You have three minutes.

6 MS. NEWPORT: Hello. My name is Hope
7 Newport. I will be reading the testimony of
8 Dr. Ellen Elias today on her behalf.

9 "My name is Ellen Roy Elias. I'm a
10 professor of pediatrics and genetics at the
11 University of Colorado and the director of the
12 Special Care Clinic at Children's Hospital
13 Colorado. I have no financial disclosures.

14 "Today, I'll be speaking about several key
15 barriers that patients I serve face, which prevents
16 them from accessing routine medical care. As the
17 director of one of the largest clinics in the
18 country, where patients with medical complexity
19 come for care, I've cared for 8 patients with FOP
20 over the past 22 years, ranging in age from young
21 infants to young adults.

22 "Today, I will be talking about two key

1 issues. The first is the inability to give normal
2 childhood vaccines to children with FOP because
3 giving intramuscular shots can lead to the
4 development of FOP flare. We've developed a
5 special way to give flu shots to our patients with
6 FOP as a subcutaneous injection instead of an IM
7 shot. This is important, particularly given the
8 horrible respiratory season we witnessed this past
9 year. However, it's not possible to give most
10 childhood vaccines in this way, nor COVID vaccines,
11 as the efficacy of subQ versus IM shots has not
12 been studied. This leaves my patients enormously
13 vulnerable.

14 "People living with FOP experience bone
15 growth in all the muscles of their chest and
16 abdomen, significantly compromising their
17 respiratory health, which can make illness with
18 influenza or COVID especially dangerous.
19 Additionally, many of my patients have developed
20 feeding and nutritional problems, which can be
21 related to involvement of the jaw, the neck, and
22 the upper extremities. This makes it difficult to

1 chew and open the mouth normally or to use the arms
2 in normal fashion to bring food to the mouth. This
3 has made it quite problematic for my patients to be
4 able to eat normally in school.

5 "In other patients with different diseases,
6 I often use high-cal formulas and feeding tubes to
7 give patients good nutrition, but I cannot do that
8 with my patients with FOP due to the need to avoid
9 surgery at all costs. If there was an available
10 drug which could slow down the growth of ectopic
11 bone, patients living with FOP would have a better
12 opportunity for more normal respiratory health and
13 good nutrition. Thank you for your time and
14 attention."

15 DR. LOW WANG: Thank you.

16 Speaker number 17, please unmute and turn on
17 your webcam. Speaker number 17, please state your
18 name and any organization you're representing, for
19 the record. You have three minutes.

20 DR. ZUCKERMAN: Thank you. I'm Dr. Diana
21 Zuckerman, president of the National Center for
22 Health Research. My comment today will rely on my

1 research, expertise at Yale and Harvard, and in my
2 current position, as well as my expertise on FDA
3 policies. Our non-profit think-tank focuses on the
4 safety and effectiveness of medical products, and
5 we do not accept funding from companies that make
6 those products, so I have no conflicts of interest.

7 Today's panel is here to focus on the
8 science, and that's really hard. We've heard some
9 heartbreaking stories, and just to remind you, FDA
10 can only help patients when its decisions are
11 science-based. So what are the benefits that we
12 know about? The drug, unfortunately, did not meet
13 its primary endpoint, and then the data had
14 essentially been tortured to try to show a benefit,
15 and they did find a significant benefit. But
16 because of all the statistical manipulation, it's
17 very hard to know whether these multiple
18 comparisons really undermine the statistical
19 significance. And since this is a decision to make
20 about full approval, not accelerated approval, I'd
21 have to say that the evidence standard for the FDA
22 has not been met.

1 We know there are a lot of serious adverse
2 events. We've heard about them, so we don't need
3 to go through them. We know that a lot of patients
4 had to drop out because of adverse events,
5 sometimes serious ones. The adverse events caused
6 dose modification interruption and discontinuation
7 in a large number of patients, making it hard to
8 evaluate the data, and we know that 100 percent of
9 the patients had adverse events, and many of these
10 are known to be -- almost all of these -- side
11 effects of retinoids, including calcification of
12 ligaments and tendons, back pain, arthralgia,
13 myalgia, and rare reports of severe myositis; and
14 in addition to that, depression, psychosis, and
15 suicidal ideation, and even one patient that tried
16 to hurt themselves.

17 The risk of flare-ups is not clear, and
18 that's something that we really need to know more
19 about. So any decision that's being made about
20 this drug really needs to first clarify whether the
21 drug itself can cause flare-ups, and if so, whether
22 those flare-ups can cause more HO.

1 Then in conclusion, I just want to say that
2 I'm concerned about the lack of information about
3 the age of the patients. We know how old they
4 ranged, the age ranges, but the sponsor did not
5 tell us very much, particularly about the adult
6 patients over the age of 25. We know there are
7 quite a few of them. We know from the sponsor,
8 from page 37 of their document --

9 DR. LOW WANG: Excuse me. Could you please
10 summarize? Thank you.

11 DR. ZUCKERMAN: -- yes, sure; this is the
12 end -- that the patients who are over 25 really had
13 virtually no increase in HO, so the question is,
14 what is the age range that should be suitable for
15 this drug, both in terms of adult people over 25
16 and maybe even over 30? Are those ages too old?
17 And also concerns about children, particularly
18 under the age of 10, who might be more harmed than
19 is necessary. Thank you very much.

20 **Clarifying Questions (continued)**

21 DR. LOW WANG: Thank you.

22 The open public hearing portion of this

1 meeting has now concluded, and we will not be
2 taking further comments from the audience. I
3 wanted to express my deep appreciation to our OPH
4 speakers for sharing your experiences and insight.

5 We do have four minutes left in this
6 session, so since we have additional time, we have
7 a little bit of time to take any remaining
8 clarifying questions, so please display M1 slide 5.
9 I don't see any raised hands, so maybe I'll start
10 with a question.

11 Just going back, this may be a question for
12 Dr. Marino at Ipsen. For Study 301, I don't think
13 it's been made clear what patients were eligible to
14 restart treatment after the interruption. So
15 really the question is, were the patients who
16 restarted treatment comparable to those who didn't
17 restart treatment, and could the treatment effect
18 have been affected by differences in those
19 populations?

20 MR. SANSONE: [Inaudible] -- thank you.

21 DR. MARINO: The patients who were eligible
22 to restart, because we were still under the partial

1 clinical hold, was anybody who was over the age of
2 14. Because the time of the restart was really in
3 March of when the COVID-19 pandemic really was
4 getting going around the world, we had some
5 temporary measures in place to ensure the safety of
6 patients. They had to be able to comply with
7 minimum safety assessments and be willing to have
8 those assessments done in order to restart
9 treatment, so it was those patients over 14 who
10 were willing to restart. What I can tell you is
11 that in Study 301, of the patients who were
12 eligible to restart, 90 percent of those patients
13 did.

14 DR. LOW WANG: And that population, were
15 those characteristics looked at it compared to
16 those who didn't restart?

17 DR. MARINO: We didn't look at them
18 specifically, but they were just those patients who
19 were older than 14. That was the only difference,
20 was the older population.

21 DR. LOW WANG: Okay. Thank you.

22 It looks like there's a question from

1 Dr. Coffey, and go ahead, Dr. Coffey.

2 DR. COFFEY: The one question I have -- and
3 this is maybe for both groups -- is there was the
4 FDA slide that talked about the bias with the
5 square-root transformation, and I think there was a
6 great slide that showed if you had the same change
7 over 12 months, but you measured it at 6 months
8 versus 12 months, how you got a different value
9 based on the transformation.

10 So with that in mind, one of the questions
11 that I have is if you -- and the problem with that
12 was because you did it every 6 months in 301, but
13 every 12 months in NHS, was there an analysis done
14 where you assumed -- kind of the same way the FDA
15 example did -- that the 12 month was basically
16 split into two chunks of 6 months?

17 So if you had an increase of HO by 400 at
18 12 months, and you split that into 200 and 200, and
19 do an analysis that way with the square-root
20 transformation to see whether that also gave you
21 significant results, which given some of the
22 concerns about the post hoc analyses -- and that

1 would still be a post hoc analyses, but it would at
2 least be close to the intent of the primary
3 analysis. Was that done, and if so, what were the
4 results of that? And if not, why?

5 DR. MARINO: I could say that, yes, we
6 didn't do the splitting. What we did was the
7 collapsing, if you will, where we collapsed the
8 6 months into 12 months. And I'll bring that data
9 up and ask my statistical colleague, Dr. Strahs, to
10 walk us through that analysis.

11 DR. STRAHS: As Dr. Marino said, we did the
12 analysis in which we took for the Study 301
13 patients all of the HO that was observed within the
14 first 12 months, so from month 0 to 6, and then we
15 added that to that observed month 6 to 12, and that
16 resulted in a 91 percent chance of efficacy, and
17 with transforming back to the standard scale, a
18 36 percent chance of efficacy, which was very
19 similar to the 39 percent reduction in new HO that
20 came when we did not use the square-root
21 transformation, but used the same collapsed data
22 set.

1 DR. COFFEY: So just to clarify the top one,
2 the square-root transformation collapse over the
3 12-month interval, the only difference in that and
4 your original prespecified analysis is that you
5 just combined the 6 month and the 12 month as if
6 you just had one 12 month in the treatment group,
7 and then did the comparison. Everything else is
8 exactly the same?

9 DR. STRAHS: Correct. It was for every
10 patient, the same volume of HO, the same
11 everything, except we collapsed the HO from
12 month 0 to 6 and 6 to 12 into a pseudo month-12
13 assessment.

14 DR. COFFEY: Thank you.

15 DR. LOW WANG: Next is Dr. Greevy.

16 DR. GREEVY Yes. Can you bring up that last
17 slide? Because I think there is an important piece
18 of that square-root transformation being
19 misunderstood.

20 Part of the issue with the square-root
21 transformation causing problems is that timing
22 issue; however, this sensitivity analysis addresses

1 that part of it. But there's another piece of the
2 square-root transformation that's even more
3 important, and that is, all those negative values
4 are being set to zero to apply that square-root
5 transformation.

6 Now, if you think about really small total
7 body HO values, there's a measurement being taken
8 at baseline and a measurement being taken at
9 follow-up, and both of those measurements are taken
10 with measurement error. So if you're thinking
11 about really small changes, some of those are going
12 to be negative just due to measurement error. So
13 that delta, that changed score, has an error
14 distribution to it. It has a measurement error
15 distribution to it.

16 When you truncate to zero, you're changing
17 that measurement error distribution and, in fact,
18 you're inducing a positive bias in that measurement
19 error distribution such that if you're trying to
20 estimate the mean, that mean would be positively
21 biased.

22 Now, if the treatment group has more people

1 with small values than the control group, you're
2 then inducing a positive bias onto the mean of the
3 treatment group so that square-root transformation,
4 and in particular the truncation of those negative
5 values to zero, is inducing a positive bias, and a
6 differential positive bias if there's a difference
7 in the prevalence of small values between the two
8 groups. So in my mind, I'm really throwing out all
9 the square-root transformation analyses because I
10 know those are positively biased by design. Thank
11 you.

12 **Questions to the Committee and Discussion**

13 DR. LOW WANG: Thank you.

14 I don't see any more hands raised, so
15 because it's 3:04, I think it's now time to move on
16 to the next part of our agenda.

17 The committee will now turn its attention to
18 address the task at hand, the careful consideration
19 of the data before the committee, as well as the
20 public comments. We will now proceed with the
21 questions to the committee and panel discussions.
22 I'd like to remind the public observers that while

1 this meeting is open for public observation, public
2 attendees may not participate, except at the
3 specific request of the panel.

4 Let me give you an outline of the time
5 schedule. So it's around 3:04 for right now.
6 There are two discussion questions before the two
7 voting questions. So we'll spend a little bit less
8 than a half hour on each of the discussion
9 questions, and if that works out, we'll have a
10 10-minute break from 4 to 4:10, and then we'll have
11 time between 4:10 and 5:30 to go over the voting
12 questions, and then ask everyone on the panel for
13 explanation of the vote.

14 So if that meets with your approval, let me
15 read the first question. After I read each
16 question, we'll pause for any questions or comments
17 about the wording of the question.

18 Question number 1 is for discussion.
19 Discuss the evidence of effectiveness for
20 palovarotene in Study 301. In your discussion,
21 consider the following: A, the use of post hoc
22 analyses to support a demonstration of efficacy;

1 and B, the interpretability of the results using
2 the external control.

3 I'll open the floor now. Actually, any
4 specific questions about the wording of the first
5 question?

6 (No response.)

7 DR. LOW WANG: If there are no questions or
8 comments concerning the wording, we'll open the
9 question to discussion. Please raise your hand if
10 you would like to make a comment.

11 Let's start with Dr. Greevy.

12 DR. GREEVY: I forgot to restate my name
13 last time. I'm sorry about that. Robert Greevy,
14 Vanderbilt University. The one thing I'll state
15 just related to that last comment is I know the
16 prespecified analysis is a flawed method. That
17 square-root transformation, in particular the
18 truncating at zero in order to apply that
19 square-root transformation, that was flawed, and
20 that happens. And in my career I've prespecified
21 plenty of analyses that were wrong. Just because I
22 prespecified it doesn't make it better.

1 So here, when I'm thinking about these
2 post hoc analyses, I'm personally thinking of them
3 as analyses, a whole bunch of analyses, that were
4 trying to be correct analyses as opposed to the
5 prespecified analysis, which I know it's incorrect.

6 DR. HSIAO: Thank you.

7 Next, Dr. Nason?

8 DR. NASON: Thanks. This is Martha Nason.
9 I actually would say something similar. My gut
10 reaction about post hoc or ad hoc analysis is
11 always a negative one as far as it being the
12 catalyst for a decision, or really the main
13 evidence for a decision. In this case, though, I
14 think everyone has shown, to my satisfaction,
15 including the FDA's agreement, that there were
16 problems with the prespecified, and that doesn't
17 leave any choice, really, other than post hoc. So
18 I do agree, it's reasonable in this case. It's
19 just a question of which post hoc and how much
20 flexibility is allowed there.

21 I agree very much with the points that have
22 been made. I think the one that I initially feel

1 the most comfortable with is to adjust for the time
2 intervals but still keep the square root. Of
3 course, the point that was just made about those
4 negative values is a real one, as well. I think in
5 this case, bottom line, I do think it's reasonable,
6 although I normally would have said no to that.

7 Thanks.

8 DR. LOW WANG: Great. Thank you.

9 Dr. Wang?

10 DR. WANG: Thanks a lot. My comments
11 actually really are quite similar, but it's
12 probably useful to state it for the record, which
13 is that, as the last advisor noted, I normally am
14 very suspicious of post hoc analyses, but I do
15 think of it a little bit differently here. As
16 Dr. Greevy noted, the prespecified analyses
17 probably never should have been prespecified
18 because there were flaws that are obvious, and
19 maybe obvious in retrospect. It would have been,
20 obviously, more useful if they were noted
21 previously.

22 So it becomes for me almost a philosophical

1 question. When you have a set of prespecified
2 analyses that you can't really trust, do you really
3 throw out the rest of the data? And that's why in
4 this context, I am more willing to consider the
5 post hoc analyses and consider whether there's a
6 consistency across all the various post hoc
7 analyses that would lead me to support the
8 conclusion of efficacy and also place that in the
9 context of a disease that is devastating and rare,
10 and for which the generation of further prospective
11 data would likely be very, very difficult. That's
12 my comment.

13 DR. LOW WANG: Thank you.

14 Dr. Coffey?

15 DR. COFFEY: Chris Coffey, Iowa. I guess a
16 couple of thoughts. One, I agree with, in general,
17 the square-root transformation issue that
18 Dr. Greevy raised, but I believe, if I remember,
19 there were more negative values in the treatment
20 group than in the NHS group, which that bias would
21 have led to a higher average in the treatment
22 group, which would have impacted it more and made

1 it harder to show an effect. So actually, the bias
2 would be going against -- I'm basically saying that
3 the analysis that they showed with the 12 months,
4 even with the square-root transformation being
5 significant, would be overcoming a bias that should
6 be making it harder to show. I'm just saying that
7 to say that I think that makes it, in some ways,
8 even more convincing.

9 I do struggle a bit -- I mean, the one case,
10 I don't think these two -- the A and B are not
11 independent questions. And one reason, the whole
12 reason, the post hoc analyses were needed in the
13 first place was because of the use of the external
14 control and, in essence, the controls not following
15 the same timing schedule as the treatment group.
16 Even with all the flaws of the square-root
17 transformation, had this been done as a randomized
18 control, I have a feeling that even that analysis
19 would have showed benefit based on the results
20 we've seen today.

21 So I feel like, in some ways, our challenge
22 is not just a post hoc analyses, and it's not just

1 the external control, but in some ways those two
2 are confounded with each other, and that part of
3 the challenge is with this. I think although the
4 evidence is strong, the point that was raised
5 about -- I mean, technically, it's hard to claim
6 that the criteria to use the biomarker, which would
7 require compelling evidence, it's kind of hard for
8 me even though I agree with the rationale used for
9 the post hoc analysis.

10 Anything done after unblinding is very
11 difficult. All of those p-values that were
12 reported were compared to a 0.05 threshold. I
13 don't have any idea what the type 1 error of this
14 study is, and I'm not sure anyone does. I'm not
15 even sure how many post hoc analyses were
16 completely done.

17 So it is a bit of a conundrum, and just to
18 say I struggle a little bit with that. Even though
19 the post hoc analyses are consistently supported, I
20 do have to wonder were others done that maybe
21 weren't supported and we didn't see. It's really
22 hard to know what the threshold of what we're

1 looking at is, and I think we do have to keep that
2 in mind as we ponder this. Thank you.

3 DR. LOW WANG: I think those are incredibly
4 important points, so before I make my comments, I'd
5 like to go to Dr. Gerhard.

6 DR. GERHARD: Well, at this point, I don't
7 think what I have to say is particularly new. I'm
8 also generally quite skeptical of interpreting
9 post hoc analyses, particularly in the context
10 where there is a really devastating disease for
11 which there isn't a treatment option. I think one
12 has to be extremely careful to not approve
13 treatment where there isn't sufficient evidence for
14 efficacy because it actually doesn't help patients
15 to have a treatment that isn't efficacious
16 approved, even if there isn't an alternative.

17 Here, I am actually convinced that the
18 evidence for effectiveness is strong. I think as
19 discussed, the prespecified analyses I think was
20 flawed. I'm really primarily convinced by the
21 consistency through the various different post hoc
22 analyses. They seem to indicate to me, and looking

1 at the raw data displayed, that there really is
2 sufficient evidence there.

3 In terms of the external control, I feel
4 quite good. Again, this is obviously a very
5 unusual situation, where the trial in totality has
6 a substantial proportion of the really worldwide
7 patient population included, and the external
8 control, compared to other examples that I've seen
9 over the years, is quite well characterized,
10 particularly when it comes, really, to the primary
11 effectiveness measure.

12 The time scale is a little bit off, but that
13 can be corrected, and the covariates that have been
14 adjusted in various ways, in addition to take care
15 of the, I would say, somewhat minor imbalances but
16 real imbalances, to me were quite convincing.
17 Again, this is not a gold standard trial, but it's
18 probably also not what you can expect in a
19 condition like this. So I clearly see evidence for
20 effectiveness here.

21 DR. LOW WANG: Thank you.

22 Next, Dr. Blaha?

1 DR. BLAHA: Yes. Hi. Thanks. Mike Blaha,
2 Hopkins. I think I'm going to say many of the same
3 things that others have said, perhaps with a slight
4 nuance and maybe slightly a more negative tone.
5 But I'm extremely skeptical of post hoc analyses
6 after unblinding, and I think, unfortunately, this
7 whole discussion today, as many of us probably
8 would agree, goes against nearly all the principles
9 that we have of evaluating the trials, many of the
10 trials that we're used to looking at and the
11 development programs we're used to evaluating. So
12 it's very tricky.

13 I agree that A and B here on the screen both
14 raise a lot of concerns. I also have concerns
15 about C, about the surrogate outcome, about the
16 imaging measure. I have some concerns about that,
17 too. So I agree with Dr. Wang that it comes down
18 to really a philosophical question then, really,
19 that's driven for me only by the rareness of the
20 disease; otherwise, this wouldn't be much of a
21 discussion. So I'm weighing the extremely
22 challenging use of post hoc/post unblinding data

1 analysis against that dire need for patients.

2 Thank you.

3 DR. LOW WANG: Thank you.

4 I think next is Dr. Weber.

5 Oh, actually I'm sorry. Next is Dr. Wang.

6 DR. WANG: Thanks a lot. I just had an
7 additional comment in response to some of the
8 thoughtful comments to my colleagues. First, to
9 Dr. Coffey's comment, I completely agree. One of
10 my normal concerns of post hoc analyses is type 1
11 error, and we don't get to see the analyses that
12 were done that might not have been so supportive.

13 In that sense, I am a little bit reassured
14 by the fact that the FDA did their own set of
15 independent analyses, and my read of the FDA's
16 statistician's conclusion was very similar to the
17 conclusion from the sponsor in that, to the extent
18 that you can make the conclusion for post hoc
19 analyses, the weight of the evidence seemed to
20 favor efficacy.

21 The second comment I'll make is just my
22 impression. Sometimes a picture is worth a

1 thousand words, and there was a slide shown in the
2 last session that was plotting the number of flares
3 by HO progression. It was shown for a different
4 purpose, but in looking at that slide, I was really
5 struck by the fact that the scale on the axis for
6 HO progression was completely different for the
7 treatment arm compared to the natural history
8 study. The treatment arm, the scale for that, the
9 access was much lower. So I really did get the
10 sense, even just stepping back and looking at the
11 forest, that there was less progression in the
12 treated patients. I know that's more of a
13 descriptive impression than a statistical one, but,
14 again, it supported my impression across all the
15 various post hoc analyses that there was a
16 difference.

17 DR. LOW WANG: Thank you.

18 Next, Dr. Weber?

19 DR. WEBER: This is Tom Weber. I share many
20 of the concerns that folks have spoken about the
21 post hoc analyses and the external control. Having
22 said that, I'm reassured, I think as Dr. Wang just

1 mentioned, about the independent analyses,
2 including the landmark analysis by the FDA, which
3 showed basically similar results, so that's
4 reassuring.

5 Then the other thing I was going to mention
6 is, again, you've got an external [indiscernible]
7 disease, which advances. I know the FDA raised in
8 discussions initially with the sponsor about doing
9 a randomized trial, a placebo-controlled, but from
10 an equipoise standpoint, I think that would be very
11 difficult to achieve. So I think with all of the
12 issues we have in this progressive disease, I think
13 the analyses that we've seen so far seem to be
14 justified.

15 DR. LOW WANG: Thank you.

16 Next, Dr. Applegate.

17 DR. APPLGATE: Thanks. This is just a
18 really quick point that someone else --

19 DR. LOW WANG: Would you please state your
20 name? Excuse me.

21 DR. APPLGATE: Oh, yes. Sorry. Kimberly
22 Applegate. Just a quick point that someone else

1 really already made; that I'm in a world of mostly
2 observational study in imaging, and, really,
3 someone said a picture is worth a thousand words.
4 I think when we're looking at the evidence, which
5 is based on CT volumes, I think that's important.
6 I've done a lot of research in these sorts of
7 studies. So I just want to say, to counterbalance
8 some of the negative comments, I've lived in a
9 world where we look at Fryback and Thornbury
10 hierarchy of evidence rather than
11 randomized-controlled trials for our study. So
12 I'll just leave it at that. Thank you.

13 DR. LOW WANG: Thank you.

14 I don't see any other raised hands, so I'll
15 go ahead and make a few comments as well.

16 I really appreciate what everyone has said.
17 My personal comments mostly mirror what has been
18 said. The prespecified analysis method, using the
19 Bayesian method with square-root transformation,
20 didn't seem appropriate for the visit schedule and
21 for capturing that reduction in the new heterotopic
22 ossification volume. I was much more reassured by

1 the consistency of the sensitivity analyses and the
2 various methods accounting for confounding.

3 I think the post hoc analyses were performed
4 after unblinding, so besides being problematic in
5 and of themselves, the fact that it's unblinded is
6 problematic. But I think that in this situation,
7 there were these problems with the prespecified
8 analysis and it's a very rare condition. So I'm
9 less concerned, especially because the FDA analyses
10 were consistent.

11 The external control population from the
12 natural history study did appear to be appropriate,
13 even despite those baseline differences, including
14 the older age. The lower rate of flare-ups in the
15 natural history study did appear to be consistent
16 with underreporting, as was presented. So having
17 said that, I realize there's unknown confounding,
18 potential for bias in both of those instances.

19 Does anyone have any further comments? I
20 think there may be a hand raised by Dr. Greevy?

21 DR. GREEVY: Yes. Thank you. Robert
22 Greevy, Vanderbilt University. One thing we

1 haven't talked too much about yet is effect size
2 and those effect size estimates and confidence
3 intervals. Most of the analyses are estimating an
4 absolute effect around 10,000 cubic millimeters
5 less in the treated arm, which is about a
6 50 percent difference over the course of a given
7 time period. Those confidence intervals are fairly
8 wide, though, so that can be as big as 20,000 or as
9 small as 500. So I am interested in some of the
10 clinical experts sort of weighing in on that sense
11 of a range of effect sizes.

12 The one thing I'll note, if we go all the
13 way back to Study 201 and look at the effect size
14 that was taken between the treated arm and the
15 placebo arm, back when it was randomized data, that
16 effect size is still around about 10,000 cubic
17 millimeters. So I looked back at that because the
18 one place where there was a randomized trial done
19 at one point in time, a very big confidence
20 interval there because the trial didn't get very
21 far. But at that point in time, the point estimate
22 was pretty similar to the post hoc analyses that

1 we're seeing now.

2 DR. LOW WANG: Thank you. Cecilia Low Wang.
3 I did want to follow up on that comment because the
4 measurement error of the whole-body CT was
5 10,000 cubic millimeters, so I think that's
6 something to keep in mind when thinking about the
7 effect size. We're just about within that
8 measurement error, so I'd love to hear more
9 comments about that.

10 So the next person with their hand raised is
11 Dr. Coffey.

12 DR. COFFEY: I guess one additional thing
13 that's been bothering me a little in trying to
14 interpret this, and I would also be curious about
15 getting other's thoughts on, particularly the
16 statisticians on the panel, is related to the
17 external control in the treatment population, about
18 over a third of the participants in the 301 study
19 are also in the natural history study.

20 So in some ways, it's the same people that
21 are at different points in the progression of the
22 disease. I worry a little bit that no matter what

1 kind of fancy statistical tools are used to try to
2 come up with propensity score and matching, or
3 other things, it's going to be impossible to
4 completely eliminate unknown confounders like
5 differences and just the progression of disease
6 over time.

7 So in some ways, I feel like one of the
8 things we haven't heard much about today, other
9 than sensitivity analysis in that subset, was how
10 that might impact the findings, either positively
11 or negatively. I would also be curious just to get
12 thoughts from others on how big of an impact that
13 might have on interpreting these results.

14 DR. LOW WANG: Thank you.

15 Dr. Yanovski?

16 DR. YANOVSKI: Hi. Jack Yanovski. I want
17 to make one comment about the use of the post hoc
18 analyses, which has already been made, which is
19 there's no adjustment for the multiple comparisons
20 undoubtedly done, and we just have no idea how many
21 there were; and therefore 0.03 and 0.04 p-values
22 are suspect, at a minimum.

1 The second is really that practically
2 everything wound up being wrong about the way these
3 trials were conducted. They didn't standardize
4 their procedures between what was supposed to be
5 the control group and the intervention, and then
6 misspecified a statistical analysis, which we're
7 now trying to jury-rig a way around. And I agree,
8 the first analysis was flawed, and no doubt about
9 it, but now we're stuck with the fact that after
10 study data had been locked, the analyses were done.

11 Then despite the FDA's recommendation of
12 getting some placebo-controlled data, that was not
13 what was followed. And even in rare disease
14 situations, which is something that I'm fairly
15 familiar with, there are possible ways of getting
16 placebo-controlled data even in a condition like
17 this, where as long as there's equipoise, one might
18 take the same group, even on open-label therapy,
19 and then randomly assign them either to remain on
20 therapy or be placed on a placebo for a certain
21 number of months. That would have been much better
22 than this forced discontinuation, which was because

1 of adverse events that were easily predicted to
2 occur in kids who are still growing with open
3 epiphyses.

4 So I'm very concerned about the use of the
5 results from the external control for multiple
6 reasons, and I think the study designs were very
7 much not ideal, so I'll just leave it at that.
8 Thank you.

9 DR. LOW WANG: Thank you.

10 So are there any other comments? Let me see
11 if I can see. Yes.

12 Dr. Nason, please go ahead.

13 DR. NASON: Thanks. This will be a little
14 repetitive, but I just wanted to jump in because
15 when I spoke at first, I was really focused on just
16 the adequacy of the post hoc analysis, so I really
17 just wanted to lend my support to some of the other
18 things that have been said about the issues with
19 the external control, I suppose, and I have much
20 the same reaction to that, especially worrying
21 about the people who were in both and were dropping
22 out of the natural history in order to be in the

1 intervention arm or the intervention study.

2 So I just wanted to make sure my comment
3 earlier had really been focused on the post hoc
4 analysis and whether that was something that was
5 appropriate here. And I do believe it is, but
6 having said that, I don't want that to be
7 misconstrued as my not having misgivings about this
8 or being worried about the actual strength of
9 efficacy evidence.

10 DR. LOW WANG: Thank you.

11 Are there any other comments about part B on
12 question 1, so the interpretability of the results
13 using that external control?

14 Dr. Gerhard?

15 DR. GERHARD: This is maybe more a
16 clarification question to the sponsor because it
17 came up in some of the comments. In my
18 understanding, the propensity score adjusted
19 analyses -- and I'm not sure whether the kind of
20 standard multivariate adjusted analyses -- and in
21 my understanding, they included the patients that
22 switched from the natural history to the 301 study,

1 but I could have been wrong. That's how I read the
2 slides, which, again, gave me a little reassurance
3 because their analyses did include everybody,
4 including the switchers. There are some analyses
5 that are limited to only those that didn't switch.
6 But it would be nice to have that confirmed.

7 DR. LOW WANG: Alright. Thank you, and the
8 last call for additional comments before I
9 summarize.

10 I think I see two hands up, so Dr. Greevy.

11 DR. GREEVY: Robert Greevy, Vanderbilt. One
12 comment that I did want to respond to was the
13 question of multiple comparisons has come up a
14 couple times. I just want to make sure we're
15 thinking about that carefully.

16 The way we think about multiple comparisons
17 is if you're analyzing a bunch of independent or
18 modestly correlated outcomes, then your type 1
19 error is going up because you're analyzing all
20 these different things. Here, we're analyzing one
21 outcome a bunch of different ways, so that thinking
22 about multiple comparisons is different.

1 What we're worried about is cherry-picking
2 in the sense of I think what I'm hearing is what if
3 there was a whole bunch of analyses done, and only
4 the ones that looked good were chosen? So the
5 concern is cherry-picking more than multiple
6 comparisons.

7 I do think the many different analyses,
8 especially the ones done by the FDA, were chosen
9 specifically to address concerns and weaknesses of
10 the other analyses, so they did seem pretty well
11 chosen to me in that regard. But one thing I do
12 want to say is I'm not too worried about the 0.05
13 p-value as much as I think about those confidence
14 intervals. That's why I said, in my mind, the
15 analyses are consistent in the sense that those
16 point estimates are kind of hovering around that
17 10,000 cubic millimeters effect. The confidence
18 intervals are pretty big. It's 10,000 plus or
19 minus 10,000.

20 The way that I understand it, we're not
21 ruling out clinically trivial effects. We might be
22 ruling out zero, and if you're skeptical of those

1 confidence intervals and make them a little bit
2 bigger in your head, maybe you're not ruling out
3 zero; but whether or not you're ruling out zero in
4 your mind, we're certainly not ruling out really
5 small effects.

6 So that's why I'm interested in if anybody
7 has a clinical take on that of whether the wide
8 confidence interval is going to impact your
9 decision.

10 DR. LOW WANG: Would anyone on the panel
11 like to comment on that question that Dr. Greevy
12 just raised?

13 Go ahead, Dr. Blaha.

14 DR. BLAHA: Yes. I'm Mike Blaha. I don't
15 have clinical experience to comment on this disease
16 state. In that discussion about confidence
17 intervals, I am going to keep in my mind that this
18 is an imaging surrogate of what matters to
19 patients. Now granted, it's part and parcel of the
20 disease state, but it is a wide confidence interval
21 on a surrogate for which we hope makes clinical
22 impact. So that's not really an answer, but it's

1 part of my interpretation as well as I think about
2 things. Thank you.

3 DR. LOW WANG: Thank you.

4 I'd like to invite Ipsen to address some of
5 these questions.

6 DR. STRAHS: Andrew Strahs, statistician at
7 Ipsen. I can address the question earlier about
8 the propensity scores. All of the propensity score
9 analyses that we've talked about, including the
10 matched pairs and the weighted analyses, only
11 include the subjects who participated in exactly
12 one study. They do not include the 39 patients who
13 transferred from the natural history study to
14 Study 301.

15 DR. LOW WANG: Okay. Thank you.

16 I see a couple more people with comments, so
17 Dr. Wang?

18 DR. WANG: Just my quick take on the effect
19 size question, which I think is an important one,
20 but as Dr. Blaha mentioned, we're already dealing
21 with the surrogate. Secondly, I do think the
22 clinical point that it's not just the amount of HO

1 but it's where it's located, and in certain joints
2 or areas, the significance is a lot greater. In
3 the end, I don't know that I can use the size of
4 the effect in an absolute sense. It doesn't sway
5 me too much one way or the other. I agree that it
6 may be that we're only dealing with a drug that has
7 a modest effect on HO, but given the breadth of the
8 confidence interval, it could also be over 10,000,
9 in which case, by all measures, that seems to be a
10 significant amount of HO included in the upper part
11 of that confidence interval. So overall, it
12 doesn't sway me positively or negatively.

13 DR. LOW WANG: Okay. Terrific.

14 Last comment by Dr. Weber.

15 DR. WEBER: This is Tom Weber, and just a
16 couple comments. I think in regards to the
17 clinical significance, I think the sponsor had
18 mentioned about clementine versus grape size, and
19 where it's located can have an effect. So
20 understanding the width of the confidence intervals
21 and how big the effect is, I think 10,000 could be
22 an effect.

1 The other comment about the surrogate, I
2 think Dr. Blaha and others have raised the question
3 about a surrogate and whether or not how important
4 that is in terms of trying to understand. I guess
5 going back to the natural history study, it's
6 published that there's a strong correlation with
7 the CAJIS scores, as well as with the other
8 functional outcomes. So it's not perfect in its
9 correlation, but I think that it needs to be stated
10 as well.

11 DR. LOW WANG: Great. Thank you.

12 Let me summarize if there are no other
13 questions or comments. Oh wait; there's one other
14 comment.

15 Ipsen, go ahead. Is this to address some of
16 the concerns raised?

17 DR. STRAHS: It is. I just wanted to
18 clarify that we have been very transparent about
19 the analyses done without the square-root
20 transformation. We have shared essentially all of
21 the analyses that we have done, and subsequently
22 FDA produced these same analyses themselves. So I

1 want to assure the committee that this was not a
2 case of us having to work hard to find evidence of
3 efficacy. Essentially, we passed the futility
4 boundary unblinded, and we saw more or less the
5 waterfall plot that we showed in the presentation.
6 Thank you.

7 DR. LOW WANG: Great. Thank you.

8 So let me summarize. There are a number of
9 different issues we are considering here, so
10 please, if you strongly disagree with the summary,
11 let me know. I think this was a really active
12 discussion regarding the evidence for effectiveness
13 for palovarotene in Study 301.

14 Regarding the use of post hoc analyses to
15 support a demonstration of efficacy, what I heard
16 is that panel members felt that the prespecified
17 analyses were flawed and willing to consider the
18 post hoc analyses, especially with the different
19 frequency of visits between Study 301 and the
20 natural history study, as well as the consistency
21 of findings from the analyses by the FDA.

22 However, the fact that the post hoc analyses

1 were performed and that we're kind of relying on
2 them, including after unblinding, is problematic.
3 So there are questions about whether the p-value of
4 0.05 was appropriate, especially with multiple
5 comparisons; were the data cherry-picked; the large
6 confidence interval; the effect size; and the fact
7 that this is a surrogate endpoint.

8 In terms of the interpretability of the
9 results using the external control or the natural
10 history study, what I heard is that the panel
11 generally felt that this seemed to be well
12 characterized and appropriate, especially because
13 of the rareness of FOP, but there was concern
14 expressed about subjects enrolled in multiple
15 studies and the lack of a placebo-controlled study
16 that was recommended by the FDA.

17 Does anyone have strong comments about that
18 summary?

19 Go ahead, Dr. Nason.

20 DR. NASON: Thanks. I just want to add a
21 tiny bit more about the external control. I think
22 the one thing that you didn't say is that I still

1 do have concerns about the potential differences
2 and biases amongst people who would have chosen to
3 be in the natural history, but for whatever reason
4 of their own have chosen to move on to the
5 interventional trial versus those who would not.
6 That's inevitable in an observational study, I
7 suppose, but would have been not a problem if there
8 was some randomization to placebo or something
9 similar. So I just thought that was one thing that
10 still does concern me about the external control.

11 DR. LOW WANG: Thank you. That's fair.

12 So now let's move on to question 2, which is
13 also a discussion question. Question number 2,
14 first I'll read the question, and then asked
15 whether or not there are any issues or questions
16 about the wording, and then I'll open up the floor
17 for discussion.

18 Question number 2 is discuss your view of
19 the flare-up events in subjects treated with the
20 proposed palovarotene dosing regimen and the
21 relevance to benefit-risk considerations. Also
22 comment on whether you have concerns about other

1 safety issues included in the meeting materials and
2 slide presentations, or discussed today.

3 Any questions or issues about the wording of
4 this question?

5 (No response.)

6 DR. LOW WANG: Okay. I don't see that there
7 are any issues with that, so now we'll open the
8 question to discussion. Please raise your hand if
9 you would like to comment.

10 Go ahead, Dr. Blaha.

11 DR. BLAHA: Yes. Hi. Mike Blaha. This is
12 a difficult one. I think there's almost a separate
13 question, is do we believe that there are more
14 flare-ups, I guess, in the active treatment group
15 because of the notion that maybe they're
16 undercounted or underappreciated in the natural
17 history group, but it does give me some concern.

18 Again, I'm coming back to this idea of a
19 surrogate endpoint. The things that matter to
20 patients the most, it seems like in this disease
21 data, is of course progression of the disease, but
22 also symptomatic episodes. Is it plausible that

1 you might have more, at least in the short-term,
2 symptomatic flare-ups in the treatment group? I'm
3 not sure, but it's something I think I at least
4 need to be thinking about as I think about what
5 matters to patients in this disease.

6 This is tricky. It's tricky. The reason
7 why we need to use a surrogate here is because in
8 the time frame of this trial, with the amount of
9 patients we have, we can't appreciate symptomatic
10 benefit, I guess, on any of the measures that were
11 listed in the exploratory outcomes because,
12 presumably, in the couple years of a study, you
13 can't see any difference.

14 This is a drug that you'll need to take for
15 life to see a benefit, so this possibility of an
16 increased flare-up did catch my attention, although
17 I also appreciate that there might be undercounting
18 in the natural history group. But anyway, as I
19 think of what matters to patients, I think I do
20 have to take this into account.

21 DR. LOW WANG: Great. Thank you.

22 Next, Dr. Applegate.

1 DR. APPLGATE: I just wanted to agree with
2 what was said and also to restate a concern that I
3 mentioned earlier about puberty, and perhaps
4 there's some information embedded in what's already
5 been collected about the effect of the hormones in
6 both the girls and the boys. How that relates to
7 the bone deposition, heterotopic bone, I don't
8 know.

9 Then further, I don't know if it's
10 appropriate to ask if the subjects taking this
11 medication can be followed more closely because of
12 concerns about safety that have been raised by the
13 panelists and by the FDA. That's a question for
14 you. Thank you.

15 DR. LOW WANG: Thank you.

16 Dr. Weber?

17 DR. WEBER: This is Tom Weber. In regards
18 to the flare-up, I guess what I'm reassured from
19 the data as presented by the FDA, I believe, is
20 that there was no correlation between the number of
21 flare-ups and the progression of HO. In listening
22 to the public comments today, what really struck me

1 was fear and actual progression of the disease, and
2 that really is where the rubber meets the road.

3 I mean, again, symptomatic and flare-up
4 events are important, but what came through was
5 that. Again, the lack, at least based on the data
6 analysis and the flaws as we've talked about as
7 they are, it was reassuring to see not a
8 correlation between the flare-ups and progression
9 of the HO.

10 DR. LOW WANG: Thank you.

11 Next, Dr. Wang.

12 DR. WANG: Yes. Related to the last
13 comment, I try to distinguish in my head --

14 DR. LOW WANG: Excuse me. Could you state
15 your name?

16 DR. WANG: Oh, sorry. Thomas Wang. Related
17 to the issue of surrogate endpoints, I try to make
18 the distinction in my head between surrogate
19 endpoints that are just markers of morbidity and
20 mortality versus surrogate endpoints that are
21 considered part and parcel of the biology of
22 progression of a disease and really an etiologic

1 factor. In that sense, in cardiovascular disease,
2 for instance, we accept LDL as a surrogate endpoint
3 for approval because of the convincing evidence,
4 the overwhelming evidence that LDL is part of the
5 progression of ischemic cardiovascular disease.

6 So in this case, if we can accept that
7 heterotopic ossification is the principal mechanism
8 by which this disease progresses, it makes me more
9 comfortable with this surrogate endpoint and more
10 willing to weight this as opposed to against some
11 of our concerns about some of the other safety
12 signals that we may or may not be seeing.

13 DR. LOW WANG: Great. Thank you.

14 Dr. Greevy?

15 DR. GREEVY: Robert Greevy, Vanderbilt.

16 Dr. Strahs will have to correct me if what I'm
17 saying is wrong. But if I understood the Bayesian
18 model correctly, it's jointly modeling flare-ups
19 and HO, and if the model's allowing those to be
20 correlated, then the HO estimates are effectively
21 getting penalized by a greater prevalence of
22 flare-ups in the treatment arm.

1 Table 4 in those supplemental materials that
2 we were sent is the one that addresses the time
3 interval question about the square root, where
4 we're saying if we just use 12 months for both,
5 what do we get? A subtle thing you get out of that
6 table is you get the Bayesian analysis without the
7 square-root transformation. So I've been thinking
8 of that as sort of the flare-up penalized analysis.
9 It's the analysis that's penalizing for those
10 flare-ups, and instead of about a 50 percent
11 reduction, we're seeing about a 60 percent
12 reduction if you're assuming, well, flare-ups
13 should be associated with more H0, so I'm going to
14 effectively shift my estimate a little bit to
15 account for that. Thanks.

16 DR. LOW WANG: Thank you.

17 Dr. Yanovski?

18 DR. YANOVSKI: Yes. I actually want to
19 raise the possibility that some of what was termed
20 flare-ups were actually directly related to the
21 mechanism of the medication; that we know that it
22 can cause adverse events, myositis, et cetera. I

1 wonder if, in fact, there was some extra treatment
2 in the treatment group applied because of actual
3 retinoic acid receptor-related issues as opposed to
4 typical flares, and in that case, increased flares
5 are simply a measure of the fact that you're using
6 medicine that has effects. So for that, I'm not
7 concerned nearly as much by the number of flare-up
8 events that were observed because I suspect some of
9 them were not going to be as much disease-causing
10 as other etiologic causes of flare.

11 I also think the other safety issues that we
12 obviously have to think about, the one that is
13 uniquely pediatric in nature, is the one I'm most
14 concerned about, which is the premature epiphyseal
15 closure. Now, such individuals that have this
16 terrible disease have a lot more problems to worry
17 about than exactly how tall they get to be. So
18 it's a relatively minor concern once the children
19 are of sufficient size, and that's why the youngest
20 kids certainly cannot, unfortunately, be treated
21 with this medicine, with the ideal medicine that
22 will be applied quite early and prevent all the

1 progression of FOP, and whether the right cut for
2 beginning it, as specified in the proposal, or not,
3 is actually a question. That I still don't have a
4 really good sense to know whether you could get
5 virtually the same, or almost as good, outcomes in
6 the long term, waiting another year, or to avoid
7 more of the closure of epiphyses that's premature.
8 So I'll stop there. Thank you very much.

9 DR. LOW WANG: I do have a question I wanted
10 to bring to the committee, and that was just the
11 question about premature physeal closure. We know
12 that it can cause height loss, but I think I read
13 in the materials that it can also cause deformity.
14 So I wondered what's the significance, or besides
15 height loss, what else are we worried about with
16 premature physeal closure?

17 DR. YANOVSKI: Yes, that's a very good
18 question. Mostly in people with premature puberty
19 who have extra sex hormones, so finish their growth
20 early, such individuals typically do not have much
21 deformity, although of course spine deformity can
22 occur during puberty, and therefore that's

1 accelerated. But for the most part, it's mostly
2 the effects on height, and a disproportion may
3 occur because spine growth and limb growth are
4 differentially regulated in the presence of when
5 the growth plates are closing. So it is possible
6 that that might lead to disproportion more than
7 anything, but there could be some deformity maybe
8 in this condition uniquely, I think is a concern.

9 DR. LOW WANG: Okay. Thank you.

10 Ms. Robotti?

11 MS. ROBOTTI: Hi. Thank you. So --

12 DR. LOW WANG: Could you please state your
13 name for the record?

14 MS. ROBOTTI: Suzanne Robotti. Thank you.
15 Since flare-ups are not directly correlated to HO
16 increase, at least not on a 1-to-1 basis, it's not
17 at all clear. I'm concerned about treating them so
18 aggressively. And this could just be my lack of a
19 medical background, but higher drug dosing
20 generally increases side effect risks, and without
21 a clear benefit to it, I'm not comfortable with
22 what I heard as the explanation. Those doctors

1 with much deeper knowledge than mine can clarify
2 that if they want to with me.

3 I also wonder how well the drug is
4 tolerated. In Study 301, the dropout rate was
5 pretty significant; 12 dropped for adverse events;
6 31 withdrew consent; 15 others dropped out because
7 of other. So there's some reason to be dropping
8 out, and it was mentioned travel is difficult, and
9 challenging, and risky, but that's a lot of
10 dropout.

11 And nobody here has mentioned -- or at least
12 I didn't hear it -- the side effect of vertebrae
13 bone strength, BMC or BMD with a higher onset of
14 vertebral fractures, which I would have to think is
15 a confounding factor as HO progresses, so a lot of
16 questions. Thank you.

17 DR. LOW WANG: Great. Thank you.

18 I'd like to invite Ipsen to address some of
19 these concerns that were raised by the panel
20 members.

21 DR. STRAHS: Thank you. Andrew Strahs,
22 Ipsen. I just wanted to make a clarification based

1 on Dr. Greevy's comment. In the Bayesian analysis,
2 the event is event of new HO volume greater than
3 zero. Those Bayesian analyses do not use
4 information about flare-ups. And I'd like to turn
5 it over to Dr. Marino to comment further on other
6 questions.

7 DR. MARINO: If I could, I'd like to bring
8 up a slide to address the concerns about the
9 dropout rate. Oh, I'm sorry. I cannot bring that
10 one up, but I can talk to you about the dropout
11 rate in the briefing book, where you were concerned
12 about the significant numbers.

13 What we have to remember is that table also
14 represents the patients who were continuing on the
15 trial just for safety. Those were the less than
16 14-year-old patients. We allowed patients to stay
17 on the trial off treatment to continue to follow
18 their growth and safety. So about 30 percent of
19 the patients that we saw who ended the trial early
20 actually did so because they weren't receiving any
21 treatment. They stayed in the trial for some time,
22 and then decided to leave. So it wasn't just about

1 patients dropping out because they couldn't or did
2 not want to receive the therapy any longer.

3 In terms of to address some of the concerns
4 about the potential longer term sequelae of the
5 premature closure, and just to address that, what
6 we also saw in the trial was that the closure, when
7 we said premature closure, doesn't mean that the
8 growth plates were completely closed. We caught
9 the PPC for the majority of patients in a partial
10 state and were able to watch the growth after the
11 patients came off therapy.

12 In fact, in our target population, we did
13 see that about half the patients who had the
14 diagnosis of PPC had reached an adult height within
15 the normal range, and the others were still growing
16 after stopping the palovarotene as well, and that
17 some of the concerns we looked at, even in the long
18 turnover, the 3 or 4 years, were some of the
19 angular deformities we were monitoring, which we
20 didn't see any, as well as the leg length
21 discrepancies. So I hope that gives a little more
22 information.

1 DR. LOW WANG: Great. Thank you.

2 Dr. Chrischilles?

3 DR. CHRISCHILLES: Yes. Hi. Elizabeth or
4 Betsy Chrischilles, University of Iowa. I just
5 wanted to maybe add one more point to the
6 discussion so far, and in preface to that to say
7 that my thoughts have been following along the same
8 pattern that Dr. Yanovski expressed with respect to
9 the flare rate and also with respect to the
10 well-characterized safety profile consistent with
11 other retinoic acid agents that are already on the
12 market.

13 What I wanted to add to the mix was the
14 statement by the sponsor, of their intent to host a
15 post-approval registry. It was something that was
16 touched on only briefly in the presentations, so
17 it's not quite clear what all would be monitored
18 and how it would be monitored in that registry, but
19 I find that a reassuring way to really keep track
20 of these safety concerns that we really just don't
21 have the adequate power to really quantify.
22 Thanks.

1 DR. LOW WANG: Great. Thank you.

2 Dr. Applegate?

3 DR. APPLGATE: Kimberly Applegate. I don't
4 know if it was addressed or not that premature
5 physcal closures could be partial or complete, and
6 they can cause significant deformity if the bone
7 crosses the growth plate in some areas. I think
8 the data that was presented said 6 percent of the
9 subjects had PPC, so they would need to be
10 followed. Again, it can be diagnosed earlier if we
11 have MRI, which is the gold standard. It can be a
12 fast MR, but that's the way to go; so yes. Then
13 the normal way to treat it is surgery, but
14 obviously that can't be done. Thank you.

15 DR. LOW WANG: I don't see any other raised
16 hands, so maybe I will make a few comments, and
17 then see if there are any other last comments.

18 I agree with almost all of what's been said
19 and appreciate their critical comments from the
20 panel members. I also appreciate the FDA and the
21 sponsor's comments. I am concerned about the
22 possible higher incidence of flare-ups, but even

1 though there isn't a strong correlation with new
2 heterotopic ossification, as was presented, they
3 can still cause significant morbidity and reduced
4 quality of life. So it's possible that this higher
5 incidence is actually related to the known side
6 effects of the retinoid class of medications, but
7 it's not really clear from the data that were
8 presented.

9 So in terms of other safety concerns,
10 addressing the second part of this question, I have
11 two concerns. These have been mentioned already,
12 but I wanted to emphasize the second because I
13 haven't heard other panel members mention this.
14 One is the premature physcal closure in subjects
15 younger than age 14. The other is really the
16 rebound or accelerated new heterotopic ossification
17 formation after discontinuing the study drug. To
18 me, the latter is very concerning and needs to be
19 understood better, and definitely needs to be part
20 of that benefit-risk analysis for patients and
21 families because there are quite likely situations
22 where the medication cannot be continued.

1 Are there any other comments for this
2 discussion question?

3 I do see a raised hand by Dr. Weber.

4 DR. WEBER: This is Tom Weber. I guess to
5 the question, I think one of the panelists brought
6 about the bone question, about fractures and
7 decreased bone mineral content, and looked at
8 table 23, which went over some of that data, and
9 retrospectively assessed by the sponsor, which did
10 show perhaps some potential concerns for a decrease
11 in bone mineral content, vertebral strength; and
12 knowing the track record of retinoids, that would
13 be something -- I know the question or the issue of
14 a post-approval registry was raised, but that would
15 be one of the things obviously to capture because
16 of the potential concerns. So there are some
17 issues that need to be addressed, particularly when
18 it comes to long-term therapy that we need to
19 capture and understand better.

20 DR. LOW WANG: Great. Thank you.

21 If there are no other comments, let me try
22 to summarize, and as with the previous summary,

1 please, all questions and comments are appreciated.

2 In answer to the specific question regarding
3 the flare-up events in subjects treated with the
4 proposed palovarotene dosing regimen and the
5 relevance to benefit-risk considerations, the panel
6 was reassured by the lack of strong correlation
7 between flare-ups and new heterotopic ossification
8 as presented by the FDA, but this also raised the
9 concern about treating at a younger age, and then
10 relatively aggressive treatment with chronic dosing
11 and flare-up dosing.

12 Then with regard to whether the panel has
13 concerns about other safety issues included in the
14 meeting materials, et cetera, there were concerns
15 raised about the premature physal closure for
16 those younger than age 14, vertebral fractures, and
17 then also concerns about decreased bone mineral
18 content, and the need for closer follow-up and
19 long-term follow-up was raised by several panel
20 members.

21 Are there any other comments about this
22 summary?

1 Go ahead, Dr. Greevy.

2 DR. GREEVY: Robert Greevy, Vanderbilt. You
3 also brought up the point of a really interesting
4 analysis that happened of the on drug, stopping
5 drug, restarting drug having that rebound effect.
6 Do we have the numbers on that? Can I see those
7 numbers again? I hadn't thought about it in that
8 light of thinking of that as a rebound.

9 DR. LOW WANG: Yes. I don't know if FDA
10 would like to present that. I remember which slide
11 it was in the Ipsen presentation, slide 60 and 61.

12 (Pause.)

13 DR. LOW WANG: I'd like to invite Ipsen, and
14 if you could throw up slide 60 and 61, that would
15 be terrific. I actually don't know if that's
16 possible. Oh, there you go.

17 Go ahead.

18 DR. MARINO: Are we unmuted?

19 DR. LOW WANG: Yes, I can hear you now.

20 DR. MARINO: Okay. Great.

21 We are presenting slide 60, and we have 61
22 if you'd like to see it next. Here, what we're

1 looking at is the ITT, which is on treatment, and
2 then the entire on treatment and off treatment, the
3 entire study, and on the right is on treatment,
4 followed by off treatment, followed by on
5 treatment.

6 What we're seeing, or what we've interpreted
7 the data to tell us, is that it's not necessarily a
8 rebound in the off treatment necessarily, but their
9 HO goes back to what was expected and seen in the
10 natural history study. So I think of a rebound as
11 going beyond what would be normally expected or
12 what would be in an untreated state. So our
13 interpretation of this is that the 20,000 is right
14 around what we saw in the natural history study,
15 which was 20,000, or just about 20,000, that we're
16 looking on the left side of the screen.

17 DR. LOW WANG: Will you please go to
18 slide 61? I think that actually shows the
19 17 individuals who are in all three phases.

20 DR. MARINO: Correct. That is the
21 17 patients who are the same patients followed
22 throughout. Again, you see the reduction on

1 treatment and the 29,000 higher than the average in
2 the NHS, but very wide variability given the small
3 subset in these patients.

4 DR. LOW WANG: Okay.

5 Are there any other comments from the panel
6 members?

7 (No response.)

8 DR. LOW WANG: Alright.

9 I think what we'll do right now, then, is to
10 take a quick 10-minute break. We'll have the two
11 voting questions after the break. Panel members,
12 please remember that there should be no chatting or
13 discussion of the meeting topics with other panel
14 members during the break, and we'll resume at 4:13
15 Eastern Time.

16 (Whereupon, at 4:03 p.m., a recess was taken,
17 and meeting resumed at 4:13 p.m.)

18 DR. LOW WANG: It is now 4:13, so we will now
19 proceed to question 3, which is our first voting
20 question. Commander Bonner will provide the
21 instructions for voting.

22 CDR BONNER: Thank you, Dr. Low Wang.

1 LaToya Bonner, DFO. Questions 3 and 4 are
2 voting questions. Voting members will use the Zoom
3 platform to submit their votes for this meeting.
4 If you are not a voting member, you will be moved
5 to a breakout room while we conduct the vote.

6 After the chairperson reads the voting
7 question into the record and all questions and
8 discussion regarding the wording of the vote
9 question are complete, we will announce the voting
10 will begin. A voting window will appear where you
11 can submit your vote. There will be no discussion
12 doing the voting session.

13 You should select the radio button that is
14 the circular round button in the window that
15 corresponds to your vote. Please note that once
16 you click the submit button, you will not be able
17 to change your vote. Once all voting members have
18 selected their vote, I will announce that the vote
19 is closed. Please note that there will be a
20 momentary pause as we tally the vote results and
21 return non-voting members into the meeting room.

22 Next, the vote results will be displayed on

1 the screen. I will read the vote results from the
2 screen into the record. Thereafter, the
3 chairperson will go down the list and each voting
4 member will state their name and their vote into
5 the record. Voting members should also address any
6 subparts of the voting question, including the
7 rationale of their vote.

8 Are there any questions about the voting
9 process before we begin?

10 (No response.)

11 CDR BONNER: Since there are no further
12 questions, I will hand the meeting back over to our
13 chair, Dr. Low Wang.

14 DR. LOW WANG: Question 3, slide 4, it looks
15 like that is up, and let me read the voting
16 question. Question number 3 is, does the evidence
17 from Study 301 of palovarotene's treatment effect
18 show that the drug is effective in patients with
19 fibrodysplasia ossificans progressiva or FOP?
20 Provide the rationale for your vote.

21 Are there any issues or questions about the
22 wording of the voting question?

1 I do see a raised hand. Dr. Nason?

2 DR. NASON: Thank you. Martha Nason. I'm
3 just struggling with the word "show." That's a bit
4 of a vague word to me as far as strength of
5 evidence, and I was just wondering if the FDA had
6 anything they could say about how to interpret that
7 word.

8 DR. LOW WANG: Dr. Kehoe or other members of
9 the FDA? There you go. Okay. Great.

10 DR. KEHOE: This is Theresa Kehoe. We are
11 just considering the results of Study 301 here, and
12 in the data that's been presented, whether you
13 believe the drug is effective. I don't know that
14 we thought much about using the word "show."

15 Does that help?

16 DR. NASON: Not entirely, but I suppose if
17 it's the best answer, then I'll have to go with
18 that. Thanks.

19 DR. LOW WANG: Dr. Weber, go ahead.

20 DR. WEBER: This is Tom Weber. What about
21 revising it to, "show the drug provides adequate
22 effectiveness?" Just a way to adjust the wording,

1 and there may not be a way to do it.

2 DR. KEHOE: Well, ultimately, the question
3 is what are your conclusions about the drug's
4 effectiveness as seen in Study 301?

5 DR. LOW WANG: Dr. Coffey?

6 DR. COFFEY: Chris Coffey. My question
7 maybe follows a similar line. When you say our
8 thoughts on the effectiveness from Study 301 in
9 terms of effectiveness and kind of an absolute
10 scale compared to any other clinical trial, or
11 effectiveness given the results of the study in the
12 context of it being a rare disease?

13 DR. YANOFF: Hi, everybody. This is Lisa
14 Yanoff. I think, informally, we want to know do
15 you think this is a positive study, period, end;
16 not in the context of this or that. I think you
17 may be overthinking a bit.

18 Could you provide some clarification
19 on -- Dr. Nason, what is your concern about the
20 word "show"?

21 DR. NASON: I guess I just don't know how
22 much evidence to take it with. Certainly, if it

1 said "prove," I would certainly know what to
2 answer, but "show" is weaker, so I don't know if I
3 should interpret it as I am convinced versus do I
4 think, probably, because there's a difference.

5 DR. YANOFF: You have to make a yes or no
6 call --

7 DR. NASON: Right.

8 DR. YANOFF: -- and we'll leave it to you on
9 how convinced do you feel you need to be to make a
10 yes or no call. So there is no gradation here.
11 Does the study show it's effective or does it not.

12 DR. NASON: Alright. I'll try. Thanks.

13 DR. YANOFF: Yes. Unfortunately, that's the
14 decision that FDA is faced with, a yes or no
15 decision, so we hope you can help. Keep in mind
16 that your comments are often more important to us
17 than your vote, so maybe don't worry so much about
18 your vote, Dr. Nason, but just provide the best you
19 can with your vote, and provide your rationale, and
20 that will be very helpful to us.

21 DR. LOW WANG: Thank you, Dr. Yanoff.

22 There's also a question from Dr. Applegate.

1 DR. APPLGATE: Kimberly. This may be
2 overthinking it. The evidence is looking at
3 Study 301, but also, in my mind, we're comparing
4 what we were given, which is also the natural
5 history study.

6 DR. YANOFF: When we say Study 301, we mean
7 the study with its accompanying comparator group.

8 DR. APPLGATE: Okay. Thank you. That's
9 all I need to know.

10 DR. LOW WANG: Great. Thank you.

11 Seeing no further questions or comments
12 concerning the wording of the question, I'll turn
13 it back to Commander Bonner so that we can begin
14 the voting on question 3.

15 CDR BONNER: LaTonya Bonner. We will now
16 move the non-voting participants to the breakout
17 room.

18 (Voting.)

19 CDR BONNER: LaToya Bonner, DFO. Voting has
20 closed and is now complete. The voting results
21 will be displayed.

22 (Pause.)

1 DR. LOW WANG: Great. Thank you.

2 We will now go down the list and have
3 everyone who voted state their name and vote into
4 the record. You may also --

5 CDR BONNER: Just for a second, Dr. Low
6 Wang, I'll go ahead --

7 DR. LOW WANG: Oh, sorry.

8 CDR BONNER: -- and give the voting results
9 live for the record. Sorry about that.

10 For the record, LaToya Bonner. Due to
11 technical difficulties, we had to receive one vote
12 via email, so when it comes to the voting results,
13 we have 10 yeses, 4 noes, and zero abstentions.

14 I will now turn the meeting back over to our
15 chair.

16 Dr. Low Wang?

17 DR. LOW WANG: Great. Thank you.

18 We will now go down the list and have
19 everyone who voted state their name and vote into
20 the record, and you may also include the rationale
21 for your vote.

22 Let's start with Dr. Chaikhoutdinov.

1 DR. CHAIKHOUTDINOV: I am
2 Dr. Chaikhoutdinov. I voted yes, and the reason is
3 because we don't have any medication for the
4 treatment of this very disabling disease.
5 Secondly, it showed some effectiveness. It's, of
6 course, not perfect. Third, it's better to have a
7 short person than a person with immobility, even if
8 it has premature physcal closure. Thank you.

9 DR. LOW WANG: Thank you.

10 Dr. Chrischilles?

11 DR. CHRISCHILLES: Yes. This is Betsy
12 Chrischilles. I voted yes, and my reasons for
13 doing so were, first, the consistency of findings
14 across many appropriate sensitivity analyses,
15 including the somewhat natural experiment afforded
16 by the treatment interruption, which allowed some
17 individuals to be observed before the interruption
18 while on treatment, during the interruption of
19 treatment, and when they resumed treatment
20 thereafter.

21 My second reason was the quality of the
22 external control group, in my opinion, afforded by

1 its use of the same clinical centers; the same
2 imaging reading center; the same standardized
3 disease progression outcome endpoint; the almost
4 concurrent time periods for the study; and the
5 ability to adjust the results for baseline
6 differences. So those were my reasons for voting
7 yes.

8 DR. LOW WANG: Thank you.

9 Please state your name and your vote into
10 the record, Dr. Blaha?

11 DR. BLAHA: Yes. Hi. Michael Blaha. I
12 voted no on this one, and I tried to factor out, a
13 bit to the extent that I could, the rareness of the
14 disease, and tried to look at the body of evidence
15 and really determine if this provided persuasive
16 statistical and clinical trial evidence to me, and
17 that there was a benefit for these patients that
18 was beyond a reasonable doubt in my mind, and I
19 couldn't come to that conclusion, based on these
20 data that I saw today.

21 I felt we emphasized maybe a little bit too
22 much today in the discussion perhaps some of the

1 statistical features, some of the things that we
2 could try to correct, so to speak, by switching to
3 different modeling. I was more concerned about the
4 things we can't correct; for example, the
5 comparison to an external control group, the
6 natural history control group; the fact that
7 patients are moving in and out of studies; and a
8 relatively small sample size that we're looking at
9 here.

10 I actually did find, for example, the
11 propensity matched analysis somewhat persuasive. I
12 liked the analysis of the patients who were on and
13 off, and on the drug again, then I reminded myself
14 that it really was talking about less than
15 80 patients, or maybe 17 patients, in the latter
16 analysis, and I just didn't think that reached a
17 level of being convincing for helping patients.

18 I did look to try to see if there's any
19 symptomatic benefit for patients or something that
20 I could hang my hat on, where these patients were
21 really clearly given a benefit, and I had to resort
22 to an imaging endpoint. While part of the disease

1 process, I just wasn't a hundred percent convinced
2 that that imaging endpoint with a certain amount of
3 calcification -- of course we have experiences of
4 calcification in other disorders, too -- was enough
5 for me to say that there was an unequivocal benefit
6 for these patients.

7 So I would say that it would be a tragedy if
8 this wasn't studied further. I think this is such
9 a great opportunity here to take what we've learned
10 and really study this in a rigorous way, but that's
11 what led to me voting no in this case.

12 DR. LOW WANG: Great. Thank you.

13 Ms. Robotti?

14 MS. ROBOTTI: Hi. Suzanne Robotti. I voted
15 yes, the drug is effective. I don't know how
16 effective. I've seen enough consistency between
17 the analyses to lead me to believe that some people
18 with FOP will get some benefit. My understanding
19 of the disease leads me to believe that small
20 increments can be important to patients.

21 Every FDA advisory committee I have ever sat
22 on -- and it's been a few -- we, the committee,

1 have complained about the poor data, and we will
2 continue being asked to make difficult decisions as
3 long as we accept data we don't feel is clear. I
4 voted against many drugs solely because the data
5 just wasn't clear enough, but I don't have the
6 stomach for that with this drug and with this
7 population. There is a small window because the
8 damage accumulates, and small differences can make
9 big differences, so I voted yes.

10 DR. LOW WANG: Thank you.

11 Dr. Weber?

12 DR. WEBER: Yes. This is Tom Weber. I
13 voted yes. Although the post hoc analysis
14 obviously is inherently flawed and there are
15 confounders that we can't adjust for, I do think
16 that the overall totality and summary of the data
17 do support adequate effectiveness in reducing the
18 primary endpoint in patients with FOP.

19 I do think the endpoint is appropriate based
20 on the pathophysiology of the disorder and the
21 association with specific outcomes in terms of
22 joint contractures, as well as patient-reported

1 outcomes, and I'm reassured by the independent
2 analyses and, as was previously mentioned, the
3 sensitivity analyses, which showed a consistent
4 picture, at least directionally, and similar
5 amount, so that's why I voted yes.

6 DR. LOW WANG: Thank you.

7 Next on the list is me. My name is Cecilia
8 Low Wang, and I voted yes. So despite problems, as
9 has been mentioned, with post hoc analyses, in
10 general, and the fact that these were performed
11 after the data were unblinded, I felt that the
12 post hoc analyses were appropriate in this
13 instance, and with propensity matching and with
14 trying to account for confounding factors,
15 et cetera, the results were convincing and
16 consistent as performed by the sponsor, and
17 especially because these were confirmed by the FDA
18 as well. In terms of the new heterotopic
19 ossification, I was convinced about that efficacy
20 endpoint, especially since it's thought to
21 correlate well with functional assessments.

22 Let me move on to the next person.

1 Dr. Applegate?

2 DR. APPLGATE: Yes. Thank you. Kimberly
3 Applegate. I also voted yes, and I won't repeat
4 what others have already said very eloquently. No
5 study is perfect, and we're weighing benefit-risk.
6 What I would like to see is that all of these
7 patients, since there aren't that many, participate
8 in a registry like any child in a cancer registry.
9 That would be my big ask, so with that, thank you.

10 DR. LOW WANG: Thank you.

11 Dr. Wang?

12 DR. WANG: Thanks. Thomas Wang, and I voted
13 yes. I voted yes despite the clear imperfections
14 in the body of evidence that we have. In fact, I
15 can't really remember the last time that I have
16 voted in favor of something where the primary
17 endpoint was not met. In this case, though, I felt
18 that the evidence that the prespecified analysis
19 was so flawed as to be biased consistently against
20 finding a positive result, that I had to rely more
21 on the post hoc analyses than I ever would and
22 normally do so, and in that sense felt that the

1 consistency and totality of the analyses conducted,
2 not just by the sponsor but also by the FDA, and in
3 the sense that the selection of the post hoc
4 analyses were appropriate to address the concerns
5 about the prespecified analyses, led me to this
6 vote. Thank you.

7 DR. LOW WANG: Thank you.

8 Next is Dr. Gerhard.

9 DR. GERHARD: Tobias Gerhard. I voted yes.
10 In the context of the rare disabling and
11 progressive disease with no approved treatment
12 option on the market, the data generated by
13 Study 301 exceeds, in my opinion, the threshold
14 necessary to demonstrate efficacy.

15 The prespecified analysis approach I believe
16 was quite clearly flawed, and thus justifying
17 looking at post hoc analyses, which obviously is
18 generally a red flag. But given that the post hoc
19 analyses, both from the sponsor and from FDA, were
20 consistent and convincing, I was willing to
21 consider these. On the side of the external
22 control group, I think while clearly not optimal as

1 an approach, the external control group was quite
2 high quality and sufficient to make these
3 inferences on efficacy in this context.

4 DR. LOW WANG: Thank you.

5 Dr. Yanovski?

6 DR. YANOVSKI: Jack Yanovski. I voted no
7 for basically the same reasons as Dr. Blaha
8 mentioned. There were just so many things about
9 the experiment that left me unsatisfied. That's
10 not to say that I don't believe that there might
11 well be significant benefit from this approach, but
12 I think that there's a lot more that needs to be
13 done before I would be convinced.

14 DR. LOW WANG: Thank you.

15 Dr. Greevy?

16 DR. GREEVY: Robert Greevy. I voted yes. I
17 see this data as not being at the level of a
18 randomized-controlled trial, but also not being at
19 the level of a pure observational study. It's sort
20 of in-between, where it was part of an experiment.
21 But we don't have any benefits of randomization,
22 but we do have the ability to adjust for some

1 observed covariates, do a bunch of sensitivity
2 analyses, and have measures taken under
3 experimental conditions, in particular the HO
4 volume, which I thought was a really nice outcome.
5 I think it had a chance to have power and had a
6 nice association with functional outcomes.

7 That said, the effect range, even by these
8 analyses, is observed around 10,000 cubic
9 millimeters of mercury, and could be as high as 20,
10 and could be as small as in the hundreds. So if I
11 was going for the threshold of trying to rule out
12 small effect sizes, then it wouldn't have met that
13 threshold. But given the circumstances of this
14 disease, rare population, severely debilitating
15 disease, and no other treatment available, I had a
16 lower threshold for being persuaded.

17 DR. LOW WANG: Thank you.

18 Dr. Coffey?

19 DR. COFFEY: Yes. Chris Coffey. I voted no
20 mostly because as the question was worded, it was
21 to assess the effectiveness of the drug as shown in
22 this study. I think, as has been raised, the

1 concerns for post hoc analyses, analyses that were
2 done after unblinding, exist for good reasons.
3 Even though the analyses that were presented were
4 convincing, the medical literature is filled with
5 examples of studies with similar positive results,
6 that when followed up in a randomized clinical
7 trial did not hold up because of unmeasured biases
8 or unmeasured confounders in the studies.

9 So because of that, I don't feel that it
10 meets the typical standard for effectiveness, which
11 was mostly the way the question was worded. Had
12 the question been, does it meet a standard of
13 effectiveness adequate, given the rare disease
14 spectrum, I would have probably voted differently.
15 Thank you.

16 DR. LOW WANG: Thank you.

17 Dr. Jones?

18 DR. JONES: I voted yes, marginally.

19 DR. LOW WANG: Can you please state your
20 name and your vote?

21 DR. JONES: Oh, sorry. Elizabeth Jones.
22 Yes. Sorry. I voted yes, and I was right on the

1 margin. I think the effectiveness is very small.
2 This is very problematic. The disease seems to
3 have a considerable variability. There's a short
4 duration of the study, and all the imperfections
5 that we mentioned with the surrogate measure and
6 lack of placebo. I did have a lot of concerns
7 about the analysis. I finally decided, based on
8 the post hoc analysis, even given that there are
9 problems doing that, that I was convinced that
10 there was a small benefit, so ultimately I voted
11 yes. Thank you.

12 DR. LOW WANG: Thank you.

13 And last is Dr. Nason.

14 DR. NASON: Thanks. Martha Nason. I voted
15 no. As was apparent from my question beforehand, I
16 struggled with the word "show," and I decided to
17 think of it as show conclusively for myself, and I
18 do not think that the evidence here, given all the
19 open questions and the flaws in the analysis and
20 the design, show conclusively. There's just too
21 many open issues.

22 I do agree the prespecified analysis was

1 flawed, and therefore the post hoc analyses were
2 really the only choice and appropriate in that
3 sense; however, I don't think because of that, we
4 can really lower the standard of burden of evidence
5 too much just because there was a bad choice
6 initially. So in a sense, there's a bit of a
7 higher bar, to me, given that it was post hoc.

8 Certainly, as Dr. Blaha said, I believe this
9 should absolutely be studied further. I'm
10 optimistic that it works. I hope it works. I
11 think it probably does work. I just don't think we
12 were able to see evidence today that really was
13 convincing or conclusive. Thanks.

14 DR. LOW WANG: Great. Thank you.

15 I think all of the panel members have stated
16 their votes and their rationale, so let me
17 summarize. We had 10 panel members who voted yes
18 and mentioned the fact that FOP is very rare. It's
19 debilitating and progressive, and there are no
20 other treatments available, and that the evidence
21 was convincing for treatment effect after
22 interruption. So actually that start/stop/start

1 data was convincing; the quality of the control
2 group, as well as the consistency of the analyses
3 by the sponsor and the FDA, and the appropriateness
4 of this endpoint of new heterotopic ossification.
5 Among those who voted yes, the need for long-term
6 follow-up was mentioned and the fact that the vote
7 was definitely affected by the fact that this is
8 such a rare condition and so severe.

9 So four panel members voted no and were
10 concerned that aside from the rareness of the
11 condition, the body of evidence was really not
12 strong enough to show efficacy. There are
13 statistical and design issues, including a lack of
14 a placebo arm. There was no symptomatic
15 improvement, and this imaging endpoint wasn't
16 enough, and this drug should be further studied and
17 that more data were needed.

18 Now we will move on to question 4, which is
19 our last voting question. I'll read the question
20 first, and then, again, pause to see if there are
21 any questions about the wording before we open up
22 the vote.

1 Voting question 4, do the benefits of
2 palovarotene outweigh its risks for the treatment
3 of patients with FOP? If you voted yes, please
4 provide the rationale for your vote, and if you
5 voted no, provide the rationale for your vote and
6 provide recommendations for additional data that
7 may support a conclusion that the benefits outweigh
8 the risks.

9 Are there any specific questions about the
10 wording of the voting question?

11 (No response.)

12 DR. LOW WANG: I don't see any questions or
13 comments about the wording, so now we'll begin the
14 voting for question 4, and I'll turn it back to
15 Commander Bonner.

16 CDR BONNER: Thank you, Dr. Low Wang.

17 We will now move non-voting participants to
18 the breakout room.

19 (Voting.)

20 CDR BONNER: Thank you for your patience.
21 LaToya Bonner. The voting has closed and is now
22 complete. The voting results will be displayed.

1 (Pause.)

2 CDR BONNER: This is LaToya Bonner, DFO.
3 For the record, for question number 4, 11 yeses and
4 3 noes. We had to retrieve one vote from the email
5 due to technical difficulties.

6 Now, I will turn the meeting back over to
7 our chair.

8 Dr. Low Wang?

9 DR. LOW WANG: Thank you.

10 We will now go down the list and have
11 everyone who voted state their name and vote into
12 the record. You may also include the rationale for
13 your vote.

14 We'll start with Dr. Chaikhoutdinov.

15 DR. CHAIKHOUTDINOV: This is Dr. Marat
16 Chaikhoutdinov. I vote yes because the medication
17 showed benefits and outweigh benefits against a
18 risk of complications. The most common
19 complication, as was noted, are dry lips, dry skin,
20 both patients with FOP has this condition all the
21 time. The medication is very important during
22 puberty and also during, particularly for girls,

1 menarche.

2 As my observation, my daughter became very
3 disabled. She was able to go to school. She
4 completed college. She got a college degree, and
5 then when she's 13, menarche came, and she became
6 disabled, and now she's on a ventilator. She has
7 family hypertension and all these kinds of
8 complications. From the beginning of this trial,
9 we also tried Accutane [indiscernible]. Accutane
10 was one of the medications that was recommended for
11 FOP, and we tried, but I don't know how that was
12 effective, but we did it.

13 She was doing fine before menarche. She had
14 some problems, but not significant. This
15 medication might give, and I believe it can give
16 options for families to go through and try this
17 medication. The complications are very mild, I
18 believe. It's possible to be managed with the dose
19 reduction, which they did it, and then basically
20 the patients were doing ok. Interruption could be
21 also done. That's my opinion. Thank you.

22 DR. LOW WANG: Thank you.

1 My name is Cecilia Low Wang, and I voted
2 yes. So despite the risks for premature physeal
3 closure and potential increases in flare-ups and
4 vertebral fractures, I believe that the potential
5 benefits of the study drug to reduce new
6 heterotopic ossification outweigh those risks.

7 I felt the open public hearing comments were
8 incredibly compelling. We really have no other
9 alternatives that might alter this disease course,
10 but this drug might. So ideally, I'd like to have
11 a longer term follow-up study for Study 301 and a
12 placebo-controlled trial. But given the rare
13 nature and severity of this debilitating condition,
14 I feel the benefits outweigh the risks.

15 I do feel that it's incredibly important to
16 mitigate the risks through careful selection of
17 patients and in-depth risk-benefit discussion with
18 the patient and family about the potential risks of
19 that premature physeal closure; the potential
20 increases in flare-ups; potential increases in
21 vertebral fractures, as well as the other side
22 effects; and lastly, that increase in the new

1 heterotopic ossification seen when the drug is
2 interrupted.

3 Let's move on to the next panel member,
4 Dr. Jones.

5 DR. JONES: Elizabeth Jones. I voted yes.
6 Although I believe the benefit is small, the risks
7 that we heard about I think can be managed
8 carefully, and the patient's going to require a lot
9 of additional follow-up, and testing, and
10 monitoring, but I think you can screen for
11 premature physal plate closure with MR, and
12 Dr. Applegate has mentioned that, and monitor bone
13 mineral density, and try to moderate the various
14 adverse effects. I think those things can be
15 managed, so even though the benefit is small, I
16 ultimately thought it had a positive ratio.

17 DR. LOW WANG: Thank you.

18 Dr. Chrischilles?

19 DR. CHRISCHILLES: Yes. Elizabeth
20 Chrischilles. I voted yes, and I concluded that
21 the benefits do outweigh the risks along several
22 different lines of thinking that helped me come to

1 that conclusion. First, I think there's reason to
2 suspect that the apparent increase in flare is
3 either A, not real and a result of
4 under-ascertainment in the natural history study,
5 and/or B, real but not really meaningful in terms
6 of disease progression.

7 A second consideration is that the safety
8 profile for the drug is largely consistent with
9 other approved retinoids and manageable with black
10 boxed warnings for PPC and pregnancy. Third, the
11 special pediatric issue of PPC can and should be
12 addressed as part of the individualized
13 benefit-risk decision making by patients and their
14 providers. Fourthly, the point value of delaying
15 or slowing the progress of this profoundly painful,
16 intrusive, and unrelenting rare disease is clear.
17 And lastly, finally, a post-approval registry is
18 planned by the sponsor and will be valuable for
19 quantifying the safety issues over time. Thank
20 you.

21 DR. LOW WANG: Great. Thank you.

22 Ms. Robotti?

1 MS. ROBOTTI: Thanks. This is Suzanne
2 Robotti. I voted yes. Our committee read and saw
3 a lot of emotional testimony, and I understand it
4 cannot be overestimated how horrific HO FOP is and
5 what a toll it takes on the family. But the public
6 testimony focused on how bad HO FOP is, not on how
7 good palovarotene is.

8 Wanting a drug to work does not mean it will
9 work, and it can harm. We don't have clear data
10 that this drug works, and the side effects are
11 significant. A hundred percent of participants had
12 significant side effects. Fifty percent had
13 dropout rates during the trial. The endpoints
14 changed, and the new ones reset after it was
15 unblinded, and the FOP flare-ups were a higher
16 number than anticipated.

17 All that being said, I'm swayed by the
18 hopeful data interpretation that the sponsor has
19 offered and the FDA seems to be supporting. I'm
20 swayed by the immediate need. This is a retinoid,
21 and the many significant adverse events that
22 retinoids can cause are already well known.

1 I heard that the sponsor is planning a
2 registry. I don't believe that registries belong
3 with the pharmaceutical company. Registries are an
4 important data source, too important to be housed
5 where there is such a clear conflict of interest in
6 sharing data. I ask the FDA to enter this drug
7 into the iPLEDGE program with HO FOP listed as an
8 exception. Once the drug is approved, even if the
9 indication is only for rare disease, doctors can
10 try using it for something else.

11 We are supporting this drug based on some
12 assumptions. I ask the FDA to please require
13 confirmatory trials, and please require that they
14 be done on a reasonable schedule with clear
15 deadlines and clear endpoints. Thank you.

16 DR. LOW WANG: Thank you.

17 Dr. Gerhard?

18 DR. GERHARD: Tobias Gerhard. I voted yes
19 as well. I think there are clearly significant
20 safety concerns with this drug, but given the
21 severity of the disease, the benefit I think pretty
22 clearly outweighs the risks in this decision. As

1 we've seen, patients and their caregivers with FOP
2 are incredibly involved in their care and I think
3 will be able to discuss these difficult trade-offs
4 with their doctors.

5 I think it will be important to have a
6 robust registry program because there is clearly
7 much to learn in the clinical management of the
8 drug in different populations and clinical
9 contexts. So I think that will be important. As
10 one of these speakers in the public comment period
11 said, I think this is imperfect but important
12 progress for patients with FOP.

13 DR. LOW WANG: Great. Thank you.

14 Next we have Dr. Yanovski.

15 DR. YANOVSKI: Jack Yanovski. I voted no
16 basically because if I couldn't convince myself
17 that the studies proved benefit, I can't assume
18 that any risk would be acceptable. That doesn't
19 mean that I don't believe that there's a
20 possibility for this drug to be very helpful in the
21 future; it just needs more conclusive data. I
22 think those could come in a variety of sorts.

1 It's clear that the perfect RCT placebo
2 control is not going to be done in this rare
3 disease, but there are other alternatives. Even
4 among those that are already recruited and using
5 the medicine in the studies, there could be a
6 placebo-controlled withdrawal phase, for instance.

7 There also are significant questions, for me
8 anyway, about what's the right age at which this
9 drug should be commenced. What is the severity of
10 the disorder? At which point should it be
11 commenced? We have no data as to how the relative
12 progression occurs. We know that the most severe
13 patients tend to get more severe. So should this
14 drug not be limited to people who have the most
15 severe consequences at an early age or whenever
16 they appear as getting worse rapidly? I really
17 don't know.

18 I think there are a lot of unknown questions
19 in the study design that up to now have not been
20 adequate for me to have a good sense as to who
21 should be treated, when they should be treated, and
22 if they should be treated. Thank you.

1 DR. LOW WANG: Thank you.

2 Dr. Wang?

3 DR. WANG: Thanks. This is Thomas Wang. I
4 voted yes. I voted yes despite the imperfect body
5 of data because of the benefits that were discussed
6 in the last question. There are risks. As noted
7 previously, I think the risks are largely
8 predictable, based on the drug and related drugs.
9 Overall, I think balancing the benefits against the
10 risks relies on taking into account the context,
11 and context has been described as one of a
12 debilitating and progressive disease. I was also
13 moved by the testimony of the families, and the
14 caregivers, and patients who've been really
15 impacted by this disease.

16 I'd also, lastly, like to acknowledge the
17 sponsor. The sponsor has contributed to the
18 knowledge of this rare disease to the studies that
19 have been done since 2014, and I would hope that if
20 the drug is ultimately approved, that the sponsor
21 will continue contributing to that knowledge in the
22 ways that have also been discussed. Thank you.

1 DR. LOW WANG: Thank you.

2 Next is Dr. Weber.

3 DR. WEBER: This is Tom Weber. I voted yes.

4 The severity and inexorable progression of this
5 devastating disease with early mortality does
6 warrant the availability of palovarotene to
7 patients with FOP, based on the risk-benefit
8 assessment. Although the benefit may be small, I
9 do think that the risks are acceptable and can be
10 managed.

11 The issue with premature physeal closure and
12 decreased height, I was reassured by the data that
13 the target, or the approved population, seemed to
14 be less of a concern based on the data that was
15 presented today in that regard. I'm also reassured
16 that the target population of providers will be
17 prescribing this and have fundamental knowledge in
18 this disorder and in the pediatric
19 endocrinologists, rheumatologists, and bone
20 specialists, and will be able to apply that
21 knowledge in an appropriate way in risk-benefit
22 assessment discussions with patients and their

1 families in terms of the drug.

2 Again, I also agree with the sponsor and I
3 applaud them for starting a registry. I think
4 gathering the safety outcomes are important, and I
5 would also recommend that gathering data with
6 regards to dose reductions and effects on outcomes,
7 including patient-reported outcomes, are going to
8 be important to confirm the positive impact of the
9 drug on this disease.

10 DR. LOW WANG: Great. Thank you.

11 Dr. Coffey?

12 DR. COFFEY: Hi. Chris Coffey. I voted
13 yes. While I do have concerns about how convincing
14 the data on benefit is, and there are concerns
15 about risks, I do feel that based on what we know,
16 at least as of today, the benefits do outweigh the
17 risks. In particular, as noted in one of the prior
18 presentations, and I think we iterated during the
19 open public hearing, any reduction here would be
20 meaningful, so I think that kind of bar for the
21 benefit-risk ratio here is not that hard a barrier
22 to cross if there's any suggestion of benefit

1 relative to controlled risk. Thanks.

2 DR. LOW WANG: Thank you.

3 Next is Dr. Applegate.

4 DR. APPLGATE: Yes. Kimberly Applegate. I
5 voted yes, and I agree with what's been said prior
6 in terms of the benefit-risk. There's, in my mind,
7 more benefit than the described risk, although we
8 know it's imperfect data that's been presented. I
9 think the patients and their surrogates presented
10 very compelling information to us.

11 I think it comes down to an ethical
12 assessment or a judgment of thinking about
13 justification of the benefit for something that we
14 don't know fully what is going to happen with the
15 risks and giving that option to this patient
16 population. However, again, I will really push for
17 having, what others have said, registries so that
18 we can know as much as we can without burdening
19 people, with data capture, but really understanding
20 what happens to this patient population. It's a
21 small population, so we want to know what happens,
22 and also perhaps get better CT methodologies going

1 forward with auto segmentation to understand those
2 volumes better. I'll just make a plea for that and
3 other points that have already been made. Thank
4 you.

5 DR. LOW WANG: Thank you.

6 Just a reminder that if you voted no, please
7 provide provide some recommendations for additional
8 data that might support a conclusion that the
9 benefits outweigh the risks.

10 Next is Dr. Blaha.

11 DR. BLAHA: Yes. Hi. Michael Blaha, and I
12 voted no, which probably isn't surprising and
13 followed the last question. I also thought that
14 Study 301 didn't provide enough persuasive evidence
15 for me to be certain that this was an effective
16 therapy.

17 My vote isn't entirely out of concern of
18 adverse effects, although I do think there's
19 potential for adverse effects here, as others have
20 discussed. It's just as much, if not more, just a
21 concern about the the database for efficacy, and
22 I'm just not sold on that. By all counts, in

1 almost any other scenario, I think we'd all
2 consider this to be data that justifies a pivotal
3 trial, rather than this being the pivotal data for
4 therapy in a disease state. Although we want it to
5 work, it's just so hard for me to say that this
6 works, based on the data that I'm seeing with all
7 the detail discussion we had about trying to find
8 that benefit.

9 So I just hope this isn't the last word on
10 this therapy as far as high-quality data. I know
11 once a broad label is granted, it's harder to do
12 studies, but hopefully in creative ways, we can
13 think of doing studies to try to show some
14 patient-centered outcome improvement, and
15 symptomatic improvement, and one of these other
16 scales that's validated in this disease state, and
17 show benefit on that, and show benefit on
18 disability, or hospitalization, or something that
19 matters to patients, even if I guess that's against
20 historical controls. And something beyond just
21 saying it reduces the imaging evidence of
22 calcification would give me a lot more confidence.

1 So I think, and I'm sure the company will be
2 anxious to do that, to try to look beyond an
3 imaging endpoint, and show at some point something
4 persuasive that does something for patients is what
5 I would ask for. Thank you.

6 DR. LOW WANG: Thank you.

7 Next is Dr. Greevy.

8 DR. GREEVY: Robert Greevy. I voted yes.
9 Interestingly, Dr. Blaha's comments perfectly
10 captured my feelings even though he voted opposite
11 as me; that under different circumstances, this
12 would be the trial that we looked at, or the
13 evidence that we looked at, that made us go, "Oh,
14 wow. Yes. Please do the next study," as opposed
15 to, "Yes, we want to approve this medication."

16 I think I, along with a lot of us, am
17 weighing the risk-benefit and delaying access to
18 the medication in order to gather more evidence.
19 And certainly my sense of saying yes is very
20 conditional, as many people have stated, under the
21 assumption that more evidence is going to be
22 collected.

1 Dr. Robotti's comments really resonated with
2 me as well, that just simply creating a registry
3 possibly under the sponsor's control that's only
4 collecting adverse events really feels insufficient
5 in this case. One of the things that Dr. Cecilia
6 Wang brought up that I just want to circle back to
7 again is the data that we were presented did show
8 this possible rebound effect; that by accident, we
9 got this data that ended up being quite compelling,
10 and convincing us that the medicine was really
11 working in these 17 people, but also opened up that
12 question of what happens if you stop the medication
13 for a while?

14 So being able to assess the practical
15 efficacy in a population that might not be a
16 hundred percent compliant, or not as compliant as
17 an experimental population, is going to be really
18 important. I just want to emphasize the magnitude
19 of that rebound effect, a big confidence interval,
20 because there's only 17 people, but they were close
21 to 30,000 cubic millimeters of mercury when
22 stopping the drug. That's 10,000 more than the

1 people that's standard and not on the medication.
2 That's on par with our treatment effect.

3 So the question of that rebound effect I
4 think really is something that, along with the
5 other possible side effects, needs to be addressed
6 if the drug is allowed to go forward. Thank you.

7 DR. LOW WANG: Thank you.

8 And Dr. Nason?

9 DR. NASON: Martha Nason. I voted no. My
10 reasons are very parallel to those that Dr. Blaha
11 and somewhat Dr. Greevy just gave as well. I felt
12 I needed to be consistent with my vote that we did
13 not have sufficient evidence yet of efficacy or
14 effectiveness, so certainly that influenced my vote
15 on this risk-benefit ratio.

16 I do think further study of the
17 effectiveness will also allow a careful tally of
18 the flare-ups while taking steps to avoid any sort
19 of differential underreporting to really nail that
20 issue down. And as far as delay of access, I
21 certainly am sympathetic to that, and the public
22 commentary was very moving. I agree with all my

1 colleagues on that. But I would hope maybe that in
2 collecting more data, the company would want to get
3 as many people as much data as they could, and
4 people who were interested could join one way or
5 another the study and kill two birds with one stone
6 in the sense of having some access, but really get
7 that important data we need before it's turned over
8 to a licensed drug and a registry. Thank you.

9 DR. LOW WANG: Great. Thank you.

10 I think we've heard from all of the voting
11 members, so let me try to summarize the committee's
12 comments. We had 11 panel members vote yes. The
13 panel was, in general, swayed by the efficacy data
14 versus the risks, which were not felt to outweigh
15 the potential benefits despite acknowledging the
16 imperfections in the data. This is in the context
17 of a very severe disease with inexorable
18 progression.

19 There are significant safety concerns
20 acknowledged, but it was felt that these could be
21 managed, and the need for close monitoring and
22 long-term follow-up was emphasized. For some panel

1 members, that yes vote was qualified by the need
2 for stronger efficacy data and the concern about
3 increased heterotopic ossification with medication
4 interruption.

5 There were three panel members who voted no,
6 and the rationale was that the available data are
7 not sufficient, and that more conclusive and
8 stronger efficacy data are needed, ideally to
9 include functional and possibly quality-of-life
10 endpoints, so more patient-oriented outcomes.
11 There are also concerns about safety expressed, and
12 furthermore, lots of open questions that aren't
13 addressed by the available data, including the
14 right age to start the drug.

15 So I just wanted to say that I very much
16 appreciate the hard work and preparation by
17 Commander Bonner and the staff at the FDA to plan
18 for and organize this meeting, and I sincerely
19 thank all of the panel members for your diligence,
20 your comments. I thank the sponsor and the FDA for
21 your detailed and thorough presentations, and the
22 individuals who spoke during the open public

1 hearing, for your important contributions to the
2 meeting, and lastly, to members of the public for
3 attending.

4 So before we adjourn, are there any last
5 comments from the FDA?

6 DR. KEHOE: Yes. Can you hear me now?

7 DR. LOW WANG: Yes.

8 DR. KEHOE: This is Theresa Kehoe. We'd
9 really like to thank our panel members for the
10 robust discussion today, and we've heard your
11 concerns, and we'll take them back and continue to
12 work on the application. I'd like to thank all our
13 open public hearing speakers and their families for
14 their very important impact and messages today, and
15 to thank the FDA review team for their hard work on
16 the application. Thank you.

17 **Adjournment**

18 DR. LOW WANG: Thank you so much. We will
19 now adjourn the meeting. Thank you.

20 (Whereupon, at 5:24 p.m., the meeting was
21 adjourned.)

22