

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Thursday, October 5, 2023

9:30 a.m. to 3:03 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Joyce Frimpong, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

Mark R. Conaway, PhD

Professor
Division of Translational Research and Applied
Statistics
Department of Public Health Sciences
The University of Virginia School of Medicine
Charlottesville, Virginia

1 **William J. Gradishar, MD**

2 Professor of Medicine/Betsy Bramsen Professor of
3 Breast Oncology
4 Chief, Hematology/Oncology
5 Robert H. Lurie Comprehensive Cancer Center
6 Feinberg School of Medicine at Northwestern
7 University
8 Chicago, Illinois
9

10 **Ravi A. Madan, MD**

11 *(Chairperson)*
12 Senior Clinician
13 Head, Prostate Cancer Clinical Research Section
14 Genitourinary Malignancies Branch
15 Center for Cancer Research (CRC)
16 National Cancer Institute (NCI)
17 National Institutes of Health (NIH)
18 Bethesda, Maryland
19
20
21
22

1 **David E. Mitchell**

2 *(Consumer Representative)*

3 President

4 Patients for Affordable Drugs

5 Bethesda, Maryland

6

7 **Jorge J. Nieva, MD**

8 Associate Professor of Clinical Medicine

9 Section Head, Solid Tumors

10 University of Southern California (USC) Norris

11 Comprehensive Cancer Center

12 Keck School of Medicine of USC

13 Los Angeles, California

14

15 **Ashley Rosko, MD**

16 Associate Professor

17 Division of Hematology

18 Medical Director Oncogeriatric

19 The Ohio State University Comprehensive

20 Cancer Center

21 Columbus, Ohio

22

1 **Daniel Spratt, MD**

2 Vincent K Smith Chair, Department of Radiation

3 Oncology

4 Professor of Radiation Oncology and Urology

5 University Hospitals Seidman Cancer Center

6 Case Western Reserve University

7 Cleveland, Ohio

8

9 **Neil Vasan, MD, PhD**

10 Assistant Professor

11 Division of Hematology & Oncology

12 Department of Medicine

13 Herbert Irving Comprehensive Cancer Center

14 Columbia University Medical Center

15 New York, New York

16

17

18

19

20

21

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Albert L. Kraus, PhD**

4 *(Acting Industry Representative)*

5 Managing Partner

6 GDS Partners, LLC

7 Guilford, Connecticut

8

9 **TEMPORARY MEMBERS (Voting)**

10 **James L. Gulley, MD, PhD, FACP**

11 Medical Oncology

12 Co-Director

13 Center for Immuno-Oncology, CRC, NCI

14 Clinical Director, NCI, NIH

15 Bethesda, Maryland

16

17 **Philip C. Hoffman, MD**

18 Clinical Professor of Medicine

19 Section of Hematology/Oncology

20 University of Chicago

21 Chicago, Illinois

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

James Pantelas

(Patient Representative)

Howell, Michigan

Pamela Shaw, PhD, MS

Senior Investigator

Biostatistics Division

Kaiser Permanente Washington Health Research

Institute

Seattle, Washington

FDA PARTICIPANTS (Non-Voting)

Richard Pazdur, MD

Director, Oncology Center of Excellence (OCE)

Office of the Commissioner (OC)

Director (Acting)

Office of Oncologic Diseases (OOD)

Office of New Drugs (OND), CDER, FDA

1 **Harpreet Singh, MD**

2 Director

3 Division of Oncology 2 (DO2)

4 OOD, OND, CDER, FDA

5

6 **Paz Vellanki, MD, PhD**

7 Cross Disciplinary Team Lead

8 DO2, OOD, OND, CDER, FDA

9

10 **Jeevan Puthiamadathil, MD**

11 Clinical Reviewer

12 DO2, OOD, OND, CDER, FDA

13

14 **Pallavi Mishra-Kalyani, PhD**

15 Deputy Director

16 Division of Biometrics V (DBV)

17 Office of Biostatistics (OB)

18 Office of Translation Sciences (OTS)

19 CDER, FDA

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Anup Amatya, PhD

Biometrics Team Leader

DBV, OB, OTS, CDER, FDA

Chi (Chuck) Song, PhD

Statistical Reviewer

DBV, OB, OTS, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Ravi A. Madan, MD	12
5	Introduction of Committee	
6	Joyce Frimpong, PharmD	12
7	Conflict of Interest Statement	
8	Joyce Frimpong, PharmD	17
9	FDA Opening Remarks	
10	Harpreet Singh, MD	21
11	Applicant Presentations - Amgen Inc.	
12	Introduction	
13	Jackie Kline, PhD	39
14	Efficacy	
15	Bhakti Mehta, MD, MPH	43
16	Safety	
17	Osa Eisele, MD, MPH	52
18	Reliability of CodeBreak 200 Results	
19	Gregory Friberg, MD	59
20	Clinical Perspective	
21	Melissa Johnson, MD	72
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
FDA Presentations	
Sotorasib for KRAS G12C Mutated	
Locally Advanced or Metastatic	
Nonsquamous Non-Small Cell Lung Cancer	
Jeevan Puthiamadathil, MD	79
Chi (Chuck) Song, PhD	96
Paz Vellanki, MD, PhD	106
Clarifying Questions	117
Open Public Hearing	173
Clarifying Questions (continued)	198
Questions to the Committee and Discussion	229
Adjournment	260

P R O C E E D I N G S

(9:30 a.m.)

Call to Order

DR. MADAN: Good morning, and welcome. I'd first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her e-mail is currently displayed.

My name is Ravi Madan. I will be chairing this meeting. I will now call the October 5, 2023 Oncologic Drug Advisory Committee meeting to order. Dr. Joyce Frimpong is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. FRIMPONG: Good morning. My name is Joyce Frimpong, and I'm the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Conaway?

DR. CONAWAY: Mark Conaway, biostatistics,

1 University of Virginia School of Medicine.

2 DR. FRIMPONG: Thank you.

3 Dr. Gradishar?

4 DR. GRADISHAR: Bill Gradishar, medical
5 oncology, Northwestern University.

6 DR. FRIMPONG: Dr. Madan?

7 DR. MADAN: Ravi Madan, medical oncologist,
8 National Cancer Institute.

9 DR. FRIMPONG: Mr. Mitchell?

10 MR. MITCHELL: I'm David Mitchell. I am the
11 consumer representative to the ODAC. I am
12 president of an organization called Patients for
13 Affordable Drugs, and I'm a multiple myeloma
14 patient myself.

15 DR. FRIMPONG: Dr. Nieva?

16 DR. NIEVA: Hello. I'm George Nieva. I'm a
17 thoracic medical oncologist at the University of
18 Southern California Norris Cancer Center.

19 DR. FRIMPONG: Dr. Rosko?

20 DR. ROSKO: Good morning. Ashley Rosko,
21 Division of Hematology at The Ohio State
22 University.

1 DR. FRIMPONG: Thank you.

2 Dr. Spratt?

3 DR. SPRATT: Dr. Dan Spratt. I'm a
4 professor and chair of radiation oncology at Case
5 Western Reserve University.

6 DR. FRIMPONG: Dr. Vasani?

7 DR. VASANI: Hi. Neil Vasani, medical
8 oncologist at Columbia University, Irving Medical
9 Center.

10 DR. FRIMPONG: For our industry
11 representative, Dr. Kraus?

12 DR. KRAUS: Good morning, everyone. Albert
13 Kraus. I'm an independent consultant with GDS
14 Partners, and prior, a lot of industry experience,
15 small and big, in R&D. Thank you.

16 DR. FRIMPONG: Our temporary voting members,
17 Dr. Gulley?

18 DR. GULLEY: Hi. James Gulley, National
19 Cancer Institute, medical oncology.

20 DR. FRIMPONG: Dr. Hoffman?

21 DR. HOFFMAN: My name is Philip Hoffman.
22 I'm a medical oncologist at University of Chicago.

1 DR. FRIMPONG: Mr. Pantelas?

2 MR. PANTELAS: I am Jim Pantelas. I'm a
3 patient advocate and a lung cancer survivor of
4 18 years.

5 DR. FRIMPONG: And Dr. Shaw?

6 DR. SHAW: Hello. My name is Pamela Shaw,
7 and I'm senior investigator of biostatistics at
8 Kaiser Permanente Washington Health Research
9 Institute.

10 DR. FRIMPONG: And now for our FDA
11 participants, Dr. Pazdur?

12 DR. PAZDUR: Hi. Rick Pazdur, director,
13 Oncology Center of Excellence, FDA.

14 DR. FRIMPONG: Dr. Singh?

15 DR. SINGH: Harpreet Singh, medical
16 oncologist, director of the Division of Oncology 2.

17 DR. FRIMPONG: Dr. Vellanki?

18 DR. VELLANKI: Hi. Paz Vellanki, medical
19 oncologist and cross-disciplinary team lead at the
20 FDA.

21 DR. FRIMPONG: Dr. Puthiamadathil?

22 DR. PUTHIAMADATHIL: Hi. Jeevan

1 Puthiamadathil, medical oncologist and clinical
2 reviewer in the Division of Oncology 2 at the FDA.

3 DR. FRIMPONG: Dr. Mishra-Kalyani?

4 DR. MISHRA-KALYANI: Pallavi Mishra-Kalyani,
5 deputy division director, Division of Biometrics V.

6 DR. FRIMPONG: Dr. Amatya?

7 DR. AMATYA: Anup Amatya, statistical team
8 leader, Division of Biometrics V. Thank you.

9 DR. FRIMPONG: And Dr. Song?

10 DR. SONG: Chuck Song, the primary
11 statistical reviewer from FDA.

12 DR. FRIMPONG: Thank you, everyone.

13 Dr. Madan, I'll hand it back to you.

14 DR. MADAN: Thank you.

15 For topics such as those being discussed at
16 this meeting, there are often a variety of
17 opinions, some of which are quite strongly held.
18 Our goal at this meeting will be a fair and open
19 forum for discussion of these issues, and that
20 individuals can express their views without
21 interruption. Thus, as a gentle reminder,
22 individuals will be allowed to speak into the

1 record only if recognized by the chairperson. We
2 look forward to a productive meeting.

3 In the spirit of the Federal Advisory
4 Committee Act and the Government in the Sunshine
5 Act, we ask the advisory committee members to take
6 care that their conversations about the topic at
7 hand take place in the open forum of the meeting.

8 We are aware that members of the media are
9 anxious to speak with the FDA about these
10 proceedings; however, the FDA will refrain from
11 discussing the details of this meeting with the
12 media until its conclusion. Also, the committee is
13 reminded to please refrain from discussing the
14 meeting topic during breaks or lunch. Thank you.

15 Dr. Frimpong will read the Conflict of
16 Interest Statement of the meeting.

17 **Conflict of Interest Statement**

18 DR. FRIMPONG: Thank you, Dr. Madan.

19 The Food and Drug Administration is
20 convening today's meeting of the Oncologic Drugs
21 Advisory Committee under the authority of the
22 Federal Advisory Committee Act of 1972. With the

1 exception of the industry representative, all
2 members and temporary voting members of the
3 committee are special government employees or
4 regular federal employees from other agencies and
5 are subject to federal conflict of interest laws
6 and regulations.

7 The following information on the status of
8 this committee's compliance with federal ethics and
9 conflict of interest laws, covered by but not
10 limited to those found at 18 U.S.C. Section 208, is
11 being provided to participants in today's meeting
12 and to the public.

13 FDA has determined that members and
14 temporary voting members of this committee are in
15 compliance with federal ethics and conflict of
16 interest laws. Under 18 U.S.C. Section 208,
17 Congress has authorized FDA to grant waivers to
18 special government employees and regular federal
19 employees who have potential financial conflicts
20 when it is determined that the agency's need for a
21 special government employee's services outweighs
22 their potential financial conflict of interest, or

1 when the interest of a regular federal employee is
2 not so substantial as to be deemed likely to affect
3 the integrity of the services which the government
4 may expect from the employee.

5 Related to the discussion of today's
6 meeting, members and temporary voting members of
7 this committees have been screened for potential
8 financial conflicts of interests of their own, as
9 well as those imputed to them, including those of
10 their spouses or minor children and, for purposes
11 of 18 U.S.C. Section 208, their employers. These
12 interests may include investments; consulting;
13 expert witness testimony; contracts, grants,
14 CRADAs; teaching, speaking, writing; patents and
15 royalties; and primary employment.

16 Today, the discussion of supplemental new
17 drug application, sNDA, 214665/S-005, for Lumakras,
18 sotorasib, tablets, submitted by Amgen,
19 Incorporated, for the proposed treatment of adult
20 patients with KRAS G12C mutated locally advanced or
21 metastatic non-small cell lung cancer, as
22 determined by an FDA approved test, who have

1 received at least one prior systemic therapy. This
2 supplement proposes to convert the NDA to full
3 approval, based on the confirmatory study,
4 CodeBreaK 200. The committee will consider the
5 result of the CodeBreaK 200 study and discuss the
6 benefit-risk profile of Lumakras.

7 This is a particular matters meeting during
8 which specific matters related to Amgen's sNDA will
9 be discussed. Based on the agenda for today's
10 meeting and all financial interest reported by the
11 standing voting members and temporary voting
12 members, no conflict of interest waivers have been
13 issued in connection with this meeting.

14 To ensure transparency, we encourage all
15 standing committee members and temporary voting
16 members to disclose any public statements that they
17 have made concerning the product at issue. With
18 respect to FDA's invited industry representative,
19 we would like to disclose that Dr. Albert Kraus is
20 participating in this meeting as a non-voting
21 industry representative, acting on behalf of
22 regulated industry. Dr. Kraus' role at this

1 meeting is to represent industry in general and not
2 any particular company. Dr. Kraus is employed by
3 GDS Partners, LLC.

4 We would like to remind members and
5 temporary voting members that if the discussion
6 involves any other products or firms not already on
7 the agenda for which an FDA participant has a
8 personal or imputed financial interest, the
9 participants need to exclude themselves from such
10 involvement, and their exclusion will be noted for
11 the record. FDA encourages all other participants
12 to advise the committees of any financial
13 relationships that they may have with the firm at
14 issue. Thank you.

15 Dr. Madan, back to you.

16 DR. MADAN: Thank you, Dr. Frimpong.

17 We will now proceed with the FDA
18 introductory remarks from Dr. Harpreet Singh.

19 **FDA Opening Remarks - Harpreet Singh**

20 DR. SINGH: Good morning. I am Harpreet
21 Singh, medical oncologist and director of the
22 Division of Oncology 2. We convene today's

1 Oncologic Drugs Advisory Committee to discuss the
2 development of sotorasib for patients with
3 non-small cell lung cancer harboring KRAS G12C
4 mutations. Sotorasib was granted an accelerated
5 approval based on single-arm data from the trial
6 CodeBreaK 100 in May of 2021, making it the first
7 FDA approved therapy to target KRAS G12C, which for
8 many decades was considered an undruggable target
9 in oncology.

10 Amgen, who I will refer to as the applicant
11 moving forward, conducted a randomized trial,
12 CodeBreaK 200, to verify the clinical benefit of
13 sotorasib. The applicant submitted a supplemental
14 new drug application in February for conversion
15 from accelerated or conditional approval to full or
16 traditional approval, based on the CodeBreaK 200
17 results, which will serve as the focus for today's
18 committee.

19 FDA's initial assessment of CodeBreaK 200
20 was that the trial was reported as statistically
21 significant, meeting its primary endpoint of
22 progression-free survival, but with a small or

1 incremental effect against single-agent docetaxel.
2 There was no difference in overall survival between
3 the two treatment arms. However, initial signs of
4 potential bias, such as high rates of patient
5 dropout on the docetaxel relative to the sotorasib
6 arm, led us to further investigate the potential
7 for systemic and open-label bias in CodeBreaK 200.
8 The patterns of behavior and study conduct
9 suggested a consistent bias in favor of sotorasib
10 and created uncertainty in our ability to interpret
11 the results of the primary efficacy endpoint, and
12 in turn, the overall trial.

13 Sotorasib is an oral tyrosine kinase
14 inhibitor developed for the treatment of patients
15 with KRAS G12C mutations, which comprise
16 approximately 13 percent of patients with non-small
17 cell lung cancer. In May of '21, the results of
18 CodeBreaK 100 led to an accelerated approval of
19 single-agent sotorasib for patients who have
20 progressed after one line of systemic therapy.
21 Most patients had received a standard first-line
22 regimen of immunotherapy with platinum-based

1 chemotherapy. CodeBreaK 100 was a single-arm
2 trial, yielding a 36 percent response rate with
3 10 months of durability, for a population with few
4 options often relegated to single-agent docetaxel
5 or other chemotherapies, with historic response
6 rates of 8 to 12 percent.

7 Sotorasib was a first-in-class therapy, and
8 early promising results were met with great
9 enthusiasm by the oncology community. In today's
10 information age, it is possible that emerging data
11 from other trial results may have increased
12 patients and investigator awareness of sotorasib,
13 and in turn, their desire to access sotorasib,
14 making it more challenging to conduct an open-label
15 trial.

16 CodeBreaK 200 is an ongoing randomized trial
17 designed to verify the clinical benefit of
18 sotorasib seen in early single-arm data. Patients
19 were randomized 1 to 1 to receive daily oral
20 sotorasib versus every 3-week intravenous
21 docetaxel. The primary endpoint was
22 progression-free survival by blinded independent

1 central review. Enrollment began in June of 2020
2 and was completed by April of 2021, prior to the
3 accelerated approval in May the same year.

4 Crossover was not initially offered as part
5 of the study design; however, with the results of
6 CodeBreaK 200 in hand, FDA and the applicant
7 discussed adding crossover to mitigate concerns for
8 patient and investigator bias in favor of the
9 investigational drug. Though the study design was
10 modified to add crossover to allow patients to
11 progress on docetaxel to access sotorasib, the
12 patterns of early dropout on the docetaxel arm had
13 already occurred, and additional signs of potential
14 bias were beginning to emerge.

15 Before we discuss the top-line results, we
16 note that the FDA was contacted by the applicant
17 several months before the final CodeBreaK 200
18 results were submitted to discuss results of a
19 planned interim PFS analysis, which had been
20 narrowly flipped from a negative to a positive
21 finding, based on applicant-triggered re-reads of
22 discrepant assessments between investigators and

1 blinded radiologists.

2 While FDA advised that the applicant not
3 submit for regulatory consideration at that time
4 and instead follow the data monitoring committee's
5 advice to continue the trial as planned, this was
6 the first suggestion that the sotorasib arm may
7 have underperformed or docetaxel overperformed
8 relative to historical data. This was also when we
9 first became concerned about possible violations of
10 the imaging charter and overall study integrity.

11 You will hear more about this from
12 Dr. Puthiamadathil later in the FDA presentation.

13 Top-line results of the primary endpoint,
14 PFS by BICR, and overall survival, which was a
15 secondary endpoint, are shown here. Per the
16 applicant, treatment with sotorasib yielded a
17 statistically significant improvement in PFS
18 relative to docetaxel, with a median improvement of
19 5 weeks and a hazard ratio of 0.66. We note that
20 patients' tumors were measured every 6 weeks,
21 creating uncertainty in the median PFS benefit of
22 5 weeks, since tumors could have begun growing

1 earlier than imaging picked up.

2 FDA acknowledges the head-to-head design of
3 CodeBreak 200, as well as the different routes of
4 administration and toxicity profiles of sotorasib
5 versus docetaxel. There was no difference in
6 overall survival, and though up to 34 percent of
7 patients on the docetaxel arm subsequently were
8 treated with KRAS targeting therapies, our
9 statistical review indicates that this was unlikely
10 to have impacted these OS findings. In a
11 refractory disease setting, overall survival is a
12 critical measure of benefit when assessing the
13 totality of evidence.

14 One of the first signals of potential bias
15 in CodeBreak 200 was the high rate of early
16 dropouts on the docetaxel arm relative to
17 sotorasib. The FDA observed that 23 patients
18 randomized to the docetaxel arm dropped out of the
19 study or withdrew consent shortly after they were
20 made aware of their treatment assignment, compared
21 to only 2 patients on the sotorasib arm. This high
22 rate of imbalanced early dropout from the docetaxel

1 arm was a signal that CodeBreaK 200 may have had a
2 perceived lack of equipoise by both patients and
3 providers elect.

4 When patients drop out of trials or withdraw
5 consent in an asymmetric manner, this results in a
6 loss of information, which could potentially bias
7 results and make it difficult to quantify the true
8 treatment effect. Dropout in clinical trials is
9 common, particularly in the setting of open-label
10 trials. There may be a perceived loss of equipoise
11 if patients and/or providers believe that the
12 control arm is suboptimal. Emerging data from
13 other trial results in an active therapeutic
14 landscape may influence rate of drop out, and while
15 this type of open-label bias would be concerning in
16 any trial, this is compounded in CodeBreaK 200 by
17 the modest effect of sotorasib relative to
18 docetaxel.

19 In addition to the asymmetric early dropout,
20 FDA found that investigators' assessment of
21 patients' imaging or CT scans often ruled in favor
22 of the sotorasib arm. Such patterns of behavior

1 uncovered in the data can permeate to other aspects
2 of trial conduct, which we are not able to see or
3 quantify. For example, there may be underreporting
4 of adverse events in an effort to remain on study
5 drug.

6 The FDA review found that collectively
7 investigator assessments relative to that of the
8 blinded independent central review were biased in
9 favor of sotorasib. When considering discordance
10 assessment between the unblinded investigators and
11 the BICR, we found that there were greater early
12 calls of progression compared to the blinded review
13 for the docetaxel arm, or early discordance, and
14 there were more late calls for progression by
15 investigators compared to the BICR for the
16 sotorasib arm, which is called late discordance.
17 Again, while we do expect some discordance in every
18 trial, in CodeBreak 200, the differential
19 distribution of discordance across arms may signal
20 a potential bias in favor of sotorasib.

21 Some of the patterns in study conduct and
22 resulting challenges in interpretation of the data

1 raised questions as to whether we could consider
2 CodeBreakK 200 an adequate and well-controlled
3 trial. According to the Code of Federal
4 Regulations, features of an adequate and
5 well-controlled trial include adequate measures to
6 minimize bias in subject assignment to treatment
7 group; adequate measures to minimize bias on the
8 part of subjects, observers, and analysts of the
9 data; well-defined and reliable methods to assess
10 response; and ultimately, whether the study allows
11 for adequate analysis of the results to assess the
12 effect of the drug.

13 In the primary FDA presentation, our
14 oncologists and biostatisticians will describe how
15 the asymmetric early dropout, discordance between
16 investigators and the BICR in assessment of
17 progressive disease, and potential violations of
18 the imaging charter have made it challenging to
19 truly assess the treatment effect of sotorasib in
20 CodeBreakK 200. The loss of patient-level
21 information due to censoring and early dropout
22 confounds our ability to conduct adequate analyses

1 of the results of CodeBreak 200 to assess the
2 effect, and importantly the magnitude of effect, of
3 sotorasib versus docetaxel.

4 You will hear from Dr. Chuck Song, FDA
5 biostatistician. Dr. Chuck Song conducted a
6 tipping-point analysis, examining how the observed
7 results may change if we were to assume a different
8 risk of PFS event for 39 patients, who were
9 censored early due to either dropout or crossover.
10 In this analysis, we show a potential loss of
11 statistical significance in the PFS endpoint,
12 suggesting that the primary endpoint may not be
13 sufficiently robust or able to withstand
14 variability in patient outcome.

15 A complete and balanced assessment of the
16 primary PFS endpoint includes evaluation of the
17 hazard ratio, median benefit, event rates, and
18 shape of the Kaplan-Meier curves. The applicant
19 asserts that the results of CodeBreak 200 are
20 robust, as the PFS hazard ratio withstands multiple
21 sensitivity analyses. FDA agrees that the
22 estimated PFS hazard ratio is generally consistent

1 across multiple analyses; however, we also note our
2 tipping-point analysis, which showed that the
3 statistical significance of the hazard ratio may
4 not hold under different assumptions regarding the
5 level of informative censoring caused by early
6 dropouts and early crossover.

7 Both the applicant and the FDA agree that
8 based on an interval censoring method, the PFS
9 benefit could be as low as 5 [indiscernible] days.
10 We note a higher rate of PFS events on the
11 sotorasib arm, though we acknowledge this must be
12 viewed in the setting of incomplete information
13 with early dropouts on the docetaxel arm. When
14 evaluating the Kaplan-Meier curves, we note that
15 given high levels of censoring, the latter half of
16 the curve, which appears to be separated, may not
17 be reliable. This comprehensive assessment
18 highlights uncertainty regarding the robustness of
19 the PFS results and our ability to quantify the
20 treatment effect of sotorasib.

21 In today's presentations, you will hear a
22 discussion about both the design of CodeBreak 200

1 and the conduct, and it is important to make the
2 distinction between the two. Certain elements of
3 the study design of CodeBreaK 200 -- in particular,
4 the open label nature of the trial -- certainly may
5 have influenced study conduct. Study conduct
6 issues are those such as informative censoring and
7 individual decisions, and patient management
8 favoring sotorasib, which collectively represented
9 a potential systemic bias impacting the fidelity of
10 the primary PFS endpoint, as well as the overall
11 trial results. You will hear from Dr. Vellanki
12 later in the FDA presentation about several
13 mitigation strategies, which may be utilized in
14 open-label trials to help address expected bias.

15 When assessing whether the results of
16 CodeBreaK 200 may be used to convert the
17 accelerated approval of sotorasib to a traditional
18 approval, we must consider whether the PFS per BICR
19 results can be reliably interpreted. If so, then
20 CodeBreaK 200 could potentially serve as
21 confirmation of clinical benefit and fulfillment of
22 the postmarketing requirement. We note for the

1 committee that a lack of superiority finding does
2 not infer a noninferiority finding. This would
3 require an a priori statistical design and
4 assumptions, often involving relatively large
5 patient numbers and most suited for an overall
6 survival endpoint.

7 If CodeBreak 200 cannot be used to verify
8 clinical benefit, for example, if our concerns
9 regarding study conduct supersede the narrow
10 therapeutic effect of sotorasib relative to
11 docetaxel, we would have an accelerated approval
12 which has yet to be converted to a traditional or
13 regular approval, and we would consider potential
14 next steps within our regulatory framework.

15 FDA oncologists recognize the unmet need for
16 patients with actionable mutation such as KRAS
17 G12C, as well as evolving treatment paradigm. A
18 decision to withdraw an accelerated approval is not
19 automatic in the setting of a "failed" confirmatory
20 trial; it is affected by many factors, all of which
21 we will consider for sotorasib. We consider the
22 nature of the "failed" trial. For example, if

1 there is a detriment in survival, we consider the
2 current therapeutic landscape at the time of the
3 failed trial, not at the time of the initial
4 accelerated approval, and certainly we consider a
5 potential safety advantage of the drug granted
6 accelerated approval.

7 This is just a snapshot of what is publicly
8 known regarding other drugs in development for
9 patients with KRAS G12C mutations. The FDA has a
10 wide-angle view of the therapeutic landscape,
11 including other trials which may be ongoing or
12 planned, and thus can reasonably assess areas of
13 current or future unmet need. The furthest along
14 in development is adagrasib, which was granted
15 accelerated approval in December of '22, about
16 18 months after the approval of sotorasib. The
17 sponsor is conducting a clinical trial very similar
18 to CodeBreak 200 called KRYSTAL-12. Some key
19 differences from CodeBreak 200 included a 2-to-1
20 randomization schema and crossover after real-time
21 BICR was implemented from study start. This trial
22 is ongoing, and the design certainly was influenced

1 by external trial results and anticipated
2 open-label buying. FDA also notes that the
3 applicant is planning another randomized trial in
4 the first-line setting, CodeBreaK 202, which could
5 potentially be used to confirm benefit.

6 As oncologists, we all seek to provide the
7 best care for our patients, and in CodeBreaK 200,
8 we believe that all parties were acting in what
9 they perceived to be the best interest of patients
10 based on available data; however, it is the
11 collective pattern of conduct in this trial which
12 raised concern about the fidelity of the primary
13 endpoint, and in turn the overall trial results.
14 We noted that our initial assessment of
15 CodeBreaK 200 was equivocal; however, as signals of
16 bias continued to emerge during the course of the
17 review, we felt it was important to bring forth
18 these issues to a wider oncology community.

19 The FDA is one of the only regulatory health
20 authorities in the world who does patient-level
21 data analysis from raw data sets, and thus would be
22 in a position to perform high-quality objective

1 analyses of clinical trial data. We must go where
2 the data takes us, sometimes even in spite of our
3 own biases and enthusiasm for novel therapies. For
4 patients in the U.S. and around the globe, through
5 parallel regulatory reviews via OCE's Project
6 Orbis, the FDA seeks to approve and label cancer
7 therapeutics based on high-quality evidence which
8 is robust and can withstand statistical pressure
9 testing or various sensitivity analyses. And
10 again, we note that while we often see bias in
11 oncology trials, it may be able to be mitigated by
12 factors such as endpoint selection and magnitude of
13 benefit.

14 For the purposes of today's discussion, we
15 ask the committee to discuss the multiple signals
16 of potential bias favoring sotorasib, as well as
17 patterns in study conduct in the context of
18 top-line efficacy results. Did CodeBreaK 200
19 demonstrate superiority of sotorasib versus
20 docetaxel? And if so, can we reliably quantify its
21 effect? We will ask the committee to vote on
22 whether the primary endpoint of progression-free

1 survival per blinded independent central review can
2 be reliably interpreted in CodeBreaK 200.

3 Finally, I would like to thank the patients,
4 caregivers, providers, research staff, and
5 investigators involved in the study of sotorasib
6 and other drugs in class. Trials like
7 CodeBreaK 200 are designed to answer important
8 scientific questions. We acknowledge the
9 challenges such trials face and hope to spend more
10 time discussing mitigation strategies moving
11 forward. I look forward to a thoughtful discussion
12 today between FDA, the applicant, and our advisory
13 committee. Thank you.

14 DR. KLINE: Good morning. My name is Jackie
15 Kline, and I'm the vice president of Global
16 Regulatory Affairs for Oncology at Amgen. I'd like
17 to thank the committee for their time today.

18 DR. MADAN: Dr. Kline, let me just introduce
19 this portion here.

20 Both the FDA and the public believe in a
21 transparent process for information gathering and
22 decision making. To ensure such transparency at

1 the advisory committee meeting, the FDA believes
2 that it is important to understand the context of
3 an individual's presentation. For this reason, FDA
4 encourages all participants, including the
5 applicant's non-employee presenters, to advise the
6 committee of any financial relationships that they
7 may have with the applicant, such as consulting
8 fees, travel expenses, honoraria, and interest in
9 the applicant, including equity interests and those
10 based upon the outcome of the meeting.

11 Likewise, FDA encourages you at the
12 beginning of your presentation to advise the
13 committee if you have any such financial
14 relationships. If you choose not to address this
15 issue of financial relationships at the beginning
16 of your presentation, it will not preclude you from
17 speaking.

18 We will now proceed with the Amgen,
19 Incorporated presentation. Sorry for the
20 interruption. Please continue.

21 **Applicant Presentation - Jackie Kline**

22 DR. KLINE: Thank you.

1 Good morning. My name is Jackie Kline, and
2 I'm the vice president of Global Regulatory Affairs
3 for Oncology at Amgen. I'd like to thank the
4 committee for their time today, and the FDA for the
5 opportunity to review important data for sotorasib
6 from our phase 3 study, CodeBreaK 200.

7 FDA granted accelerated approval for
8 sotorasib based on CodeBreaK 100, a single-arm
9 study in patients with non-small cell lung cancer
10 with the KRAS G12C mutation. Today, we will
11 discuss the results of our confirmatory study known
12 as CodeBreaK 200, which provides a head-to-head
13 comparison of sotorasib to docetaxel. FDA has
14 raised important questions about the reliability of
15 the results of this study. Amgen will present data
16 and analyses that address FDA questions and
17 demonstrate that CodeBreaK 200 can be reliably
18 interpreted to confirm the clinical benefit of
19 sotorasib.

20 Lung cancer is the leading cause of
21 cancer-related deaths worldwide. In the United
22 States, the KRAS G12C mutation is estimated to be

1 present in approximately 13 percent of patients
2 with lung adenocarcinoma. This equates to an
3 estimated 10,000 patients with advanced disease.
4 This mutation impairs cycling of KRAS and leads to
5 oncogenic signaling and subsequent tumorigenesis.
6 Notably, it rarely occurs in the presence of other
7 actionable mutations.

8 Sotorasib is a first-in-class therapy that
9 covalently binds to the KRAS G12C mutated protein,
10 locks it in the inactive state, and prevents
11 downstream proliferation and signaling.

12 CodeBreaK 200 is a phase 3 study designed to
13 confirm the clinical benefit of sotorasib.
14 Initially, the study was designed with a primary
15 endpoint of progression-free survival and several
16 key secondary endpoints, including overall
17 survival. To provide sufficient power for
18 assessment of overall survival, the study was
19 designed to enroll 650 patients.

20 While CodeBreaK 200 was enrolling, the
21 primary analysis for CodeBreaK 100 was completed.
22 In that study, sotorasib demonstrated an objective

1 response rate of 36 percent and a median duration
2 of response of 10 months. Based on these results
3 and in consultation with FDA, Amgen decided to
4 focus only on the progression-free survival primary
5 endpoint and amended the study protocol to decrease
6 the sample size to 330 patients. With this change,
7 the power for the overall survival endpoint was
8 substantially decreased. In the same amendment,
9 patients were eligible for crossover from docetaxel
10 to sotorasib upon documentation of progressive
11 disease. While the enrollment of patients was
12 close to completion when this amendment was
13 finalized, only 25 percent of progression events
14 had occurred by that time.

15 Today, we will present data to support the
16 following points. Treatment with sotorasib results
17 in improved progression-free survival over
18 docetaxel and rapid and durable tumor response.
19 Sotorasib exhibits a differentiated safety profile
20 as compared to docetaxel. Risks are well
21 characterized and manageable. CodeBreaK 200 can be
22 reliably interpreted to confirm the clinical

1 benefit of sotorasib. And finally, sotorasib
2 provides an important option for the treatment of
3 patients with KRAS G12C mutated non-small cell lung
4 cancer.

5 In addition to our presenters, we also have
6 Dr. Gary Koch and several Amgen subject matter
7 experts available to answer questions. And now,
8 Dr. Mehta will present the efficacy results from
9 CodeBreaK 200.

10 **Applicant Presentation - Bhakti Mehta**

11 DR. MEHTA: Thank you, Dr. Kline.

12 Good morning. My name is Bhakti Mehta. I'm
13 an executive director within the oncology clinical
14 development group at Amgen. Today, I will review
15 the efficacy data from CodeBreaK 200.

16 CodeBreaK 200 is a global, randomized
17 phase 3 trial of sotorasib versus docetaxel in
18 patients with non-small cell lung cancer. Key
19 eligibility criteria included KRAS G12C; locally
20 advanced and unresectable or metastatic disease; at
21 least one prior systemic therapy for advanced
22 disease, including platinum-based chemotherapy and

1 checkpoint inhibitors, given either as one line of
2 therapy or as separate lines.

3 While patients with active brain metastases
4 were excluded, patients with previously treated
5 brain metastases were eligible. Patients were
6 stratified based on prior lines of therapy, race,
7 and history of CNS involvement. Patients were
8 randomized 1 to 1 to either sotorasib, given as
9 960 milligrams oral once daily, or docetaxel, given
10 as 75 milligrams per meter squared intravenously
11 every 3 weeks. Response assessment scans were
12 performed every 6 weeks on both arms.

13 While physicians and patients knew their
14 assigned treatments, the sponsor and the imaging
15 vendor were blinded to treatment assignment until
16 the primary analysis. The primary endpoint was
17 progression-free survival as assessed by blinded
18 independent central review. Secondary endpoints
19 included overall survival; response rates; duration
20 of response; time to response; disease control
21 rate; patient-reported outcomes; and safety
22 assessment. The protocol was amended to allow

1 patients on the docetaxel arm who had centrally
2 confirmed radiological progression to crossover to
3 sotorasib.

4 From June 2020 to April 2021, 345 patients
5 from 148 centers in 22 countries were randomized to
6 receive either sotorasib or docetaxel. Two
7 patients randomized to sotorasib and 23 patients,
8 or 13 percent, randomized to docetaxel withdrew
9 before receiving study treatment. Dr. Friberg will
10 address this imbalance in early dropouts later in
11 the presentation.

12 The most common reason for treatment
13 discontinuation was disease progression on both
14 treatment arms. At the time of the data cutoff,
15 22, or 13 percent, of sotorasib patients, and
16 seven, or 4 percent, of docetaxel patients, were
17 still receiving the assigned treatment.
18 Approximately a quarter of patients randomized to
19 docetaxel crossed over to sotorasib on protocol,
20 with the further 7 percent of patients known to
21 have received sotorasib off study.

22 The baseline characteristics were generally

1 well matched between the arms and reflective of the
2 KRAS G12C patient population. The median age for
3 both groups was 64. Nearly all were smokers and
4 were predominantly ECOG performance status 1.
5 One-third of patients had a history of CNS
6 involvement, and approximately a fifth of patients
7 had liver metastases. The median prior lines of
8 therapy were 2, and per protocol, patients were
9 required to have received both platinum-based
10 chemotherapy and checkpoint inhibitors.

11 The study met its primary endpoint of
12 progression-free survival by blinded independent
13 central review at a median study follow-up time of
14 17.7 months. With sotorasib in blue and docetaxel
15 in gray, you see an early separation of the curves,
16 starting at the first scan and sustained throughout
17 the course of the follow-up.

18 The most informative method of assessing the
19 PFS benefit is to look at the hazard ratio, which
20 looks at the entirety of the Kaplan-Meier curve.
21 As represented by the green area, sotorasib
22 demonstrated superiority over docetaxel, with a

1 statistically significant hazard ratio of 0.66 and
2 a p-value of 0.003. This represents an estimated
3 34 percent average lower risk of an event of
4 progression or death with sotorasib compared to
5 docetaxel.

6 Medium PFS values are one measure of the
7 differences between the treatment arms, albeit
8 [indiscernible] only one point on the Kaplan-Meier
9 curve, the 50th percentile on the Y axis. The
10 median was 5.6 months in the sotorasib arm versus
11 4.5 months in the docetaxel arm. At the 1-year
12 milestone, the PFS rate for sotorasib was 25
13 percent versus 10 percent for docetaxel. This
14 measure represents the effect size more robustly,
15 as this vertical difference between the two curves
16 is similar across the ranges from 8 to 14 months.

17 Now, how do these PFS results look in
18 different prespecified subgroups? Here is a forest
19 plot of the PFS hazard ratios that shows all the
20 point estimates to the left, indicating that the
21 hazard ratios remain in favor of sotorasib over
22 docetaxel across subgroups, including demographics,

1 performance score, prior lines of therapy, and in
2 poor prognostic groups such as history of CNS
3 involvement and liver metastases.

4 We will now examine several sensitivity
5 analyses that were conducted to test the robustness
6 of the data. Included in these analyses are
7 investigator-assessed PFS and three additional
8 analyses recommended by regulatory guidelines:
9 first, the investigator-assessed PFS, which does
10 not suffer from censoring or other issues that may
11 be attributed to central imaging; second, an
12 analysis in which all patients who initiated new
13 anti-cancer therapies were considered progressed at
14 the time of the anti-cancer treatment switch;
15 third, an analysis treating any withdrawal of
16 consent or loss of follow-up as a PFS event; and
17 fourth, an analysis using the scheduled scan
18 assessment date instead of the actual assessment
19 date.

20 As we can see in the right column, all of
21 these prespecified sensitivity analyses resulted in
22 hazard ratios consistent with the primary analysis

1 and favored sotorasib over docetaxel. These are
2 described in greater detail in the briefing
3 document. Taken together, these analyses show that
4 the primary endpoint outcome of PFS was robust.

5 Turning to additional efficacy endpoints,
6 there was an approximately 2-fold higher centrally
7 confirmed response rate for sotorasib versus
8 docetaxel. ORRs were 28 percent and 13 percent,
9 respectively, which met the predefined threshold
10 for statistical significance, with a p-value of
11 less than 0.001. This waterfall plot presents the
12 best confirmed change in target lesion size for
13 sotorasib on the left and docetaxel on the right.
14 The disease control rate was also higher for those
15 on sotorasib versus docetaxel, 83 percent versus
16 60 percent.

17 Now, let's look at the time course of these
18 responders in greater detail. There were
19 48 responders on the sotorasib arm, 2-fold more
20 than the 23 responders on the docetaxel arm. A
21 patient's first response is indicated by an orange
22 circle, a red cross mark indicates data progression

1 or death, and a green arrow indicates an ongoing
2 response. There was a 6-week faster median time to
3 response for sotorasib compared to docetaxel,
4 1.4 months versus 2.8 months. The median duration
5 of response was longer with sotorasib, 8.6 months
6 versus 6.8 months. These data show that sotorasib
7 treatment led to twice as many responders, with the
8 responses occurring in half the time and lasting
9 2 months longer.

10 Turning now to overall survival, which was
11 similar between the treatment arms, the
12 Kaplan-Meier curves are overlapping, with a hazard
13 ratio of 0.96 in this updated data cut. Now, let
14 us examine the OS results in different subgroups.
15 This forest plot for overall survival shows all the
16 hazard ratio point estimates right down the middle,
17 indicating that the OS was similar in both arms
18 across all subgroups.

19 Now, we turn to patient-reported outcomes.
20 Patient-reported outcomes were not formally
21 statistically tested due to hierarchical testing
22 rules; however, we believe that it is important to

1 share the patient experience with these treatments.
2 In CodeBreak 200, patients were asked to complete
3 these well-established PRO questionnaires to
4 capture the perception of quality of life and
5 symptom burden. PROs were measured at baseline and
6 on day 1 of each subsequent cycle until treatment
7 discontinuation. The analyses' endpoints were
8 change from baseline to week 12, time to
9 deterioration, and descriptive statistics.

10 I will review the data on the time to
11 deterioration PRO measures. Dr. Eisele will
12 present data on the patient experience with side
13 effects from the FACT-G measure, and a
14 comprehensive review of the other PRO measures are
15 provided in the briefing document.

16 Here, you see the median time to
17 deterioration in weeks in the quality-of-life
18 measures of global health status and physical
19 functioning and the time to deterioration for the
20 symptoms of dyspnea, cough, and chest pain. In all
21 of these PRO measures, sotorasib delayed the time
22 to deterioration compared to docetaxel.

1 To conclude a review of the efficacy data,
2 sotorasib showed significant improvement in the
3 primary endpoint of progression-free survival
4 versus docetaxel. PFS benefit was consistent and
5 statistically robust between central and
6 investigator review across subgroups and in
7 prespecified sensitivity analysis. The overall
8 response rate, the disease control rate, time to
9 response, and duration of response were all
10 improved for sotorasib versus docetaxel. Overall
11 survival was similar. Patient-reported outcomes
12 favored sotorasib across a variety of measures.

13 I'll now hand it over to Dr. Eisele to
14 review the safety data. Thank you.

15 **Applicant Presentation - Osa Eisele**

16 DR. EISELE: Thank you, Dr. Mehta.

17 Good morning. I'm Osa Eisele, executive
18 medical director within Global Patient Safety, and
19 I'll be reviewing the CodeBreak 200 safety data.

20 The safety profile of sotorasib is supported
21 by a robust data set that includes over 2,000
22 patients who have received sotorasib in the

1 clinical development program and over 5,000
2 patient-years of postmarketing exposure. The
3 monotherapy safety data shown here, and which
4 includes the CodeBreaK 200 study, is a subset of
5 the clinical development program. The
6 CodeBreaK 200 safety data set consists of
7 169 sotorasib patients and 151 docetaxel patients.

8 The median duration of treatment in the
9 sotorasib arm was longer, at 20 weeks and 7 cycles,
10 compared to 12 weeks and 4 cycles in the docetaxel
11 arm. The median relative dose intensities of
12 sotorasib and docetaxel were comparable and
13 consistent with their targeted doses. The longer
14 duration of study treatment for sotorasib with
15 similar relative dose intensities across both
16 treatment arms speaks to the overall tolerability
17 of sotorasib.

18 In this study, nearly every patient
19 experienced at least one adverse event. Grade 3 or
20 higher adverse events were more frequent with
21 sotorasib, while grade 4 or higher adverse events
22 were more frequent with docetaxel. The incidences

1 of fatal adverse events, serious adverse events,
2 and adverse events leading to discontinuation were
3 similar between treatment arms. Events leading to
4 dose modification were higher with sotorasib, and
5 this was driven by more frequent dose
6 interruptions.

7 This double tornado plot shows adverse
8 events with at least a 5 percent difference between
9 the two treatment arms. The plot on the top
10 reflects side effects that are more common with
11 sotorasib, while the bottom reflects those more
12 common with docetaxel. Diarrhea and elevations in
13 liver tests were more frequent with sotorasib, and
14 this is consistent with its safety profile. For
15 docetaxel, common events, including fatigue and
16 alopecia, are also consistent with its safety
17 profile. For sotorasib, the most frequent grade 3
18 or higher adverse events were diarrhea, ALT, and
19 AST elevations. For docetaxel, these include
20 fatigue, pneumonia, and neutropenia. These events
21 are again consisted with each drug's established
22 safety profile.

1 Treatment modifications, which include dose
2 interruptions and reductions, are the main
3 strategies to effectively manage toxicities.
4 Importantly, amongst sotorasib patients with dose
5 interruptions due to adverse events, the total
6 treatment interruption duration was less than
7 10 percent of the total treatment duration. As
8 seen in the table, the types of events leading to
9 treatment modification were, again, consistent with
10 each drug's safety profile.

11 Turning now to serious adverse events,
12 hospitalizations in advanced cancer patients are
13 common, and in this study, almost half the patients
14 in either treatment arm were hospitalized, mostly
15 due to their underlying cancer. For sotorasib, the
16 most frequent events leading to hospitalization
17 were hepatotoxicity and diarrhea, though
18 collectively, these accounted for a small
19 proportion of all hospitalizations. For docetaxel,
20 the most frequent events were infections,
21 neutropenia, and anemia, and these accounted for a
22 much larger proportion of all hospitalizations.

1 The incidence of treatment-related hospitalizations
2 was also high in docetaxel, at 22 percent versus
3 9 percent for sotorasib. In summary, docetaxel
4 toxicities more frequently resulted in
5 hospitalizations than sotorasib toxicities.

6 Now, we'll move on to discuss the sotorasib
7 key risks. These are risks that are important from
8 a clinical perspective in terms of either frequency
9 or severity, and include diarrhea, hepatotoxicity,
10 and interstitial lung disease. While ILD can be
11 severe, it is infrequent and is described in detail
12 in the briefing book. Thus, the remainder of the
13 presentation will focus on diarrhea and
14 hepatotoxicity.

15 Diarrhea occurred in 41 percent of patients,
16 and the majority of events were grade 1 and 2,
17 shown here in green and blue. There were no
18 grade 4 or fatal diarrheas. The table to the right
19 shows management and outcomes of diarrhea.
20 Diarrhea was effectively managed with dose
21 interruptions in 15 percent of patients and dose
22 reductions in 8 percent, with only one patient

1 discontinuing treatment. Use of antidiarrheals was
2 reported in 76 percent of these patients. Events
3 fully result in the majority of patients and the
4 median duration of diarrhea was 22 days. In
5 summary, the data demonstrate that diarrhea is
6 tolerable and manageable.

7 Now, we will review the hepatic events,
8 which occurred in 24 percent of sotorasib patients.
9 As evidenced by the most commonly reported AEs in
10 the table on the left, these events are primarily
11 characterized by ALT/AST elevations. Most of the
12 events were grade 3 severity, shown in orange on
13 the right. Importantly, there were no cases of
14 severe liver injury with hepatic failure and no
15 fatal events.

16 Shown here is the time course of ALT and AST
17 for each patient whose transaminase levels were
18 greater than 3 times the upper limit of normal.
19 Blood chemistry was collected on day 1 of each
20 cycle. As we can see by the peaks, in the majority
21 of patients, ALT and AST elevations were below
22 10 times the upper limit of normal. The declines

1 from peak elevations coincide with treatment
2 modification and speaks to both the reversibility
3 and manageability of these lab abnormalities.
4 Management of hepatic AEs was primarily through
5 treatment interruption in 18 percent of patients
6 and dose reductions in 7 percent of patients. In
7 8 percent of patients, treatment was withdrawn.

8 Approximately 70 percent of these patients
9 were also administered steroids. For the majority
10 of patients, events fully resolved and the median
11 duration of these events was 22 days. In summary,
12 the data demonstrate that hepatic events can be
13 managed through those modifications and supportive
14 care.

15 Now switching to patient-reported outcomes
16 and specifically the FACT-G item GP5 named, "I am
17 bothered by the side effects of treatment." This
18 PRO is a validated tool and is a summary measure of
19 side effect impact to the individual subject. Now,
20 let's look at the stacked bar chart for response
21 rates for sotorasib on the left and docetaxel on
22 the right. The yellow, orange, and red bars

1 illustrate patients who were more bothered by their
2 side effects, and these are more prevalent in the
3 docetaxel chart compared to the sotorasib chart.

4 In conclusion, the safety data from
5 CodeBreaK 200 was consistent with the known safety
6 profile of sotorasib. The safety profile of
7 sotorasib and docetaxel are characterized by
8 different types of adverse events, and sotorasib
9 patients report being less frequently bothered by
10 their side effects. Lastly, key risks of sotorasib
11 can be effectively monitored and are manageable
12 with treatment modifications and supportive care.

13 Thank you. I'll now hand the presentation
14 over to Dr. Friberg.

15 **Applicant Presentation - Gregory Friberg**

16 DR. FRIBERG: Thank you, Dr. Eisele, and
17 good morning. My name is Greg Friberg. I am a
18 vice president of Medical Affairs at Amgen. The
19 major question before you today is whether
20 CodeBreaK 200 can be reliably interpreted. You're
21 being asked to judge whether these results are
22 believable and whether they can be trusted, given

1 potential sources of bias.

2 To address this directly, let's review the
3 concerns highlighted in the FDA briefing document.
4 They list criteria A through F, which define what
5 is needed for a study to be considered adequate and
6 well controlled. Similarly, they summarize four
7 overarching areas of concern, which call into
8 question whether CodeBreak 200 meets these
9 criteria.

10 First, there were high rates of early
11 dropout on docetaxel. The concern here is that the
12 effects of randomization were lost and that the
13 arms are no longer comparable. Second, there were
14 discrepancies between investigator and central
15 reads for progression. The implication here is
16 that this was the symptom of a larger problem; that
17 investigator choices caused premature censoring of
18 docetaxel patients. Third, quality measures
19 relating to the central reads call into question
20 the reliability of these assessments altogether.
21 Finally, there is a concern that all of these
22 issues, when compounded, challenge whether the

1 primary endpoint can be believed.

2 These four areas of concern raise fair and
3 appropriate questions. They need to be thoroughly
4 interrogated to ensure that we have confidence in
5 the CodeBreaK 200 results. We will do so one at a
6 time and present several additional analyses to
7 provide some context.

8 First, we will focus on early dropouts. We
9 will walk through several approaches which attempt
10 to restore confidence in the randomization. Next,
11 we will discuss imaging procedures, and we'll
12 review the steps that were taken to ensure
13 reliability. Third, we will dive into the
14 potential impact of read discrepancies,
15 specifically as related to event censoring.

16 This was an open-label study, and it is
17 possible that investigators behave differently
18 based upon their knowledge of treatment. We will
19 explore this potential impact with several
20 tipping-point analyses using different levels of
21 statistical pessimism. Finally, we will focus on a
22 more holistic question. Do the CodeBreaK 200

1 results clinically make sense in the context of
2 other studies?

3 With respect to the early dropout, we know
4 that 23 patients randomized to docetaxel withdrew
5 from the study without ever receiving treatment.
6 When asking how this may have affected the results,
7 it is essential to know who these patients were.
8 We know they were recruited from 21 different sites
9 and 13 different countries. They did not appear to
10 be influenced by individual investigators.

11 Clinical covariates for these 23 patients
12 are shown in the table. If anything, these
13 patients had less favorable profiles than those who
14 actually received docetaxel. The factors
15 highlighted in green show that they had worse
16 performance status and a higher percentage of brain
17 or liver metastases. These early dropouts did not
18 clinically appear to be those destined for the best
19 outcomes.

20 As a sensitivity analysis, we performed a
21 stratified Cox model adjusted for clinically
22 relevant covariates to address the imbalances in

1 baseline characteristics introduced by early
2 dropouts. Five covariates were selected using
3 clinical considerations and a required prevalence
4 of at least 10 percent. As a methodologic
5 reminder, this approach already accounts for the
6 prespecified stratification factors on the right.

7 The PFS hazard ratio after this adjustment
8 was 0.60, with confidence intervals shown favoring
9 sotorasib. The fact that this hazard ratio was
10 slightly more favorable for sotorasib as compared
11 to the primary analysis supports the clinical
12 observation from the baseline characteristics that
13 early dropout patients may have had a less
14 favorable prognosis.

15 While the Cox model is reassuring, we wanted
16 to lean on these results further to better
17 understand the impact from early dropouts. You
18 have seen a version of this figure before in the
19 efficacy section. The 23 untreated early dropouts
20 on the docetaxel arm are circled in red. We wanted
21 to simulate how these patients might have performed
22 had they not dropped out. To do this, we sampled

1 from the pool of patients circled in green at the
2 bottom of the figure. These 120 patients are from
3 the same randomization pool and have remained on
4 study for at least 6 weeks. Sampling from this
5 patient pool accounts for both measured and
6 unmeasured variables.

7 This also removed some of the poorest
8 prognosis patients who were unable to reach week 6
9 due to progression, death, or censoring. We
10 performed multiple trial simulations, randomly
11 sampling in strata patients to replace each of the
12 23 untreated early dropouts. We chose not to
13 impute new results for the two early sotorasib
14 dropouts.

15 Here you see the results of this imputation
16 exercise. The average PFS hazard ratio across
17 20,000 simulations was 0.70, with the overwhelming
18 majority, over 99 percent, demonstrating PFS
19 superiority for sotorasib. While we acknowledge
20 the imbalance caused by untreated docetaxel
21 dropouts, these sensitivity analyses are
22 reassuringly consistent with the primary result.

1 While these imputations use actual study
2 data, other imputation methods can instead choose
3 varying levels of optimism or pessimism and ask how
4 extreme would one's assumptions need to be in order
5 to change the overall result? In table 12 of the
6 FDA briefing document, such an approach was taken.
7 Early dropouts were replaced with patients sampled
8 from the top 50 percent of PFS times. It is fair
9 to ask what this actually means in practical terms
10 and how extreme is this assumption?

11 To add some perspective, this figure
12 presents the real PFS curve for docetaxel patients
13 on the CodeBreak 200 study, and here is the curve
14 for the docetaxel patients with the 50 percent best
15 PFS times. This curve is generated using the real
16 data. As you can see from their table 12, the FDA
17 modeling produces an even longer median PFS for
18 docetaxel. Imputation for early docetaxel
19 dropouts, using this more optimistic pool of
20 patients, was consistent with the CodeBreak 200
21 primary analysis. The hazard ratio was 0.73 and
22 the confidence intervals excluded 1.

1 Now, here is the curve for the top
2 50 percent of PFS times from both arms of the study
3 pooled together. Again, the medians for the FDA
4 modeling are even longer. After imputing early
5 dropouts with patients sampled from this most
6 optimistic pool, the results again favor the
7 experimental arm. The hazard ratio was 0.77, but
8 now the confidence interval just tips past 1. It
9 is fair to ask how realistic the docetaxel
10 assumptions are in these analysis. These are a
11 very optimistic pool of patients. This is an
12 extreme assumption about how the dropout patients
13 might have performed, and the fact that the
14 sotorasib hazard ratio remains superior is actually
15 quite reassuring.

16 Now, let's focus on the imaging reads and
17 how the primary endpoint came to be based upon a
18 100 percent BICR re-read. Here is what occurred.
19 During the execution of the study, sponsors
20 routinely performed aggregate event projections in
21 order to determine the timing of the primary
22 analysis. In early 2022, the BICR-determined

1 timing projections for CodeBreak 200 became
2 unstable. Amgen identified that a discordance was
3 present between aggregate confirmation of
4 progression, or COP event numbers, and aggregate
5 BICR event numbers.

6 This discordance, without any knowledge of
7 treatment assignment, was communicated to the
8 imaging vendor. The imaging vendor initiated the
9 quality review in accordance with the charter. The
10 results of this independent review resulted in the
11 imaging vendor retraining their radiologist, and
12 the reads for 11 subjects were corrected. Amgen
13 discussed this series of events with the FDA. The
14 FDA recommended, and Amgen agreed, to perform a
15 100 percent re-read of all primary images to
16 nullify any potential bias.

17 This was a conservative step. It was both
18 appropriate and thorough. All procedures adhered
19 to the imaging charter and the suggestion of
20 violations are inaccurate. Given this 100 percent
21 re-read with a new team of radiologists, it is
22 difficult to imagine how these events could have

1 influenced the primary analysis.

2 Let's shift gears and focus on the possible
3 impact of investigator actions on censoring events.
4 The FDA has rightly noted that investigators may
5 have called early progressions on the docetaxel arm
6 perhaps in their enthusiasm to switch patients to
7 sotorasib. This figure accounts for all the
8 patients on CodeBreak 200. You see the total
9 number of BICR PFS events at the top; below you see
10 the censoring in each arm. There were a total of
11 49 censoring events for sotorasib and 73 for
12 docetaxel.

13 Now, we've already discussed the early
14 dropouts, so I want to focus on the green box,
15 which counts patients censored for the start of new
16 anti-cancer therapy. These patients were started
17 on new treatments by their doctors, but the
18 independent reader did not agree with the local PD
19 call. This group includes the 19 early crossover
20 patients noted in the agency's briefing document.

21 The next set of analyses will address the
22 potential bias introduced by these 24 and

1 31 censored patients. The question again is
2 whether premature censoring when no BICR PFS event
3 had occurred influenced the primary PFS analysis.
4 Sensitivity analyses here allow us to ask, how
5 extreme would our assumptions need to be to render
6 the primary outcome unreliable?

7 Let me walk you through two tipping-point
8 analyses. Here, we assume the worst for sotorasib,
9 that all 24 patients experienced the PFS event on
10 the day of the new therapy. We pessimistically
11 count them all as progressors, then we assume the
12 best for the docetaxel patients and work in the
13 other direction. We optimistically assume that
14 none of these 31 patients were real progressions
15 and ask, how many censored patients would need to
16 be real in order to restore our confidence in the
17 overall finding?

18 The results of this exercise are visualized
19 as dots for the hazard ratio and whiskers for the
20 confidence intervals. Moving left to right, the
21 imputation exercise adds docetaxel progressions one
22 by one, starting with zero and ending with all 31.

1 In every scenario, you can see that the hazard
2 ratio dot favors sotorasib and only the most
3 extreme scenario does the whisker cross 1. Even
4 when all 24 sotorasib patients are considered
5 progressors, if just one docetaxel patient
6 experienced a reliable PFS event, as was called by
7 their treating physician, then the confidence
8 interval excludes 1. This analysis shows us that
9 you would have to make extreme assumptions to
10 render the result no longer significant.

11 Now, how about if we compound the censoring
12 issues? How would the results be affected if we
13 used this approach to account for both therapy
14 switching and untreated early dropouts? Again, we
15 pessimistically assume that all 26 censored
16 sotorasib patients were progressors and we
17 optimistically assume that none of the 51 censored
18 docetaxel patients were.

19 Here, we make extreme, arguably, unrealistic
20 assumptions, and yet the hazard ratio dot still
21 favors sotorasib in all scenarios. When all
22 26 patients are considered progressors, adding back

1 just 3 events from the 51 censored docetaxel
2 patients tips the confidence interval to exclude 1.
3 By definition, these analyses are intended to
4 explore extreme scenarios, and indeed it is only in
5 such extreme settings that the benefit for
6 sotorasib is called into question.

7 Now, the ultimate question today is whether
8 you can trust the CodeBreak 200 results as real?
9 One additional way to address this is to ask how
10 the CodeBreak 200 data compares to other clinical
11 trials, especially around the PFS primary endpoint.
12 In spite of the potential challenges discussed,
13 this trial reports clinical results that are
14 remarkably consistent with other trials for both
15 sotorasib and for docetaxel. These results were
16 generated on different trials, in different
17 regions, and at different time points.
18 Furthermore, these data are consistent with
19 real-world evidence for G12C-specific populations.

20 This consistency gives further assurance
21 that in spite of the aforementioned challenges, the
22 results of the study are indeed interpretable.

1 Sotorasib delivered an improved PFS when directly
2 compared to docetaxel. To provide further insights
3 from a physician and patient perspective, I would
4 like to hand the podium over to Dr. Melissa
5 Johnson.

6 **Applicant Presentation - Melissa Johnson**

7 DR. JOHNSON: Thank you, Dr. Friberg.

8 My name is Melissa Johnson, and I'm the
9 director of the Lung Cancer Research program at
10 Sarah Cannon Research Institute in Nashville. I'll
11 be discussing my clinical perspective on the
12 information you've seen today. My institution has
13 been compensated for my time and I have no
14 financial interest in the outcome of this meeting.

15 There's no question in my mind that
16 immunotherapy has transformed my oncology practice
17 and heightened the expectations of my lung cancer
18 patients. One out of five non-small cell lung
19 cancer patients experience long-term overall
20 survival benefit. That means the majority of
21 patients who come to see me are hoping for and now
22 need more from their treatment.

1 While these immunotherapies have advanced
2 care, here's what shouldn't be overlooked about
3 these advances. Looking at the KEYNOTE-189
4 progression-free survival curve, over 60 percent
5 will progress by one year with our current
6 standards, and we'll need a second-line treatment.
7 Moreover, with immunotherapy being used in the
8 first-line setting, clinical benefit with it in
9 subsequent lines of therapy is lacking. We have to
10 do more for patients.

11 The majority of immunotherapy refractory
12 lung cancer patients who actually receive
13 second-line treatment will be treated with
14 docetaxel. Historically, chemotherapy options
15 beyond this are limited and have minimal efficacy.
16 Importantly for our topic today, in patients with
17 KRAS G12C mutations, these remain the only options
18 outside of drugs like sotorasib or clinical trial,
19 and here's the truth about docetaxel. It's an
20 active drug, and that's why it continues to be our
21 globally recognized second-line standard and
22 clinical trial comparator with median

1 progression-free survival of 3-to-5 months, median
2 overall survival, 8-to-10 months, and an objective
3 response rate of 10 to 15 percent. However, that
4 benefit doesn't come easily. It's neither an easy
5 drug to give, nor is it always tolerated. In fact,
6 clinicians love to hate this drug, and patients
7 dread it, which is why I'm not surprised to see the
8 early dropout in CodeBreak 200.

9 Docetaxel is dosed intravenously once every
10 3 weeks. It's frequently dose-reduced for
11 tolerance. It requires 3 days of oral
12 premedications, 8 milligrams of
13 dexamethasone -- quite a large dose -- twice daily
14 to reduce the risk of hypersensitivity and fluid
15 retention complications. In my patients, it causes
16 distressing side effects: febrile neutropenia,
17 stomatitis with impaired oral intake, alopecia and
18 nail changes; nausea, vomiting and asthenia.

19 From the CodeBreak 200 trial, here are four
20 key findings, all impactful, that resonate with me.
21 Said another way, these data give me clinical
22 confidence to use sotorasib. First, the

1 Kaplan-Meier curve estimating PFS. If anything,
2 the patients receiving docetaxel did better than
3 expected, which we believe may be linked to prior
4 IO use. The small improvement in the medians has
5 drawn focus; however, medians are only one way to
6 measure clinical benefit. Here, we see that the
7 curves separate at the first scan and stay
8 separated for the duration of the trial. To me,
9 that means that at every point along this curve, we
10 can measure benefit for patients receiving
11 sotorasib.

12 Next, who are the patients who drove this
13 benefit? All of them. You see that nicely from
14 the forest plot, that across all subgroups, the
15 blue dots are shifted to the left. To me, this
16 slide illustrates the fact that sotorasib is a
17 targeted inhibitor, selective for all patients with
18 KRAS G12C mutations and more capable of controlling
19 the rate of disease growth than the non-selective
20 chemotherapy docetaxel.

21 Also, more tumor response with sotorasib,
22 83 percent versus 60 percent achieving disease

1 control. This is the endpoint that patients feel
2 and understand. It equates to sotorasib's higher
3 chance of abating cancer-related symptoms. In
4 fact, these endpoints dovetail nicely with
5 patient-reported outcomes. Patients are less
6 bothered by their side effects. These are also
7 important measures and align with what my patients
8 tell me they are experiencing.

9 While these are all objective trial
10 endpoints, taken together, there is a very real
11 subjective difference in what patients are
12 experiencing with sotorasib versus docetaxel. They
13 feel it, and I can see it. The improvements that
14 we intuit as physicians, managing patients with
15 targeted therapy versus chemotherapy cannot be
16 overstated.

17 Safety is sometimes harder to meaningfully
18 illustrate. What might not be obvious from this
19 tornado plot is just how different these drugs are
20 to a practicing oncologist, let alone a patient.
21 Managing docetaxel-related side effects is
22 complicated. Patients go home with an on-body

1 injector or they come back to clinic for Neulasta
2 to protect against febrile neutropenia and
3 hospitalizations. I bring patients back to the
4 clinic the second week, and sometimes the third
5 week of the cycle, to manage dehydration that comes
6 with nausea and stomatitis. I send patients for
7 transfusions for anemia. I write prescriptions for
8 wigs.

9 Navigating sotorasib side effects is easier.
10 Patients come back to clinic periodically for liver
11 function tests. If they're feeling well, they
12 don't come back until their first scan at 6 weeks.
13 Patients go home with a script for Imodium for
14 diarrhea and Zofran for nausea, in addition to
15 sotorasib, and often manage their symptoms
16 themselves. They take their pills at home, they go
17 back to work, and they enjoy more independence from
18 the clinic, and no one has to know that they have
19 cancer because they don't lose their hair. It
20 should be their choice who knows about their
21 illness.

22 Let me leave you with this. Patients prefer

1 oral medications. Once daily dosing is an added
2 plus. Sotorasib has been criticized for not
3 beating docetaxel on overall survival and it's true
4 that the Kaplan-Meier curves are very similar. My
5 patients want to live longer, but if they can live
6 the same amount of time and live better, as all the
7 PFS safety and PRO endpoints demonstrate, they will
8 pick sotorasib every time, and so will their
9 doctors who are helping them make these decisions.

10 Patients need options beyond docetaxel.
11 Patients should absolutely be able to choose a
12 well-tolerated oral therapy designed to inhibit
13 their driver oncogene in lieu of an unselective IV
14 chemotherapy and its liabilities. I've used
15 sotorasib as a well welcomed addition to my
16 armamentarium for the treatment of KRAS G12C
17 mutated non-small cell lung cancer. I believe it
18 is a step forward towards offering our patients
19 more; more treatment options, more quality in their
20 lives, and more control of their cancer's growth.

21 I will now turn it back over to Dr. Friberg.
22 Thank you.

1 DR. FRIBERG: Thank you, Dr. Johnson, and
2 thank you for your attention. We will look forward
3 to answering your questions.

4 DR. MADAN: Thank you for that presentation
5 from the sponsor. We will now proceed with the
6 FDA's presentation, starting with Dr. Jeevan
7 Puthiamadathil.

8 **FDA Presentation - Jeevan Puthiamadathil**

9 DR. PUTHIAMADATHIL: Good morning. I'm
10 Dr. Jeevan Puthiamadathil, medical oncologist on
11 the thoracic and head and neck cancers' team at the
12 FDA. This presentation reflects the collective
13 input of our FDA review team.

14 Dr. Singh in her opening remarks discussed
15 FDA's rationale for convening today's advisory
16 committee meeting. The FDA review team has found
17 it challenging to interpret the results of
18 CodeBreakK 200. The FDA believes that patient and
19 investigator awareness surrounding the development
20 and early response rates of sotorasib for patients
21 with KRAS G12C mutated non-small cell lung cancer
22 may have led to patterns in the study conduct

1 indicative of potential bias in favor of sotorasib.

2 Bias is not uncommon in randomized clinical
3 trials or unique to CodeBreaK 200; however, in
4 light of an incremental progression-free survival
5 effect of 5 weeks and no difference in survival
6 relative to a marginal comparator, these patterns
7 of bias have led to uncertainty in our ability to
8 interpret the primary PFS endpoint.

9 As part of our review framework, FDA aims to
10 determine whether a trial is adequate and
11 well controlled as defined by Title 21 of the Code
12 of Federal Regulations. CodeBreaK 200 may lack
13 certain features of an adequate, well-controlled
14 trial, including adequate measures to minimize bias
15 in subject assignment to treatment group to assure
16 comparability of the groups; adequate measures to
17 minimize bias in the parts of subjects, observers,
18 and analysts of data; well-defined and reliable
19 methods to assess response; and ultimately,
20 adequate analysis of the results to assess the
21 effect of the drug.

22 Our FDA review of CodeBreaK 200 suggested a

1 potential pattern of systemic bias and study
2 conduct issues. While the trial was being
3 conducted, the applicant triggered a review by the
4 imaging vendor, which resulted in radiologic
5 re-reads of patient scans, changing the PFS interim
6 analysis from statistically not significant to
7 statistically significant. FDA views the applicant
8 triggering this process as a potential interference
9 in imaging assessments and a potential violation of
10 the imaging charter.

11 Later, our initial review of top-line
12 results identified 23 patients randomized to the
13 docetaxel arm who never received treatment,
14 compared to only two on the sotorasib arm. Most of
15 these patients did not receive study therapy due to
16 patient request or withdrawal of consent. This
17 asymmetric dropout led to the potential loss of the
18 benefits of randomization.

19 Finally, during our review, FDA identified
20 evidence of investigator assessments of imaging
21 consistently favoring the sotorasib arm. These
22 multiple signals of potential bias, systemic bias,

1 may have impacted our ability to adequately analyze
2 the study results, which is a key feature of an
3 adequate and well-controlled trial. We will ask
4 the committee to discuss and vote whether the
5 primary endpoint of PFS per BICR can be reliably
6 interpreted.

7 Well before its accelerated approval of
8 May 2021, early press for sotorasib fueled public
9 awareness of the drug, touted as a breakthrough for
10 patients with KRAS G12C mutated cancers who had
11 long awaited the promise of precision medicine. As
12 early as June 2019, the first clinical data was
13 announced at the American Society of Clinical
14 Oncology annual conference, about a year prior to
15 the first patient being enrolled on CodeBreaK 200.

16 During the conduct of CodeBreaK 200, the
17 public became aware of positive top-line results of
18 sotorasib, as well as its breakthrough therapy
19 designation. These public milestones could have
20 led to a perceived loss of equipoise in
21 CodeBreaK 200, with patients and investigators
22 alike trying to gain access to sotorasib.

1 To enable a discussion about equipoise in
2 randomized trials, here we provide several
3 definitions. Equipoise is defined as the absence
4 of certainty about which intervention is better.
5 It is considered necessary for the ethical conduct
6 of a randomized trial. Loss of equipoise occurs
7 when there is certainty that one intervention is
8 better than the other.

9 For this discussion, we consider the
10 perceived loss of equipoise as the belief that one
11 intervention is better, even without definitive
12 evidence. When there is a perceived loss of
13 equipoise, behaviors of trial participants,
14 including patients and investigators, can change.
15 In CodeBreaK 200, given today's information age
16 likely resulting in widespread public awareness of
17 sotorasib, even before the trial started enrolling,
18 there may have been such perceived loss of
19 equipoise.

20 The results of CodeBreaK 100, the single-arm
21 trial evaluating sotorasib, eventually led to an
22 FDA accelerated approval in May 2021, based on an

1 objective response rate of 36 percent with
2 substantial durability. For a drug to be granted
3 accelerated approval, there should be substantial
4 evidence of effectiveness, the endpoints should be
5 reasonably likely to predict clinical benefit, and
6 there should be a therapeutic benefit over
7 available therapy.

8 Given the historically low response rates of
9 docetaxel in the second-line treatment setting,
10 sotorasib clearly fell into the paradigm of an
11 accelerated approval. The applicant proposed that
12 CodeBreaK 200 served as a confirmatory trial to
13 verify benefit of sotorasib in a randomized setting
14 versus docetaxel. Given that KRAS G12C is the most
15 common actionable oncogenic alteration identified
16 in lung cancer and randomized trials are feasible
17 and appropriate, FDA supported this development
18 strategy.

19 CodeBreaK 200 utilized an open-label design.
20 Patients were randomized to either single-agent
21 oral sotorasib given daily or intravenous docetaxel
22 given every 3 weeks. The primary endpoint of

1 CodeBreaK 200 was PFS per BICR. Crossover was
2 instituted late in the trial after 99 percent of
3 patients had been enrolled.

4 PFS has been commonly used to support
5 approvals in oncology, particularly for targeted
6 therapies; however, the PFS endpoint is inherently
7 subject to some degree of bias. The criteria for
8 disease progression are based on subjective
9 interpretation of radiographic images in clinical
10 evaluation. As such, there are several
11 uncertainties in measuring PFS, including
12 variability and timing of assessments and intra-
13 and inter-reader variability.

14 As a result, PFS assessments are subjective
15 interpretations with potential to introduce bias,
16 particularly when used in open-label trials. This
17 is in contrast to overall survival, which is a more
18 objective endpoint and often considered the gold
19 standard in oncology trials. For any trial with a
20 primary PFS endpoint, FDA conducts sensitivity
21 analyses to explore the strength of the primary
22 analysis. The robustness of the treatment effect

1 should be seen across various measures of the
2 endpoint, including the hazard ratio, medians,
3 shape of the Kaplan-Meier curves, and event rates.

4 The median progression-free survival benefit
5 of sotorasib was 5 weeks. This was statistically
6 significant but small in magnitude, and less than
7 the imaging interval of 6 weeks, raising concerns
8 that the result could be lower using interval
9 censoring. We also note more PFS events on the
10 sotorasib arm compared to docetaxel. There was no
11 difference in overall survival, and at the time of
12 the primary analysis, 26 percent of patients from
13 the docetaxel arm had crossed over to the sotorasib
14 arm. The difference in objective response rate was
15 statistically significant.

16 Patient disposition showed a high
17 differential on patients who were randomized and
18 not treated in the docetaxel, 23, relative to the
19 sotorasib arm, two. Most of these patients from
20 the docetaxel arm were not treated due to patient
21 request or withdrawal of consent. It is
22 conceivable that patients randomized to docetaxel

1 would either decide to receive docetaxel off trial
2 with their local oncology provider or seek access
3 to an alternative KRAS G12C inhibitor through
4 another trial. This asymmetric dropout suggests
5 the potential for investigator and patient bias
6 favoring sotorasib. This pattern of behavior led
7 to a loss of information and may have led to
8 informative censoring of PFS results.

9 In randomized-controlled trials, blinding
10 helps minimize bias by preventing patients and
11 study personnel from gaining knowledge of treatment
12 arm assignment. Blinding is feasible in certain
13 therapeutic settings; however, in oncology, an
14 open-label design is often necessary because of
15 differences between trial arm interventions, such
16 as route of administration and side effect
17 profiles.

18 This was the case for CodeBreaK 200. An
19 open-label design is susceptible to bias,
20 particularly when the standard-of-care treatment
21 used in the control arm is thought to be
22 suboptimal. Docetaxel has a historic response rate

1 of 8 to 12 percent versus a 36 percent response
2 rate seen in the single-arm trial of sotorasib.
3 Systemic biases are difficult to prove, but data
4 may signal their presence. In CodeBreak 200, we
5 identified asymmetric early dropout and
6 investigator imaging assessments favoring the
7 sotorasib arm as signals for potential systemic
8 bias. It is unknown what data were not captured
9 due to potential underreporting of adverse events
10 and patient-reported outcomes, both of which are
11 subjective data elements.

12 We will now discuss a review of the efficacy
13 and safety results. The Kaplan-Meier curves for
14 PFS show an initial separation, suggesting
15 sotorasib may have a treatment effect over
16 docetaxel; however, this initial separation
17 decreases as the curves come together at about
18 7 months. While it is noted that there is a
19 greater separation after 7 months, which may
20 indicate a greater benefit for sotorasib, the
21 curves start to come back together again around
22 15 months, potentially negating long-term

1 superiority of sotorasib over docetaxel.

2 Additionally, after about 7 months, there
3 are relatively few patients remaining in follow-up
4 who have not been censored, as shown in the red
5 box; therefore, the separation in these curves
6 cannot be reliably interpreted. This, along with
7 the median follow-up of only 6.9 months in the
8 docetaxel arm, creates uncertainty and reduces the
9 reliability of the estimated PFS probability.

10 FDA performed an interval censoring analysis
11 of PFS to assess the effect of a median 5-week PFS
12 benefit relative to a 6-week imaging interval.
13 Because tumor assessments occurred every 6 weeks,
14 the exact date of disease progression is unknown
15 and can occur anytime during the period between
16 imaging assessments, as represented by the red
17 shading in the patient follow-up timeline shown on
18 the left of the slide. Since the median PFS
19 difference of 5 weeks observed in CodeBreak 200 was
20 less than the imaging interval, the results are
21 considered unreliable, as it cannot be ruled out
22 that the difference is not due to inherent

1 measurement error.

2 Both FDA and the applicant performed an
3 analysis of PFS using interval censoring to account
4 for measurement error and timing of tumor
5 progression assessments, as shown on the right.
6 This analysis assumes that progressive disease
7 events may have occurred at anytime during the
8 imaging interval and not just at the end. The
9 estimated median PFS results were 4.47 months for
10 sotorasib and 4.3 months for docetaxel, with an
11 estimated hazard ratio of 0.71. While the hazard
12 ratio from this analysis is relatively consistent
13 with the primary analysis result, the estimated
14 difference in medians is approximately 5 days,
15 which further adds to the uncertainty and the
16 magnitude of PFS difference between the treatment
17 arms.

18 In the setting of a primary PFS endpoint,
19 FDA also evaluates overall survival, which is a
20 more objective endpoint that provides important
21 efficacy and safety information. In CodeBreak 200,
22 long-term follow-up for overall survival continues

1 to show no difference between arms. Relative to
2 the sotorasib arm, there was a longer median OS and
3 fewer deaths in the docetaxel arm. We believe this
4 may be, in part, due to missingness of
5 patient-level data, further highlighting the
6 challenges and interpretation of the overall
7 survival. Our FDA analyses show that the
8 institution of crossover was unlikely to have any
9 meaningful impact on the OS results.

10 In CodeBreaK 200, there were more deaths
11 reported on the sotorasib arm relative to
12 docetaxel. Our safety review did not identify any
13 signals that explain the high rates of death in the
14 sotorasib arm. Again, this may be due to high
15 rates of dropout and missing data.

16 CodeBreaK 200 included secondary PRO
17 endpoints for efficacy and tolerability. Although
18 the statistical analysis plan included PRO
19 endpoints in the hierarchical testing scheme, PRO
20 endpoints were not formally tested because the test
21 for overall survival indicated no difference.
22 There were high rates of PRO instrument completion

1 by patients who remained on treatment, but this
2 does not account for the asymmetric early dropout,
3 and those patients were not offered the opportunity
4 to respond to PROs.

5 Of the patients who received treatment,
6 descriptive PRO information regarding side-effect
7 bother demonstrated worst side-effect bother in the
8 docetaxel arm. This supports the known toxicity
9 profiles for both drugs. Interpretation of PROs is
10 limited by a number of issues, including that there
11 was no formal PRO comparison, the open-label design
12 of the study, and the previously mentioned
13 asymmetric early dropout. This result should be
14 interpreted with caution, given that systemic bias
15 can interfere with the interpretation of all
16 endpoints, especially those with subjectivity and
17 measurement such as PROs.

18 We will now discuss the findings of the FDA
19 review of study conduct and potential systemic
20 bias. FDA's review included an assessment of the
21 confirmation of progression procedure, which
22 revealed a potential study conduct issue. As

1 background, the applicant implemented a
2 confirmation of progression, or COP procedure, at
3 the time crossover was introduced to the trial.
4 Rather than relying on the established blinded
5 independent central review to confirm progression,
6 which could take up to 10 business days, the COP
7 procedure was implemented. This allowed separate
8 radiologists from the BICR radiologists to provide
9 a second opinion to investigators calling disease
10 progression within 3 business days.

11 COP was required not only for crossover
12 patients on the docetaxel arm to sotorasib, but
13 also for patients who received treatment beyond
14 progression on either arm; however, investigators
15 would make the final treatment and patient
16 management decisions. The potential impact of
17 implementing this confirmation of progression
18 procedure is usually minimal if it is used as
19 intended.

20 Per the statistical analysis plan, an
21 interim analysis for PFS was to be conducted at
22 70 percent information fraction. At the time of

1 this interim analysis, the PFS was statistically
2 not significant and the independent data monitoring
3 committee recommended that the study continue. As
4 part of a separate process during periodic routine
5 reviews to project the primary analysis timing, per
6 the applicant's description, the applicant observed
7 a higher than expected discrepancy between COP and
8 BICR based events of progression. The applicant
9 then raised concerns of this discrepancy with the
10 imaging vendor.

11 The applicant used the COP procedure beyond
12 the scope of its intended use when the applicant
13 notified the imaging vendor of this discrepancy.
14 The applicant's indirect input on the response
15 assessments triggered a review process by the
16 imaging vendor that led to a BICR re-read. This
17 ultimately resulted in the identification of
18 12 additional PFS events, 11 from the docetaxel arm
19 versus one from the sotorasib arm, leading to an
20 updated PFS interim analysis that was statistically
21 significant.

22 FDA considers these interactions a potential

1 violation of the imaging charter. FDA has
2 attempted to elucidate further details from the
3 applicant regarding these events. While the
4 applicant has responded to all of our requests for
5 information, FDA still lacks clarity regarding the
6 interactions between the applicant and imaging
7 vendor.

8 This potential misuse of the COP procedure
9 resulted in an informal audit of the original BICR
10 reads. The FDA views this as a potential study
11 conduct issue. This also highlights the inter- and
12 intra-reader variability of PFS assessments, which
13 adds to the subjectivity of PFS as an endpoint.
14 Ultimately, when the applicant presented FDA with
15 these revised interim analysis results, FDA advised
16 against the submission of a marketing application,
17 based on the uncertainty surrounding the small PFS
18 benefit over docetaxel, with only 12 new PFS
19 events, changing the statistical significance and
20 the uncertainty surrounding the re-reads. Given
21 concerns of data quality, FDA expressed the
22 importance of achieving consistency in BICR reads

1 from a single entity. Accordingly, the applicant
2 elected to perform a global BICR re-read for the
3 final PFS analysis.

4 You will now hear from Dr. Chuck Song, who
5 will discuss three signals of potential systemic
6 bias in CodeBreaK 200.

7 **FDA Presentation - Chuck Song**

8 DR. SONG: Good morning. My name is
9 Dr. Chuck Song. I am the primary statistical
10 reviewer for this application. As discussed
11 earlier by Dr. Singh and Dr. Puthiamadathil,
12 systemic bias is common in open-label trials such
13 as CodeBreaK 200 because treatment assignment is
14 known to patients and the investigators. Although
15 bias is difficult to prove, data may signal its
16 presence. It is noteworthy that not all signals of
17 potential bias may result in bias in the efficacy
18 estimation, but all introduce high uncertainty in
19 the result and the study conduct.

20 For CodeBreaK 200, FDA identified three
21 signals of potential bias. The first signal is the
22 asymmetric early dropouts between treatment arms.

1 As presented earlier, there was an imbalance
2 between trial arms in patients who were randomized
3 but never treated. Twenty-three patients were
4 never treated on studied therapy in the docetaxel
5 arm compared to only 2 patients in the sotorasib
6 arm. Most of these patients withdrew consent and
7 were censored at day 1 for not having post-baseline
8 assessments.

9 This imbalance suggests an open-label bias
10 and the preference for treatment with sotorasib.
11 This also has major implications for the
12 statistical analysis, as early dropout
13 predominantly on the control arm would lead to a
14 loss of randomization.

15 So what is loss of randomization? We know
16 that in a randomized clinical trial, the known and
17 unknown prognostic factors are expected to be
18 balanced by the randomization process. This is why
19 randomized trials are considered the gold standard
20 in evaluating drug efficacy, as the comparison
21 between arms results in a treatment effect estimate
22 that is fully attributable to the treatment of

1 interest. However, such balance will be lost if
2 the patients who drop out are predominantly on one
3 arm or are different from the other patients
4 remaining in the trial. As a result, the trial
5 arms would no longer be directly comparable and
6 would introduce bias in estimating the treatment
7 effect.

8 Although bias could be in either direction,
9 depending on potential outcomes, given the
10 incremental PFS benefit observed in this trial, FDA
11 is particularly concerned of any potential bias
12 that favors sotorasib. In other words, censoring
13 of patients in the control arm will overestimate
14 the PFS to the effect if these patients would have
15 had better outcomes.

16 Our statistical review also found that the
17 investigator imaging assessments appeared to favor
18 the sotorasib arm. This signal was identified when
19 examining discordances between investigator and the
20 BICR assessment of disease progression. In this
21 schema, patient follow-up is shown as the gray
22 arrow and each vertical bar indicates an imaging

1 assessment. The red bars indicate investigator's
2 call of progressive disease, while purple bars
3 indicate BICR call. The assessment is concordant
4 if the investigator and BICR determine progressive
5 disease at the same assessment time.

6 Conversely, the FDA defines two types of
7 discordant assessments. FDA defines early
8 discordance as an investigator determination of
9 progressive disease prior to the BICR and the late
10 discordance as an investigator determination of
11 progressive disease later than the BICR assessment.
12 Overall, some discordance between investigator and
13 the BICR assessment is expected in every trial and
14 it does not necessarily indicate bias; however,
15 when there is a differential distribution of
16 discordance types across arms, this may signal the
17 presence of systemic bias.

18 As shown in this table, among all of
19 observed discordances in CodeBreak 200, there is a
20 higher proportion of early discordance in the
21 docetaxel arm than in the sotorasib arm, and
22 accordingly, the proportion of late discordance is

1 higher in the sotorasib arm than in the docetaxel
2 arm. The difference is about 11 percent. This
3 differential distribution of early and late
4 discordances is suggestive of an investigator
5 assessment of bias favoring sotorasib; in other
6 words, these data suggested that either
7 investigators were more likely to take patients off
8 docetaxel earlier than they were to take patients
9 off sotorasib, or they were more likely to keep
10 patients on sotorasib longer than to keep patients
11 on docetaxel, or some combination of both.

12 The third signal of potential bias in
13 CodeBreaK 200 was the observation that patients in
14 the docetaxel arm were crossed over to the
15 sotorasib arm by investigators prior to BICR
16 confirmation of progression. This aspect of the
17 study design makes the primary endpoint of PFS by
18 BICR vulnerable to the issue of informative
19 censoring.

20 This schema depicts the relationship between
21 investigator-assessed progressive disease and the
22 BICR-assessed progressive disease in CodeBreaK 200.

1 The red bar indicates investigator call of
2 progressive disease. The orange bar indicates when
3 the patient would crossover to receive sotorasib,
4 eligibility criteria were met for crossover,
5 including the confirmation of progression by COP
6 radiologists. Because there was no BICR call of
7 progressive disease at the time of crossover, the
8 BICR PFS of these patients would be censored at the
9 last BICR assessment date.

10 In this example, this is shown as the dashed
11 line at the time of the last investigator
12 assessment. Censoring means that we know that the
13 PFS per BICR assessment is at least as long as the
14 solid part of the blue arrow shown in the figure,
15 but its exact length is unknown because we do not
16 know how long these patients' PFS would be after
17 censoring, as shown by the hashed part of the blue
18 arrow.

19 The follow-up for overall survival, on the
20 other hand, is generally not affected by early
21 crossover, as shown by the green arrow.
22 Ultimately, although the BICR assessment is

1 performed by an entity, which is supposed to be
2 blinded and independent, their assessments are not
3 totally immune from study conduct issues, such as
4 early crossover, based on investigator assessment,
5 which may be subject to potential open-label bias.
6 To be more specific, this raises a statistical
7 concern of informative censoring.

8 The upper part of the schema on this slide
9 is the same as the previous slide, showing patients
10 censored for early crossover. The lower part of
11 this slide depicts when patients crossover after
12 both investigator and the BICR determined the
13 progression. In CodeBreaK 200, we identified
14 19 patients who crossed over from docetaxel to
15 sotorasib before disease progression was confirmed
16 by BICR, resulting in censoring of their primary
17 PFS endpoint. If these patients were healthier
18 patients with better prognosis, their crossover
19 would cause informative censoring, which in turn
20 might have biased the results favoring sotorasib.

21 We compared overall survival after
22 investigator call of progression for the

1 19 patients to the 27 patients who crossed over
2 after BICR determined progression. The median OS
3 was better with a lower event rate for the early
4 crossover patients. An exploratory comparison of
5 these two groups resulted in a higher ratio of 0.42
6 in favor of the early crossover group, indicating
7 that patients censored due to early crossover may
8 have had a better prognosis.

9 In summary, we have identified multiple
10 signals of potential systemic bias in
11 CodeBreaK 200. These signals generally decrease
12 confidence in the observed results of the trial.
13 Some of these signals could also manifest as
14 statistical bias that impacts the estimation of the
15 PFS treatment effect.

16 We now turn our attention to how the results
17 of CodeBreaK 200 may differ from the observed
18 results if patients who dropped out early or
19 crossed over prior to BICR-assessed progressive
20 disease were healthier than other patients in the
21 docetaxel arm. In the following slides, we present
22 a field of the sensitivity analyses performed by

1 FDA to characterize the treatment effect in the
2 presence of the identified biases.

3 This is a tipping-point analysis for PFS
4 about how the hazard ratio and the corresponding
5 95 percent confidence interval, represented by each
6 dot and the bar, respectively, change with varying
7 assumptions about the risk reduction of 20 early
8 dropout patients censored for having no
9 post-baseline assessment and the 19 early crossover
10 patients.

11 For example, the left-hand vertical bar
12 shows the PFS result. If we assume the patients
13 with early dropout and early crossover are not
14 different from other patients, still you follow-up,
15 which is the primary analysis result. As we move
16 right on the X-axis, we are gradually assuming a
17 greater reduction in the risk of PFS events for the
18 39 early dropout and early crossover patients.
19 From these results, if we assume the risk of a PFS
20 event is 50 percent lower in these 39 patients,
21 shown with the red arrow, the 95 percent confidence
22 interval will include 1; in other words, the

1 statistical significance of the results would be
2 lost. Based on the FDA analysis of the available
3 data for early dropout and the early crossover
4 patients, this appears to be a moderate and
5 plausible violation of the non-informative
6 censoring assumption.

7 We also examined whether the addition of
8 crossover impacted the overall survival endpoint
9 using sensitivity analysis. Different from the
10 primary OS analysis, this sensitivity analysis
11 attempts to estimate the treatment effect on
12 overall survival under a hypothetical scenario in
13 which no patient has crossed over. Ultimately,
14 regardless of the assumptions made by this
15 analysis, they all point to the same conclusion
16 that there is no difference in overall survival
17 across treatment arms in CodeBreak 200. This
18 analysis suggests that crossover is unlikely to be
19 the reason for the observed lack of survival
20 difference between sotorasib and the docetaxel
21 arms.

22 In summary, the efficacy results of

1 CodeBreak 200 are difficult to interpret because of
2 the several signals of potential systemic bias.
3 The potential systemic bias in CodeBreak 200 may be
4 difficult to overcome to reliably determine
5 superiority of sotorasib over docetaxel, given the
6 incremental PFS benefit and the no difference in
7 OS. Finally, when addressing the statistical
8 implications of the observed systemic bias, FDA's
9 analysis suggests that the PFS benefit in Code
10 Break 200 may not remain statistically significant
11 if there is moderate violation of the statistical
12 assumptions.

13 I now ask the cross-disciplinary team lead
14 for this application, Dr. Paz Vellanki, to conclude
15 our FDA remarks.

16 **FDA Presentation - Paz Vellanki**

17 DR. VELLANKI: Thank you, Dr. Song.

18 In CodeBreak 200, sotorasib demonstrated an
19 incremental PFS benefit and no difference in OS
20 compared to docetaxel. The OS results were
21 unlikely impacted by the 34 percent of patients on
22 the docetaxel arm who crossed over to receive

1 sotorasib or received a KRAS G12C inhibitor as a
2 subsequent therapy in a second-line refractory
3 disease setting, demonstrating a survival benefit
4 as a reasonable expectation for novel therapies.

5 Additionally, there were multiple signals of
6 potential systemic bias in study conduct issues.
7 While potential bias is present in many randomized
8 trials in oncology, the efficacy results in
9 CodeBreakK 200 were underwhelming and may not be
10 sufficient to overcome uncertainty in the trial
11 results. Our question to the advisory committee is
12 whether we can reliably interpret and quantify the
13 PFS improvement per BICR for sotorasib in the
14 setting of potential systemic bias?

15 While PFS has been commonly used to support
16 approvals in oncology, the PFS endpoint is
17 inherently subject to bias. There was both intra-
18 and inter-reader variability of PFS assessments in
19 CodeBreakK 200. When the same BICR radiologist
20 re-read imaging scans, new PFS events were
21 identified, changing the PFS interim analysis
22 results from not significant to statistically

1 significant. For all trials with primary PFS
2 endpoints, FDA conducts sensitivity analyses to
3 explore the strength of the primary analysis. We
4 have shown that the magnitude of PFS benefit for
5 sotorasib in CodeBreak 200 may not withstand such
6 sensitivity analyses.

7 While we often see asymmetric dropout in
8 clinical trials, the magnitude of benefit may allow
9 for robust statistical analysis and provide
10 confidence in the effect of the drug in question;
11 however, in CodeBreak 200, the incremental PFS
12 effect and lack of OS benefit made this more
13 challenging.

14 The applicant asserts the results of
15 CodeBreak 200 are robust, as the PFS hazard ratio
16 withstands multiple sensitivity analyses. FDA
17 agrees the estimated PFS hazard ratio is generally
18 consistent across those multiple analyses; however,
19 the FDA tipping-point analysis showed the
20 statistical significance of the hazard ratio may
21 not hold under different assumptions regarding the
22 level of informative censoring caused by early

1 dropouts and early crossover.

2 Additionally, a complete and balanced
3 assessment of PFS also includes evaluation of the
4 median benefit, event rates, and shape of the
5 Kaplan-Meier curves. Both the applicant and FDA
6 agree that based on an interval censoring method,
7 the median PFS benefit could be as low as 5 days.
8 We note the higher rate of PFS events on the
9 sotorasib arm, though we acknowledge that this was
10 in the setting of incomplete information with early
11 dropout on the docetaxel arm.

12 The Kaplan-Meier curves showed a modest
13 separation; however, given high levels of
14 censoring, the latter half of the curve may not be
15 reliable. This comprehensive assessment highlights
16 uncertainty regarding the robustness of the PFS
17 results and our ability to quantify the treatment
18 effect of sotorasib.

19 There are multiple signals of potential
20 systemic bias in CodeBreaK 200. There was a high
21 number of patients on the docetaxel arm compared to
22 patients on the sotorasib arm, who withdrew from

1 the trial once they knew of their treatment
2 assignment. Investigator imaging assessments
3 favored sotorasib and there was early crossover
4 from the docetaxel arm to sotorasib before BICR
5 confirmed disease progression. All of these
6 individual patterns and behavior, when taken
7 together, impact our ability to reliably estimate
8 the primary PFS for BICR endpoint and the overall
9 trial results.

10 The interpretation of PFS was impacted by a
11 loss of information and investigative patient
12 management. Differences in patient prognoses may
13 have also allowed for overestimation of the PFS
14 treatment effect. Importantly, there could have
15 been many other impacts of the potential bias that
16 are unknown and unmeasurable, including on patient
17 selection, adverse event reporting, and
18 patient-reported outcomes.

19 The applicant acknowledges the inherent risk
20 of bias in CodeBreaK 200 as an open-label trial and
21 implemented strategies to minimize bias; however,
22 FDA is concerned that the mitigation strategies

1 were not sufficient to overcome the consistent
2 trends in study conduct favoring sotorasib, which
3 may have been influenced by bias, and because
4 there's not a large improvement in PFS,
5 interpretation of the CodeBreaK 200 study results
6 remains challenging. Our analyses indicate a
7 possibility that there may not be a statistically
8 significant PFS benefit with sotorasib over
9 docetaxel, and if there is, it is not reliably
10 quantifiable.

11 Study design features are distinct from
12 issues regarding study conduct. FDA takes an
13 active role in providing feedback on drug
14 development, including on-study design for clinical
15 trials intended to support marketing applications.
16 We did this for CodeBreaK 200. While features of
17 CodeBreaK 200, such as the open-label design, may
18 have increased susceptibility to issues with study
19 conduct and potential bias, it is the
20 responsibility of the applicant to both design and
21 conduct trials, which can withstand and mitigate
22 bias. In the case of CodeBreaK 200, a perceived

1 loss of equipoise, even prior to initiation of the
2 trial, may have led patients and investigators to
3 favor sotorasib overdose docetaxel, and led to a
4 change in behaviors in the trial.

5 Public awareness for sotorasib, an oral drug
6 against the previously undruggable target, which
7 later demonstrated a moderate response rate in
8 CodeBreaK 100, may have led to a perceived loss of
9 equipoise in Code Break 200. It is possible that
10 patients may have dropped out or withdrew consent
11 to seek alternative trials evaluating KRAS G12C
12 inhibitors. Patients may also have opted for
13 standard-of-care therapy with their local
14 oncologist to avoid the burden associated with
15 being in a trial. CodeBreaK 200 highlights how
16 patterns of behavior across multiple aspects of a
17 trial may lead to concerns for potential systemic
18 bias in favor of the investigational drug.

19 Moving forward, we hope to spend more time
20 discussing how to mitigate bias in open-label
21 trials. Potential strategies may include patient
22 education to reduce withdrawal of consent;

1 investigator education to reduce bias related to
2 imaging assessments; allowing for crossover to
3 reduce dropout from the control arm; real-time BICR
4 to reduce censoring related to discordant
5 investigator and BICR assessments of disease
6 progression; selection of an OS primary endpoint,
7 which may be less impacted by potential systemic
8 bias compared to PFS; and consent for OS follow-up,
9 even if patients drop out of the trial, to maximize
10 collection of data for a more reliable assessment
11 of overall survival.

12 The FDA's regulatory considerations around
13 CodeBreak 200 take into account that the trial was
14 conducted as part of the postmarketing requirement
15 to verify the clinical benefit of sotorasib after
16 the May 2021 accelerated approval, based on
17 single-arm response rate data. When assessing
18 whether the results of CodeBreak 200 may be used to
19 convert the accelerated approval of sotorasib to a
20 traditional approval, we consider several factors,
21 including but not limited to the following.

22 Can the PFS per BICR results be reliably

1 interpreted and can the magnitude of effect
2 mitigate the uncertainty around interpretation of
3 the primary endpoint? If so, then CodeBreaK 200
4 could potentially serve as confirmation of clinical
5 benefit and fulfillment of the postmarketing
6 requirements; however, if not, we would have an
7 accelerated approval which is yet to be converted
8 to a traditional or regular approval, and we would
9 consider potential next steps within our regulatory
10 framework.

11 After a confirmatory trial fails to verify
12 clinical benefit, the regulatory decision to
13 withdraw an accelerated approval is not automatic.
14 The decision is affected by the overall results of
15 the confirmatory trial. For example, a drug that
16 demonstrates survival detriment may likely result
17 in withdrawal of the accelerated approval. Another
18 important consideration is the benefit-risk
19 assessment in the context of the current treatment
20 landscape rather than the benefit risk assessment
21 at the time of the accelerated approval. A
22 potential safety advantage of the drug over current

1 available therapy is also considered when deciding
2 whether an accelerated approval should be withdrawn
3 or whether there may be an alternative path to
4 verify clinical benefit.

5 While sotorasib was the first KRAS G12C
6 inhibitor to receive FDA approval, there are
7 numerous competitor drugs currently being developed
8 for non-small cell lung cancer. Adagrasib is the
9 other KRAS G12C inhibitor farthest along in drug
10 development, and it is the only other drug in class
11 that has FDA approval to date. Adagrasib was
12 granted accelerated approval in December of 2022
13 and the confirmatory randomized trial, KRYSTAL-12,
14 is ongoing. KRYSTAL-12 evaluates the same patient
15 population as CodeBreak 200, has the same docetaxel
16 control arm, allows for crossover, and also has a
17 PFS per BICR primary endpoint. Per
18 clinicaltrials.gov, the estimated primary
19 completion date of KRYSTAL-12 is in May of 2025.

20 We note that the applicant has a planned
21 randomized trial in the first-line setting.
22 CodeBreak 202 randomizes patients with KRAS G12C

1 mutations who are PD-L1 negative to sotorasib with
2 chemotherapy versus pembrolizumab with
3 chemotherapy. The primary endpoint is PFS per
4 BICR. The results of this trial may be another
5 potential way to verify the clinical benefit of
6 sotorasib in lung cancer.

7 Given multiple regulatory pathways and the
8 evolving therapeutic landscape, FDA is not seeking
9 the advice of the advisory committee as to whether
10 CodeBreaK 200 should be used to convert the
11 accelerated approval to traditional approval for
12 sotorasib, rather we are asking the committee to
13 discuss the findings of the FDA review team, the
14 multiple signals of potential bias, and if the
15 observed PFS per BICR treatment effect can be
16 reliably interpreted. We will use the committee
17 discussion and conclusions to decide our next
18 regulatory steps.

19 We would like the advisory committee to vote
20 on the following question. Can we reliably
21 interpret the PFS per BICR effect of sotorasib
22 versus docetaxel in CodeBreaK 200? As a final

1 note, FDA recognizes the time and effort necessary
2 to conduct cancer clinical trials. We would like
3 to particularly thank the patients and their
4 families, as well as the investigators and research
5 staff who participated in the research studies
6 discussed today. Thank you.

7 **Clarifying Questions**

8 DR. MADAN: Okay. I would like to thank
9 this morning's presenters for staying on time, so
10 we have our allotted one hour for discussion.

11 We will now take clarifying questions for
12 both Amgen, Incorporated and the FDA. Please use
13 the raise-hand icon to indicate that you have a
14 question and remember to lower your hand by
15 clicking the raise-hand icon again after you've
16 asked your question. When acknowledged, please
17 remember to state your name for the record before
18 you speak and direct your question to a specific
19 presenter, if you can. If you wish to have a
20 specific slide displayed, please let us know the
21 number of that slide if possible. Finally, it
22 would be helpful to acknowledge the end of your

1 question with a thank you or end your follow-up
2 with, "That is all for my question," so we can move
3 on to the next question.

4 We will go through the raise-hand icon,
5 which I think tells me who's first. Dr. Spratt, I
6 believe, has the first question.

7 Dr. Spratt?

8 DR. SPRATT: Thank you. Dan Spratt, Case
9 Western. Thank you both for all the work put into
10 this. It's three interrelated questions, and I'll
11 make them concise. This is for Amgen.

12 It's in your briefing document, table 11 or
13 figure 15. If we believe your PFS-1, your primary
14 endpoint, is superior for your drug and your data
15 on PFS-2, the effect size estimate -- also favored
16 although not statistically significant -- was also
17 superior for your drug, the question is, why would
18 overall survival be similar or potentially worse?
19 So that's question one, and that leads to can we
20 reliably interpret your PFS results?

21 Question two is, you kindly did report --

22 DR. MADAN: Maybe, Dr. Spratt, we'll let

1 them answer question one, and then that way,
2 they'll be able to remember question two.

3 If the sponsor could address question one
4 from Dr. Spratt? Thank you.

5 DR. FRIBERG: Yes. Thank you, Dr. Spratt,
6 for the question. The purpose of performing the
7 post hoc PFS-2 analysis was to put the overall
8 survival results into context. One of the
9 questions that logically comes up when you see
10 overlapping Kaplan-Meier curves was, was there
11 something that happened after progression that led
12 to a detriment in the next line of therapy? That
13 does not appear to be the case. It does not
14 explain why the OS benefits were similar in the two
15 arms.

16 DR. SPRATT: Okay. Thank you.

17 That would lead me to believe that if PFS-1,
18 and potentially PFS-2, by the way they were
19 measured, were superior with the drug, we still
20 don't then have a clear answer why OS would be no
21 difference.

22 The second question is also for the sponsor.

1 The restricted mean survival times that were shown
2 in table 18, it's about a 1-to-1-and-a-half months
3 restricted mean survival time benefit for PFS. It
4 was unclear to me. The overall survival
5 Kaplan-Meier curves as they crossed, I don't know
6 if you tested -- did this violate proportional
7 hazards? And I didn't see the restricted mean
8 survival time for overall survival. Has that been
9 performed?

10 DR. FRIBERG: Yes, that has been performed.
11 I'd like to take the opportunity to ask Dr. Koch to
12 take the podium and respond to your question
13 regarding this.

14 DR. KOCH: I'm Gary Koch, professor of
15 biostatistics, University of North Carolina, Chapel
16 Hill. My institution is compensated for my time,
17 and neither I nor my institution have any financial
18 interest in the outcome of the meeting.

19 If we look at slide 1, this reports the RMST
20 results for PFS and it shows that at 12 months, the
21 difference is 1.33 months and at 14 months -- this
22 is from follow-up from baseline to 14

1 months -- it's 1.61, and this represents a
2 difference in means of survival over those
3 intervals. One can additionally have some
4 interpretation by dividing the difference by the
5 length of follow-up. So if we divide 1.61 by 14,
6 we're viewing the area between the Kaplan-Meier
7 curves, which is what the difference in RMST
8 manages, as like a rectangle, and the 11.5 percent
9 in the right-hand column means that the average
10 difference in PFS rates over the 14 months is about
11 11-and-a-half percent.

12 In slide 2, we essentially have
13 corresponding results for overall survival, and on
14 overall survival over 24 months, the difference in
15 the means is 0.17, and then again, if we look at
16 the difference over the 24 months by dividing the
17 0.17 by 24, we get a confidence interval from
18 minus 7.1 percent to 8.5 percent, with the
19 7.1 percent being the difference in favor of
20 docetaxel, and that's the amount of difference that
21 might possibly be ruled out by the difference in
22 RMSTs.

1 With respect to departures from proportional
2 hazards, when the curves are on top of one another,
3 then typically there would not be any difference
4 between proportional hazards over the follow-up
5 time.

6 DR. SPRATT: Thank you so much.

7 If people are able, the last one is on your
8 slide CC-32. I didn't hear anyone comment. It
9 does appear you had 7 hyperprogressors on your far
10 left. I didn't know if that was something relevant
11 to comment on.

12 DR. FRIBERG: Yes. I'd like to ask
13 Dr. Mehta to comment on the progressors in each
14 arm.

15 DR. MEHTA: Thank you. Slide 2, please? We
16 did look at these patients on the red bars in the
17 waterfall plot, and this slide here shows the two
18 arms and the numbers of patients that were in the
19 red bars. You had 10 patients on the sotorasib arm
20 and 12 patients on the docetaxel arm, whose best
21 response was progressive disease. And we looked at
22 these patients' greater details, specifically the

1 3 patients for whom you see the spikes, so to
2 speak, in the disease, and all three of these
3 patients had low tumor burden to begin with, and
4 these spikes represent a relative increase in the
5 tumor size and not a hyperprogression as such.

6 We also looked at the molecular
7 characteristics of these patients in the red bars,
8 and there appeared to be no significant enrichment
9 of any co-alterations for the small set of patients
10 whose best response was an increase in tumor size
11 of greater than 20 percent. Thank you.

12 DR. SPRATT: Thank you. That's it for me.
13 I really appreciate it.

14 DR. MADAN: Okay. Great. Thank you.

15 Our next question is from Dr. Vasan.

16 DR. VASAN: Hi. Thank you to both the FDA
17 and the applicant for this really careful analyses.
18 I had two questions. One is these tipping-point
19 analyses, because it seems to me that that is a
20 source of discordance between the applicant and the
21 FDA, so for the FDA, this is slide number 36, and
22 for the applicant, this is slide CC-87.

1 It seems to me that the FDA's analysis, the
2 X-axis, is this percent risk reduction, so binning
3 patients together, whereas the applicant's analysis
4 is sort of this patient-by-patient analysis.

5 Obviously, I think the interpretation of these two
6 analyses is quite different. So I was wondering if
7 both the FDA and the applicant could comment on the
8 merits of the way that they analyzed these data and
9 why that advocates for their position.

10 DR. SINGH: Thank you, Dr. Vasan, for the
11 question. We would like to invite the applicant to
12 respond first, and then I will invite Dr. Chuck
13 Song to comment.

14 I just want to add that in terms of the term
15 "statistical pessimism," which I think is something
16 you may be alluding to, which the applicant used
17 this term a few times, and you'll hear this from
18 Dr. Song, I want to say that the role of the FDA
19 actually is to make conservative and moderate
20 estimates of assumptions, statistical assumptions,
21 because certainly we would not expect individual
22 drug sponsors to perform those types of analyses.

1 So at this moment, I'll defer to the
2 applicant, and then invite Dr. Chuck Song to
3 respond.

4 DR. FRIBERG: Thank you for the opportunity.
5 This is a critical point. The analysis used
6 similar methods, as you point out. What the agency
7 refers to as some moderate statistical methods, I
8 think we've already described are clinically
9 actually quite extreme when you look at what it
10 means to be a 50 percent improvement in PFS.

11 That being said, your question is a bit more
12 of a philosophic one and, again, I think Dr. Koch
13 is well positioned to be able to answer this
14 question.

15 Dr. Koch?

16 DR. KOCH: Gary Koch, University of North
17 Carolina, Chapel Hill, statistics. There were
18 different types of sensitivity analyses that were
19 produced by the sponsor. One of them that was
20 described dealt with the early dropout or
21 discontinuation from the study by the 23 docetaxel
22 patients prior to being treated right after

1 randomization.

2 The analysis the sponsor did in that
3 particular case was to impute outcome for them,
4 reasonably optimistically, from the patients with
5 at least 6 weeks of follow-up, and the sampling to
6 do that is shown in slide 1, where basically the
7 23 patients were repeatedly randomly sampled from
8 the patients with follow-up at least 6 weeks, and
9 the results of that analysis were then shown in
10 slide 1 again, CC-74, so basically that was very
11 supportive. More pessimistically, the sponsor also
12 did such an analysis by randomly selecting from the
13 patients with at least 12 weeks of follow-up, and
14 that analysis was similarly supportive. These
15 analyses were definitely favorable to the docetaxel
16 group by assuming reasonably optimistic results for
17 those particular patients.

18 Now, the difficulty with the analysis
19 referred to in the presentation from FDA, as
20 described in slide 2, is that that analysis more
21 optimistically did the selection from the best
22 50 percent of patients, and in particular, it's

1 noted that those patients would have essentially a
2 fairly favorable median, although when it was from
3 the docetaxel group, the analysis was still
4 reconfirmed for the original primary.

5 Now, the sponsor did a second type of
6 analysis, which was concerned with the patients who
7 had early censoring due to basically crossover to
8 other treatments, and that was initially reviewed
9 by Dr. Friberg in slide 1, where the most
10 pessimistic possible paradigm was assumed for the
11 sotorasib patients by basically assuming that all
12 24 would have been a BICR event, essentially at the
13 time of starting their new therapy.

14 Then for the 31 docetaxel patients, what was
15 then done was to assume that none of them had a
16 progression at the time of the start of new
17 therapy. And there, as you see in red, the
18 confidence interval just barely crosses 1, but if
19 one is willing to say at least one of them would
20 have had an event, more or less, at the time of the
21 start of early treatment, then the results would
22 have then become favorable.

1 The sponsor then additionally in slide 2 put
2 the patients with getting a new anti-cancer
3 treatment and were censored for that reason, and
4 that was the 24 and 31, with the 2 and the 23, and
5 there, again, one only needed to see three
6 progressions on the docetaxel arm on these
7 particular patients in order to restore the result
8 in favor of sotorasib.

9 These are the kinds of analyses the sponsor
10 did. The one that you see in this slide is very
11 pessimistic to sotorasib by assuming that all 26 of
12 these patients would have had events, while only a
13 minimum number of them with docetaxel need to have
14 an event at the indicated time in order to restore
15 the original result of a positive result for PFS
16 for sotorasib.

17 DR. SINGH: Thank you. I'd like to invite
18 Dr. Chuck Song from FDA to respond briefly to this.

19 DR. SONG: Thank you for the question, and
20 also, thank you to the sponsor for discussing the
21 difference between sensitivity analysis. I first
22 want to reiterate what Dr. Singh just said, because

1 our role as FDA reviewers, we must consider a more
2 conservative view of the data than the sponsor, and
3 second, I want to clarify our analysis.

4 Could you please show the FDA slide of the
5 tipping-point analysis?

6 FEMALE VOICE: What number slide, please?

7 DR. SONG: It's slide number 33, I think.
8 Can you go to that slide, number 23?

9 FEMALE VOICE: Is this the main slide deck
10 or --

11 DR. SONG: Main slide.

12 FEMALE VOICE: Thank you.

13 (Crosstalk.)

14 DR. SINGH: It's slide 36 in the main slide
15 deck. Apologies for the confusion.

16 DR. SONG: Okay.

17 DR. SINGH: You had it up a moment ago.

18 DR. SONG: Okay. So this is our analysis,
19 and this is not the analysis that the sponsor
20 criticized for being too conservative. That
21 analysis is a supplement analysis, which we impute
22 based on the top 50 percent of patients. But in

1 this analysis, we didn't impute based on the top
2 patients, but we're saying after these patients are
3 being censored, the risk of an event will reduce by
4 a different percentage, ranging from
5 0 to 90 percent. We found at 50 percent reduction
6 of the risk, the confidence interval will cross 1.
7 So this is a different analysis that I want to
8 clarify.

9 Could you also pull up our backup slide
10 number 29, backup slide number 29? I want to
11 address the sponsor's sensitivity analysis because
12 in the sponsor's sensitivity analysis, they talk
13 about these 24 patients censored for new
14 anti-cancer therapy in sotorasib and 31 patients
15 censored for new anti-cancer therapy in the
16 docetaxel arm. They treated them all as events, or
17 they treat all of the sotorasib patients as events
18 and the docetaxel patients as non-events.

19 We actually looked into the overall survival
20 of these two groups of patients, and you can see
21 that the median overall survival for the
22 24 patients was 11.2 months and for the

1 31 docetaxel patients censored for new anti-cancer
2 therapy, they survived 7.4 months longer. Also, if
3 you look at the survival post-censoring for new
4 anti-cancer therapy, the difference is still there.
5 The docetaxel patients censored for new anti-cancer
6 therapy survived 6.6 months longer, and the hazard
7 ratio analysis, also pointing, the sotorasib
8 patients who got censored for new anti-cancer
9 therapy are more unhealthy.

10 Can you go to the next slide? As we said,
11 among these docetaxel patients censored for new
12 anti-cancer therapy, 19 out of them were actually
13 crossover patients, and we have already shown these
14 19 patients had very good overall survival. They
15 survived 24 months in terms of median, and
16 post-censoring, they survived 17.7 months.

17 The next slide please? So we actually did a
18 similar analysis as the sponsor did, and the second
19 row of this analysis, we treat the new anti-cancer
20 therapy in sotorasib only as an event, and we got
21 the same result as the sponsor's tipping-point
22 analysis. But if we treat the new anti-cancer

1 therapy as an event, except for the early
2 crossover, the 19 patients, you can see the results
3 still getting more towards 1 and the upper bound of
4 the confidence interval becomes 0.94. and
5 highlights the uncertainty of the data.

6 DR. SINGH: Okay. Thank you, Dr. Song. I
7 think that adequately addresses the question.
8 Thank you.

9 DR. MADAN: Okay. Thanks.

10 I think we've got several questions lined
11 up, so I'll ask each questioner to give their most
12 important question, and then move on to the next
13 one so everyone has a chance. And I'll ask
14 responders to be direct and on point to the
15 question so we can get all these discussion points
16 in.

17 Our next question will be from Dr. Nieva.

18 DR. NIEVA: Thank you. My question is for
19 the applicant. I'm Jorge Nieva from USC. My
20 question is regarding the blinded independent
21 central review. I'd like to know what was the
22 nature of the errors in the first BICR analysis?

1 I want to know if the first vendor
2 acknowledged that there was some kind of
3 incompetence in their analysis, because I'm
4 concerned that basically there were two chances to
5 hit on PFS by doing the analysis twice, and that
6 may have bias. I'd also like to know if the COP
7 analysis that was done, that differed from the
8 first BICR analysis, was informed by the opinion of
9 the treating physicians and if there was
10 communication between the two. Thank you. That
11 concludes my questions.

12 DR. FRIBERG: Thank you for the question.
13 Before I bring up any slides, I just want to be
14 unambiguous about three points. One, we did not
15 violate the imaging charter. Number two, the blind
16 was maintained with regard to treatment assignment
17 at all times on the study. And number three,
18 again, even if you believe that there were
19 challenges, the 100 percent re-read should reset
20 and nullify those concerns.

21 That being said, if we could bring up
22 slide 1, and I also want to clarify that the FDA

1 scheme -- I think it's figure 2 -- which they also
2 showed in their analysis, is actually not quite
3 correct. The scan data is shared with
4 investigators, as well as the COP assessment and
5 the BICR. The investigator was never a gatekeeper,
6 per se, in order for the BICR to receive
7 information. In that regard, again, they were
8 blinded to treatment assignment at all times, and
9 there was no communication between the
10 investigators, the COP assessment, and any of the
11 BICR assessments.

12 With regard to -- I think you had a third
13 question. Can you repeat your third question that
14 was in embedded in there?

15 DR. NIEVA: Yes. I'd like to know the
16 nature -- we do blinded independent central review
17 because we presume it to be more competent or
18 informed. So the fact that the first blinded
19 independent central review seemed to have a large
20 number of errors is concerning. So I'd like to
21 know if that's something that's been acknowledged
22 by the vendor or if the vendor stands by their

1 initial assessments, and I'd like to know if there
2 was a systematic nature to the types of errors that
3 were being made.

4 DR. FRIBERG: So to clarify, the BICR
5 process and the independent imaging reads were
6 entirely independent. As I mentioned, no
7 information was sharing. Also to put it into some
8 context, less than 10 percent of the total reads
9 that were performed by the BICR went through the
10 COP process.

11 That being said, this aggregate data that
12 was identified as having some discordances through
13 the mechanisms that we described was through
14 routine and outlined in the imaging charter
15 communications with the imaging vendor. That led
16 to an independent quality review at the level of
17 the imaging vendor, and ultimately that led to them
18 independently, without regard to saying which of
19 the individual scans were involved; or without,
20 again, knowledge of the treatment assignments, that
21 led to their independent evaluation, reader
22 retraining, and ultimately the three scans that had

1 their values changed.

2 So in that regard, the auditing that the FDA
3 brings up would only have been possible through
4 this communication with Amgen that, again, was
5 without regard to treatment assignment, and the
6 global 100 percent re-reads should have nullified
7 that. So again, no imaging charter violation and
8 the re-reads should have accounted for all of this.

9 DR. MADAN: Okay. Thanks.

10 DR. SINGH: Dr. Madan, may I be permitted to
11 just respond, since it was basically said that the
12 FDA is being inaccurate? I think that we did say
13 within our presentation that this was a very
14 confusing process for us to elucidate. We called
15 it a potential violation, and we did try to gain a
16 deeper understanding. Nevertheless, we considered
17 this to be, in totality, just an atypical
18 interaction, triggering a series of re-reads, which
19 again speaks to just the global concerns regarding
20 the fidelity of this endpoint. I'll end there.

21 DR. MADAN: Thank you, Dr. Singh.

22 Dr. Shaw, you have the next question.

1 DR. SHAW: Thank you very much. Pamela
2 Shaw, Kaiser Permanente, Washington Health Research
3 Institute. I just had a couple of quick follow-up
4 questions regarding the BICR re-read process. I
5 just wanted to understand, were those completely
6 new people from that vendor or new organization
7 that were re-reading it -- so that would be the
8 first time they saw the scans -- or was it some of
9 the same people reading the same scans a second
10 time?

11 DR. FRIBERG: Thank you, Dr. Shaw. They
12 were three separate new individuals, new
13 radiologists, who were independent from anyone who
14 had ever seen a scan on the study.

15 DR. SHAW: Okay. Great. Thank you. I
16 think that completes my questions about the BICR.

17 Then I just had another second question,
18 which related to understanding some of these
19 sensitivity analyses, and we've heard the term
20 "pessimism" being used in some of those
21 imputations, where we think about those people that
22 stop treatment or the early crossovers, and we

1 heard about this imputation process where we take
2 the 58 percent, the top 50 percent, in terms of
3 best progression events in the imputations.

4 For me, what I understood -- and maybe this
5 is a question for Dr. Song, and you can tell me if
6 I'm interpreting this correctly -- is that we've
7 learned about the people, particularly, I'm going
8 to call it the doxa [ph] arm -- I don't pronounce
9 it very well -- that the early switchers had better
10 survival. I think it was a 42 percent hazard
11 ratio. And also, there was a differential better
12 survival being censored for the standard arm.

13 So the idea that this 50 percent imputation
14 is optimistic, I'm confused because the way I think
15 about it, if I'm going to impute this progression,
16 I want to think about people with a similar
17 prognosis. So I'm actually wondering, rather than
18 just taking the progression times, did you think
19 about doing an imputation, or did anyone do an
20 imputation, where you think about people with
21 similar prognosis, similar survival, and then look
22 at the progression times, the progression-free

1 survival times, amongst those who had, obviously,
2 better survival that we're getting censored on one
3 arm versus the other?

4 I don't know if that question made sense,
5 because I'm not sure if an optimistic implication
6 was done because the survival wasn't considered,
7 and it seemed like there was a survival difference
8 or at least some evidence of that.

9 DR. MADAN: Maybe I can try to distill that,
10 and you can correct me if I'm wrong, Dr. Shaw. But
11 you're asking, basically, with the statistical
12 extrapolations, were they done with patients of
13 similar characteristics so you could have a more
14 accurate imputation?

15 DR. SHAW: Yes, in terms of the prognosis,
16 because I'm concerned that this term "optimism" is
17 giving us all comfort, and I'm not sure they were
18 optimistic at all because they didn't consider one
19 of the most important characteristics of the
20 patient, which was prognosis, and somehow
21 conditionally imputing on prognosis, based on
22 survival times.

1 DR. MADAN: Yes. And can you just clarify
2 who you're asking the question to?

3 DR. SHAW: I'll ask Dr. Song, first, whether
4 he considered an imputation like that, and then I'd
5 be happy to hear from the Amgen group because they
6 did a lot of thoughtful sensitivity analyses as
7 well.

8 DR. MADAN: Thank you.

9 Dr. Song?

10 DR. SONG: Yes. Hi. Yes. We did
11 imputation analysis for this group of patients
12 because we all deserve that they have better
13 survival for their overall survival, so we assume
14 they have better outcome for the PFS also. But the
15 survival, we didn't know the missing part of the
16 PFS, so we cannot really -- because this is a
17 missing data problem.

18 DR. SHAW: I see what you're saying, yes.
19 Okay. Thank you for that. Thank you.

20 DR. MADAN: So I guess the sponsor, would
21 you guys like to reply?

22 DR. FRIBERG: Yes. Thank you for the

1 opportunity. I'm going to ask Dr. Suresh to come
2 up and comment. I think we have some additional
3 data that could be helpful here, both with what the
4 Kaplan-Meier curve looks like for a 50 percent
5 lower event rate from the tipping-point analysis,
6 as well as more broadly about the wide variety of
7 sensitivity analyses that we performed.

8 DR. SURESH: Ram Suresh, oncology,
9 biostatistics, Amgen. To answer Dr. Shaw's
10 question, bring up BU-320, please. First, let me
11 talk about what we did for the 23 docetaxel
12 patients who dropped out. What we did
13 was -- slide 3, please.

14 DR. MADAN: To clarify, we're not seeing
15 slide 23. Okay. Thank you.

16 DR. SURESH: Okay. So what we did was
17 Dr. Friberg showed the sampling where we made an
18 attempt to sample from enriched patients who
19 survived at least 6 weeks. In other words, we
20 excluded all the early progressors, and their
21 deaths, and the censoring, and the 120 patients
22 that were enriched from which we sampled.

1 By doing so, this was an attempt to try to
2 get an answer for the unobserved variants because
3 we are sampling from the same docetaxel pool.
4 Additionally, we wanted to include a degree of
5 stress, and then went ahead and sampled for these
6 23 patients from all the docetaxel patients who had
7 not progressed, died, or censored by 12 weeks. And
8 when we did this, as is shown on the screen, the
9 hazard ratio is 0.73, and 83.9 percent of the
10 times, the results were statistically significant.
11 I just wanted to submit this.

12 DR. SINGH: Great. Thank you.

13 DR. FRIBERG: If I could just bring up one
14 additional slide, slide number 2, I think we've
15 been talking about how extreme are some of these
16 assumptions, and this is an image that shows,
17 again, the progression-free survival estimates of
18 what actual docetaxel patients from the study are.
19 And you see that, again, the original, and it's a
20 grayish brown here, and the light blue represents a
21 50 percent risk reduction from the original.

22 DR. SHAW: Okay. That's really helpful.

1 And just a quick follow-up, the FDA seemed to note
2 the survival difference, not just for those that
3 did the early crossover, but also those who may
4 have discontinued due to AEs. When you did that
5 particular imputation you're referring to, did it
6 include that expanded group who discontinued due to
7 AEs or just the early crossovers?

8 DR. FRIBERG: That particular simulation I'm
9 going to have Dr. Suresh comment on.

10 DR. SURESH: In our simulation, the sample
11 is from patients who had not progressed, died, or
12 censored until 12 weeks, and there is evidence that
13 they are continuing beyond 12 weeks.

14 DR. SHAW: Okay.

15 DR. SURESH: Can I give you another
16 perspective also related --

17 DR. SINGH: Well, I believe that the FDA
18 would like to respond to just a few of these
19 assertions very quickly, and I would ask that
20 Dr. Pallavi Mishra-Kalyani quickly responds.

21 DR. MISHRA-KALYANI: Sure. This is Pallavi
22 Mishra-Kalyani, FDA, statistics. First, Dr. Shaw,

1 thank you very much for your question. I think it
2 was an excellent one, and certainly imputing PFS
3 based off of knowledge of prognosis on survival
4 time is a good one, but as my colleague, Dr. Song,
5 mentioned, it's very difficult with missing data
6 problems to be able to identify the group, the
7 correct group of patients for imputation,
8 particularly given that there are so few patients,
9 in general, with long survival times in this study.

10 Secondly, I think you mentioned the two
11 groups of patients who dropped out, or had early
12 crossover and also dropped out, and the dropout
13 patients that we are describing mostly didn't even
14 receive a single dose of therapy, so they weren't
15 necessarily dropping out early due to adverse
16 events; they were just dropping out very early into
17 this study after randomization.

18 Lastly, I'll just mention that with the
19 analyses described by Amgen, we don't disagree with
20 their analyses, but these are very, very mild
21 assumptions about these patients, and we've already
22 noted from our additional analysis that these

1 patients do tend to have better prognosis. So it's
2 better to take a more moderate approach in assuming
3 that they would have better PFS had they stayed on
4 study and then observed with the BICR PFS. Thank
5 you.

6 DR. SINGH: Just a note, I would just like
7 to say that we have a heavily clinical committee
8 who is trying to understand complex statistical
9 concepts, and I think the understanding is that
10 both the FDA and the applicant performed various
11 sensitivity analyses to interpret the robustness of
12 the results, and different assumptions can be used
13 with different results, again, highlighting our
14 overall challenges in interpreting these trial
15 results.

16 DR. SHAW: Yes. I would like to say that I
17 feel like this has been a great discussion, and I
18 agree there's been very reasonable sensitivity
19 analyses done on the part of the sponsor and the
20 FDA. And I think my main conclusion, or the reason
21 behind my question, is I just caution the use of
22 pessimistic because it is difficult to understand

1 what would be pessimistic versus optimistic.

2 DR. MADAN: Thank you, Dr. Shaw. We're
3 going to move on to the next question --

4 DR. SHAW: Thank you very much.

5 DR. MADAN: -- and return later if we can
6 get through the questions. We still have panel
7 members who are waiting patiently.

8 Dr. Hoffman, you can ask your primary
9 question and direct it to either the sponsor or the
10 FDA.

11 DR. HOFFMAN: Yes. I have two related
12 clinical questions, probably best for Dr. Mehta.
13 In view of the fact that I believe 99 percent of
14 the accrual to CodeBreak 200 had occurred prior to
15 the accelerated approval date for sotorasib in
16 2021, with that number of people that dropped off
17 the day after randomization, if you will, if they
18 were not happy with having been randomized to
19 docetaxel, was there an option at that time? Was
20 there like an expanded access trial or something
21 where they knew they could get sotorasib some other
22 way? Was that the main issue? And then I'll ask a

1 short follow-up after that.

2 DR. MADAN: Okay.

3 DR. MEHTA: Thank you for your question.

4 No, there was no other non-trial access to
5 sotorasib at that time point. We did have expanded
6 access programs ongoing; however, the expanded
7 access programs had a clear eligibility criteria
8 that they could not have received sotorasib on
9 trial, and only if they had some eligibility
10 limitations for the trial would they be allowed to
11 access the expanded access protocols.

12 I also want to note that while you are
13 accurate that the vast majority of patients had
14 already been enrolled at the time of crossover
15 amendment, the actual number of progression events
16 was only 25 percent. So only 25 percent of BICR
17 PDs had occurred when protocol amendment 3 was
18 implemented, and that means about half of the
19 docetaxel patients, or approximately 50 percent of
20 the docetaxel patients, were able to access the
21 crossover because by the time they experienced PD,
22 their site had implemented the amendment and,

1 hence, were able to access crossover.

2 DR. MADAN: Okay. Thank you. I think that
3 answers the question initially.

4 Dr. Hoffman, your second question?

5 DR. HOFFMAN: Yes. I wondered whether there
6 was some at least general information about what
7 the response rate was to those people who did
8 crossover to sotorasib from docetaxel, and perhaps
9 vice versa, if that information is there.

10 DR. MEHTA: Certainly. We can show you the
11 outcomes of the crossover patients. Slide 1,
12 please. On this slide, you see the swimmers plot
13 on the left, but first I draw your attention to the
14 table on the right. These are the 46 patients who
15 crossed over from docetaxel to sotorasib. They
16 came from numerous sites. Their median time on
17 sotorasib after crossover was 4.8 months. Of these
18 46 patients, 10 experienced response, so that's ORR
19 of 21 percent, and the disease control rate was
20 approximately 76 percent.

21 We do not have a median OS that was achieved
22 at the time of the data cutoff on these crossover

1 patients. The left panel is the swimmers plot. If
2 you have additional questions, I can walk us
3 through the swimmers plot as well.

4 DR. HOFFMAN: No, that's fine. Thank you.

5 DR. MADAN: Alright. Thank you.

6 DR. MEHTA: Thank you.

7 DR. MADAN: We'll move to our next question
8 from Dr. Gulley.

9 DR. GULLEY: Yes. Thank you so much. This
10 question is for the applicant. For those patients
11 who crossed over early in the docetaxel arm before
12 the BICR PD based on COP reads, what was the
13 average RECIST percent increase or decrease in the
14 final BICR reads?

15 DR. FRIBERG: That is a question I'd like to
16 ask Dr. Mehta to come address.

17 DR. MEHTA: I understand your question to be
18 the change in the RECIST target lesion size at the
19 time of BICR PD --

20 DR. GULLEY: At the time of --

21 DR. MEHTA: -- for the crossover patients?

22 DR. GULLEY: -- COP, at the time at

1 crossover and they didn't have the BICR PD, yes.

2 DR. MEHTA: Okay. We do not have that
3 information collated on the slide, but we can try
4 to get it to you before the end of the day.

5 DR. GULLEY: Okay. Thank you.

6 DR. MEHTA: Thank you.

7 DR. MADAN: Thank you very much.

8 Okay. Dr. Rosko?

9 DR. ROSKO: Hi there. Ashley Rosko, Ohio
10 State. My question is for the FDA. It's in regard
11 to the frequency of when a new and independent BICR
12 review is requested, this really speaks to the
13 potential misuse of the COP procedure in which
14 there was an atypical BICR re-read resulting in the
15 development of a new PFS.

16 To the FDA, is there a threshold of
17 discordance between the investigator assessment and
18 a BICR assessment, and would you recommend a new
19 and independent BICR team?

20 DR. SINGH: Thank you, Dr. Rosko. I'll
21 start, and I'll invite Dr. Amatya to join. We
22 noted in our presentation -- and I think your

1 question is, is there a threshold, basically, for
2 which we recommend a global re-read? I can tell
3 you upfront there is not. We typically see
4 discordance between investigators and blinded
5 central reviews; that's why we have blinded central
6 reviews. That rate of discordance is typically
7 about 30 percent.

8 What was concerning here was the direction
9 of the discordance, the proportionality, the same
10 bias illustrated on both arms, so calling
11 progression earlier for patients on the docetaxel
12 arm, then the blinded readers, and similarly
13 calling progression later on the sotorasib arm,
14 then the blinded readers, both patterns of behavior
15 suggesting this implicit bias towards sotorasib.

16 When we recommended the global re-read at
17 the time of the interim analysis, which again was
18 narrowly flipped from negative to positive based on
19 12 patients, 11 of which were on the docetaxel arm,
20 this was not triggered by some sort of threshold;
21 it was triggered by just a concern, again, around
22 the integrity of this endpoint.

1 Dr. Amatya is our biostatistician, and he
2 can comment briefly on some more background on
3 this.

4 DR. AMATYA: Thank you, Dr. Singh.

5 I think Dr. Singh covered and answered your
6 question. This really was triggered by observed
7 discordances between COP read and BICR read, and
8 not because of any particular threshold. Thank
9 you.

10 DR. MADAN: Dr. Rosko, does that complete
11 your question?

12 DR. ROSKO: Yes. Thank you.

13 DR. MADAN: Thank you. I'm just making
14 sure.

15 Our next question will be from Dr. Conaway.

16 DR. CONAWAY: Yes. Mark Conaway, University
17 of Virginia. Thank you. My question is for
18 Dr. Friberg, and we're back to slide CC-72. My
19 apologies for going back to a slide we talked about
20 a lot. You imputed from this pool in the green box
21 at the bottom. If you plotted the PFS experience
22 of those patients in that pool on CC-78, what would

1 that curve look like?

2 DR. FRIBERG: I'd like to ask Dr. Suresh to
3 come up and present that data.

4 DR. SURESH: Can you bring up BU-636,
5 please, slide 1? This is the display of the curve
6 for 6 weeks. And could you bring up the 12 weeks?
7 It's BU-637. Okay. Slide 1, please. This is for
8 the 12 weeks, and I hope I answered your question.

9 DR. CONAWAY: Yes. Thank you. We'd expect
10 they'd be shifted to the right. I was just trying
11 to get a sense of how much, so thank you.

12 DR. SURESH: Thank you.

13 DR. MADAN: Okay. Alright. I think I'll
14 ask a question, and we have other questions. But
15 if you want to get back in the queue, there's still
16 a little time before lunch here.

17 This is Ravi Robbie Madan from the National
18 Cancer Institute, and my question is to Amgen.
19 We've had a lot of discussion about the different
20 statistical permutations, but a large part of our
21 conversation has to do with the perceived minimal
22 benefit in terms of PFS. What were the statistical

1 presumptions about a median difference in the
2 initial design of the trial? In other words, what
3 was the expectation for benefit, and was that
4 expressed in a median benefit timeline?

5 DR. FRIBERG: The study was designed, rather
6 than based on medians, it was designed on a
7 relative risk reduction. And whether we're talking
8 about the initial protocol or after amendment 3, it
9 was always held stable at a 35 percent relative
10 risk reduction; said another way, looking for a PFS
11 hazard ratio of of 0.65, and ultimately what we
12 observed was a relative risk reduction of
13 34 percent.

14 DR. MADAN: Thank you for that.

15 DR. AMATYA: I'll just respond if it's ok.

16 DR. MADAN: Go ahead. FDA wants to respond.

17 DR. AMATYA: This is Anup Amatyia from the
18 FDA. Initially, it was designed to detect
19 3.2 months of median difference.

20 DR. FRIBERG: The initial assumptions were
21 before there was any data available for real-world
22 evidence related to docetaxel performance on G12C,

1 as well as our ultimate results from CodeBreak 100.
2 It's fair to say that those were optimistic
3 assumptions.

4 DR. MADAN: Well, I'm sorry. Just to
5 clarify, there was mention of an expected result of
6 3.12 months, so why should we not hold that in
7 regard here? I'm sorry.

8 DR. FRIBERG: So the medians, of course, are
9 derived from the relative risk reduction, and
10 whatever you plug into them, you can look at that
11 difference. The assumptions there that read
12 through, I think they were quoted here, but those
13 were hypothetical. The relative risk reduction,
14 which looks at, again, the entirety of the curve,
15 was held stable between the different amendments,
16 and ultimately turned out to be what we had
17 predicted, or at least roughly, at the
18 minus 34 percent relative risk reduction. Medians
19 are only one way to show the result.

20 DR. MADAN: Okay. Thank you.

21 I believe Dr. Pantelas is next.

22 MR. PANTELAS: I appreciate the promotion,

1 but I'm not a doctor; I'm a patient. The question
2 that I have is about a loss of equipoise, and the
3 problem that I have is that when this drug was
4 talked about at ASCO and at IASLC, it hit the KRAS
5 community pretty hard. I don't know that you can
6 get a lack of bias within the patient community,
7 especially this kind of community, even if you're
8 looking at a non-superiority.

9 Docetaxel is not seen as a kind treatment in
10 this community, and it has very visible side
11 effects. So creating an oral alternative to doxy,
12 and one that has more patient friendly side
13 effects, it creates a desire in the community for
14 for noninferiority. And if you mention
15 noninferiority with an oral option versus an
16 infusion option, I just don't know how you take
17 that into context in creating this trial.

18 DR. MADAN: Okay. Mr. Pantelas, if you take
19 your perspective -- I guess I'm trying to
20 understand your question to either the sponsor or
21 the FDA. Is it one about the noninferiority
22 interpretation of this data? Is that what you're

1 asking?

2 MR. PANTELAS: Well, whether or not the
3 noninferiority interpretation of the data has value
4 and supports continuation.

5 DR. MADAN: Alright. I think we'll start
6 with the FDA, and then Amgen will have a chance to
7 respond about this data and whether it supports
8 noninferiority.

9 DR. SINGH: Okay. I see Dr. Amatya and I
10 think Dr. -- well, I'll invite Dr. Amatya to
11 comment on the noninferiority and I'd like to make
12 a comment subsequently regarding the comments
13 surrounding equipoise.

14 Dr. Amatya?

15 DR. AMATYA: Yes. Commenting regarding
16 noninferiority, first of all, lack of superiority
17 does not does not necessarily mean noninferior.
18 What this data suggests is that there is no
19 evidence of superiority. It doesn't necessarily
20 show; it's no difference statistically. So I would
21 rather caution against interpreting this as a
22 noninferior result.

1 DR. MADAN: Thank you.

2 Dr. Singh, you can address the equipoise
3 issue, then the sponsor to respond as well.

4 DR. SINGH: Yes. Dr. Puthiamadathil, would
5 you like to address equipoise and mitigation
6 strategies?

7 DR. PUTHIAMADATHIL: Thank you, Dr. Singh,
8 and thank you, Mr. Pantelas for that question and
9 comment. We agree with you. There was a
10 significant amount of press ahead of time, so we do
11 believe that this actually impacted patient
12 perspective, as well as investigator perspective.
13 Dr. Johnson in her presentation actually said that
14 physicians love to hate docetaxel and the patients
15 dread it, so she's not surprised by the early
16 dropout. So that really indicates the sort of
17 milieu that this trial was going through. There
18 was what we believe was perceived as loss of
19 equipoise.

20 If you can go to slide 47 in our main
21 presentation, we can discuss some of the mitigating
22 factors that are available to us that can help

1 potentially address these issues of perceived loss
2 of equipoise. Obviously, these include patient and
3 investigator education. I think this certainly
4 could have done a little bit better, I think, in
5 terms of patient and investigator understanding
6 about where sotorasib really stood compared to
7 docetaxel. We could also obviously have had -- the
8 study sponsor did increment crossover.

9 Real-time BICR assessments also help in
10 terms of getting patients to determine when there
11 is real progression, and also, obviously, the
12 endpoint selection. We've discussed how PFS is
13 inherently subject to bias versus overall survival,
14 which is a more objective endpoint, and obviously,
15 for the long term, we can suggest collection of OS
16 follow-up even when patients withdraw early. Thank
17 you.

18 DR. SINGH: Thank you.

19 DR. MADAN: Thank you very much.

20 So we are coming up on lunch. Dr. Kraus has
21 one question --

22 DR. FRIBERG: Could I --

1 DR. MADAN: -- but I wanted to give Amgen a
2 chance to balance out the discussion here if they
3 have any comments on either the noninferiority
4 interpretation of the data or the equipoise issue.

5 DR. FRIBERG: As the sponsor, can we reply
6 to that as well? Could it be possible?

7 DR. MADAN: That's what I just said.

8 DR. FRIBERG: Oh, I'm sorry. I thought you
9 were asking other members of the committee.

10 DR. MADAN: [Indiscernible] to address
11 either the noninferiority question interpretation
12 or the equipoise question.

13 DR. FRIBERG: Thank you so much. One thing
14 that I think I want to make sure we're not losing
15 track of is this noninferiority discussion about
16 the overall survival. The PFS result was
17 statistically superior and, again, we've looked at
18 a variety of tests. To address this directly,
19 though, I do think rather than going into
20 additional methods or additional statistics, I
21 think asking Dr. Johnson to comment a bit on what
22 that means and, again, her perspective would be

1 appropriate.

2 DR. JOHNSON: Thanks Dr. Friberg.

3 Thanks for the opportunity. I do think it's
4 critical -- I'll piggyback on what Mr. Pantelas
5 commented upon, which is while there was buzz in
6 the community, I think to blame early dropouts and
7 to attribute it all to perceived loss of equipoise
8 is short-sighted. It's not subtle what docetaxel
9 does to fragile patients.

10 So while we can look at the 20 patients that
11 decided not to enroll in the study -- Dr. Friberg
12 showed us a nice analysis about how those patients
13 were actually less fit or even sicker than than the
14 larger group. But for any patient that received
15 docetaxel, as a clinical oncologist, we know what
16 happens, as those patients decline quickly, and
17 that results in constitutional symptoms; that
18 results in patients appearing as though they they
19 are progressing and declining in performance
20 status. So that point hadn't come up yet, and I
21 think to just call this statistical noise would be
22 a shame.

1 DR. MADAN: Thank you very much.

2 Our last question before lunch will be from
3 Dr. Kraus.

4 DR. SINGH: Dr. Madan, Dr. Pazdur has joined
5 and he has a comment.

6 DR. PAZDUR: I want to address that comment.

7 (Crosstalk.)

8 DR. SINGH: May we allow this comment?

9 DR. PAZDUR: Yes. I want to address that
10 comment.

11 DR. MADAN: Yes. Go ahead, Dr. Pazdur.

12 (No audible response.)

13 DR. MADAN: Dr. Pazdur, you're --

14 DR. SINGH: Dr. Pazdur, you're muted for us.

15 DR. PAZDUR: Thank you. I just wanted to
16 address that comment because I think there's a
17 bigger issue here that has to play out here, from
18 the clinical trial community that this brings up,
19 and that is education of patients and education of
20 investigators, and that's why we highlighted that.
21 And although that might seem rather minimal, I
22 think it's very important that people understand

1 they shouldn't be going on the trial, and have a
2 careful discussion with the patient, and
3 themselves. If they do not feel that they could
4 take the docetaxel arm if it was allocated to them,
5 they should not be participating in this trial, and
6 that is an end-of-discussion point.

7 This affects the entire integrity of the
8 clinical trial system if one plays this game of,
9 "Well, I'll go on the drug or I'll remain on the
10 study if I get a certain drug here." We're talking
11 about the integrity of a clinical trial system
12 throughout the world, throughout the United States,
13 and investigators, and patients, and the entire
14 community must take the responsibility of deciding
15 whether they want to go on a trial, and then when
16 they get the results, they have to participate in
17 the trial. It's not, "Well, I'll pick the trial
18 and I'll stay on the trial if I get the arm that I
19 want to go on," and I think that this is an
20 extremely important issue for the committee to
21 discuss.

22 DR. MADAN: Okay. Thank you, Dr. Pazdur.

1 Dr. Kraus, we'll get your question in, and
2 then we'll head to our lunch break.

3 DR. KRAUS: Perfect. Thank you. Can you
4 hear me?

5 DR. MADAN: Yes, very clearly.

6 DR. KRAUS: Oh, good. I think Dr. Pazdur
7 led into it a little bit. This situation is a fair
8 bit complicated because of IV versus oral, and
9 therefore, open label, which is unavoidable in this
10 case. So we have to struggle through with a lot of
11 the situation that I'm sure FDA and the sponsor
12 doesn't like, and having been involved with these
13 things, it's very difficult.

14 One of the key aspects that we're talking
15 about, and I think there's a difference, is the
16 interpretation of optimism, pessimism, and the
17 sensitivity and tipping point analyses, et cetera,
18 and how to look at that. And the question I have
19 can be a larger discussion, and probably will be
20 later. But the question I really have, and we
21 heard the sponsor -- and this would be to the
22 sponsor, but I'm sure FDA will want to comment, and

1 I'd be interested in their viewpoint as well -- is,
2 what do we have to learn about historic docetaxel
3 data -- there's a fair bit, and the sponsor
4 mentioned it -- around how we should look at these
5 sensitivity analyses, and and how tough -- you can
6 always come up with assumptions that will make a
7 trial look like it didn't work when it did because
8 you change a bunch of assumptions.

9 I've been through it, and the tipping points
10 are that sort of thing, too. But can we learn
11 something from the historic docetaxel control arm
12 data and look at the control arm in this trial, and
13 say, how in line, out of line? Is there anything
14 we can learn with historical data to know, for
15 guideposts, how the ODAC members here should be
16 looking at this in terms of is it in line, is it
17 out of line, how hard should it be pushed, is it
18 unexpected? That's just a question to the sponsor
19 and FDA.

20 DR. FRIBERG: Thank you for the question.
21 I'm going to ask Dr. Mehta to directly reply.

22 DR. MEHTA: Thank you. We looked at a

1 number of non-small cell lung cancer trials with
2 docetaxel as the comparator arm in open-label
3 situations. Slide 2, please.

4 On this slide you see CodeBreaK 200 rates of
5 withdrawal prior to and after study drug start, and
6 the right three columns show the data from other
7 trials of pembrolizumab, nivolumab, and avelumab.
8 As you will note, the rates on docetaxel dropout,
9 even prior to study drug start, on CodeBreaK 200
10 were relatively comparable. Of course, these
11 trials were conducted during different times.
12 CodeBreaK 200 was conducted during the peak of
13 COVID before vaccines were widely available, but
14 generally in that context, these withdrawal rates
15 are consistent across other non-small cell lung
16 cancer trials.

17 To the broader question of how reliable are
18 these outcomes, I would go back to slide 1, which
19 was shown in Dr. Friberg's presentation that at the
20 end of the day, with all of these challenges, the
21 data from CodeBreaK 200 are incredibly consistent
22 with data from other trials, not only of sotorasib

1 but of docetaxel. And these docetaxel trials in
2 the right columns, CheckMate 057 or REVEL, or a
3 very recent study, CONTACT-01, the PFS outcomes are
4 remarkably consistent. Thank you.

5 DR. MADAN: Thank you for that response from
6 the sponsor.

7 The FDA has a chance to respond now.

8 DR. SINGH: Thank you. I'd like to make two
9 brief points, Rick, if that's ok.

10 DR. PAZDUR: Go ahead.

11 DR. SINGH: Number one, in terms of the
12 historical response rates of docetaxel, they are,
13 in fact, historical, and our assumptions must
14 change over time as data evolves. It is possible
15 that the patients in the docetaxel arm of
16 CodeBreaK 200 overperformed; however, we actually
17 do not have -- and even the slide which the
18 applicant just showed is not technically
19 comparable, some of the trials, because in
20 CodeBreaK 200, all patients had received prior
21 immunotherapy and platinum-doublet chemotherapy,
22 which even the sponsor has considered that this may

1 have actually augmented the patient's responses to
2 docetaxel.

3 So the historical knowledge of docetaxel to
4 inform the assumptions of this trial were just
5 that, historical and perhaps non-contemporary. I'd
6 like Dr. Pallavi Mishra-Kalyani to respond, and
7 then Dr. Pazdur, before the sponsor is able to
8 respond because we do have very valid points here,
9 and we should be allowed to complete all of our
10 points before the sponsor responds. Thank you.

11 DR. MADAN: FDA, and if the sponsor wants to
12 reply afterwards, they can do so.

13 DR. MISHRA-KALYANI: Great. Thank you,
14 Dr. Singh. This is Pallavi Mishra-Kalyani, FDA,
15 statistics. Certainly, I think the point has been
16 brought up several times that there are differences
17 in the sensitivity analyses conducted by the
18 applicant, as well as conducted by FDA. I think
19 what's most important to understand and to remember
20 in these analyses, really, is why we're doing them,
21 which is, it's that we saw several signs of
22 potential bias and issues with the assessment of

1 PFS, and we needed to explore these further. We
2 considered which assumptions would be reasonable to
3 make when doing these analyses, but the reason
4 we're most concerned about them is the fact that
5 the PFS benefit that was estimated from the data
6 was marginal. It was incremental to docetaxel,
7 which has already been described as a drug that has
8 marginal benefit to begin with.

9 So yes, there are differences and, yes, we
10 can talk about the differences in the assumptions.
11 I don't think that optimistic or pessimistic is a
12 valid way to describe these assumptions. I think
13 we have to consider whether or not the data support
14 these assumptions, and FDA has shown that the OS
15 results do support the assumptions that we've made.
16 Lastly, I will just say that no sensitivity
17 analysis can truly mitigate the impact of
18 informative censoring, which is what we've observed
19 in this study.

20 DR. MADAN: I believe Dr. Pazdur wanted to
21 have a word.

22 DR. PAZDUR: I'm the only person here that

1 knows what went on with the original approval of
2 docetaxel and lung cancer, in the agency. And
3 there was a great deal of consternation about this,
4 but the reviewers knew that it had a survival
5 advantage. It had a survival advantage, end of
6 discussion, and we approved it on that basis.

7 I think we have to get away from this issue,
8 and all of these discussions that we're having here
9 could have been very well mitigated if we really
10 chose the right endpoint here, and that was overall
11 survival in this setting. We wouldn't have to be
12 discussing all of this, and this was pointed out
13 clearly in the FDA slides, that this is a potential
14 for the mitigation of bias, so to speak.

15 We wouldn't have to be talking about all of
16 these complexities of bias and different
17 sensitivity analysis if we were dealing with either
18 a superiority in overall survival or a
19 noninferiority in overall survival. And I would
20 hope that the field would have moved forward and
21 that we would be able to show a superiority over a
22 drug that was approved 23 years ago, so to speak,

1 as a kind of where are we going in the field here,
2 so to speak.

3 Here again, I think we have to take a look
4 at, really, what the basis of the approval of
5 docetaxel was, and it was on not a PFS endpoint,
6 not on response rate, but on a small, but we
7 thought, clinically meaningful endpoint of overall
8 survival. So I'll just leave it at that from the
9 person that has some historical perspective here at
10 the FDA, take it or leave it.

11 DR. MADAN: Thank you, Dr. Pazdur.

12 The sponsor has an opportunity to respond.

13 DR. FRIBERG: I would just point out that we
14 do believe that sotorasib offers something superior
15 to docetaxel, statistically superior
16 progression-free survival, which has a benefit in
17 and of itself, improved response rates and, of
18 course, patients seem to dislike the therapy less.
19 They have a different side-effect profile, and that
20 alone we believe the data supports.

21 DR. MADAN: Okay. Thank you very much.

22 With that, I think we will break for lunch.

1 We will reconvene at 1:15 p.m. Eastern Time. Panel
2 members, please remember there will be no chatting
3 or discussion on the meeting topics with other
4 panel members during the lunch break, wherever that
5 may be. Additionally, you should plan to reconvene
6 for the panel at 1:05 to ensure everyone's
7 reconnected and we can reconvene again at 1:15.
8 Thank you.

9 (Whereupon, at 12:42 p.m., a lunch recess was
10 taken, and meeting resumed at 1:15 p.m.)
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

Open Public Hearing

DR. MADAN: We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the applicant. For example, this financial information may include the applicant's payment for your travel, lodging, or other expenses in connection with your participation in this meeting.

Likewise, FDA encourages you, at the

1 beginning of your statement, to advise the
2 committee if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your statement, it will not preclude you from
6 speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them. That said, in many instances
12 and for many topics, there will be a variety of
13 opinions. Our goal for today is for the open
14 public hearing to be conducted in a fair and open
15 way, where every participant is listened to
16 carefully and treated with dignity, courtesy, and
17 respect. Therefore, please only speak when
18 recognized by the chairperson. Thank you for your
19 cooperation.

20 Speaker number 1, please unmute and turn on
21 your webcam. Will speaker number 1 begin and
22 introduce yourself? Please state your name and any

1 organization you are representing for the record.

2 You have five minutes. Thank you.

3 MR. MOSBY: Thank you, Mr. Chairman, and
4 members of the committee. On behalf of the Health,
5 Education, Advocacy, and Learning, or HEAL
6 collaborative nonprofit, I am Howard Mosby. I'm a
7 board member and treasurer and will be providing
8 the following oral comments regarding this FDA
9 application for Lumakras, submitted by Amgen.

10 Amgen has been a sponsor for some of our programs
11 that we've had, our educational programs that we've
12 had in the community, but for this particular
13 engagement, I am not being compensated by Amgen for
14 my comments here today.

15 Now, as you are aware, personalized medicine
16 has become increasingly important in cancer
17 treatment. Targeted therapies which aim to attack
18 specific molecular abnormalities driving cancer
19 growth have shown promise in improving outcomes.
20 African American patients, like all cancer
21 patients, can benefit from these therapies when
22 their tumor's genetic profile matches the available

1 treatments.

2 For instance, lung cancer is a significant
3 health problem among African Americans because of
4 the higher rates and incidence in mortality
5 compared to other racial and ethnic ethnic groups
6 in the United States. In Georgia, where our
7 organization is based, the incidence and prevalence
8 of lung cancer among African Americans are higher
9 than the national average, with smoking as the
10 leading cause of lung cancer, accounting for
11 85 percent of all cases. African Americans have a
12 higher rate of smoking compared to other ethnic
13 groups in the United States, thus the
14 disproportionate incidence of lung cancer in the
15 African American community.

16 In addition, African Americans may be more
17 susceptible to lung cancer due to genetic factors
18 that increase our risk. African Americans may be
19 less likely to receive family and appropriate
20 health care, including lung cancer screening,
21 diagnosis, and treatment, which can result in
22 higher rates of advanced stage lung cancer and poor

1 outcomes. Treatment for lung cancer often involves
2 a combination of therapies, which may include
3 surgery, radiation therapy, targeted therapies,
4 immunotherapy, and chemotherapy. The choice of
5 treatment is typically made by a team of healthcare
6 professionals based on individual characteristics.

7 Innovation is a game-changer in these
8 underserved communities. Our organization has seen
9 individuals that have been misdiagnosed, young
10 persons under 40, and individuals that meet
11 screening criteria that don't get screened until
12 their symptoms reach the worse stages of the
13 disease. And the one thing that jumps out like a
14 sore thumb in this process is that when those
15 individuals receive the state-of-the-art treatment
16 modalities and innovative therapies, we do see
17 survival and success rates to improve their quality
18 of life.

19 We can state emphatically that survivorship
20 care plans that include new innovative and
21 treatment advances like Lumakras brings positive
22 outcomes and real hope to this population to

1 survive these deadly diseases that
2 disproportionately affect this community, and we
3 ask that you grant this approval for this new drug
4 application by Amgen. Thank you very much, Mr.
5 Chairman, for allowing me to have these comments.

6 DR. MADAN: Thank you.

7 Speaker number 2, please unmute and turn on
8 your webcam. Will speaker number 2 begin and
9 introduce yourself? Please state your name and any
10 organization you're representing for the record.
11 You have five minutes.

12 MS. CONNERAN: Thank you. My name is Terry
13 Conneran, and I'm with KRAS Kickers. I'm a lung
14 cancer patient that has a KRAS biomarker, and as
15 far as a relationship with Amgen, I have done some
16 consulting work for them as an individual, and KRAS
17 Kickers has received sponsorship from them for a
18 number of different programs, along with a lot of
19 other sponsorships.

20 First of all, I would like to very much
21 thank the FDA for allowing us as patients, as the
22 public, to lend a voice to this transparent process

1 because we truly are the people that stand to gain,
2 or lose, potentially, the most in this. We're out
3 here striving to survive. This is an important
4 part of the process, and I appreciate you very much
5 allowing me to be here today.

6 As I mentioned, I'm a lung cancer patient.
7 When I realized the cancer's bigger than me and we
8 have this commonality, I started an organization
9 called KRAS Kickers literally to bring together
10 patients so we can become empowered about our
11 treatment options and our treatment decisions.
12 That means, literally, the shared decision making
13 is an opportunity for us as far as becoming
14 involved and engaged with a clinical trial.

15 We so much believe in this that we took KRAS
16 and turned it into an acronym to represent the
17 empowerment that we feel that we need when it comes
18 to living with this disease. We use it as
19 knowledge, plus research, plus efficacy, equals
20 survivorship. Notice I didn't say "cure." We're
21 all out here trying to survive, so that's why I'm
22 here today, is to be able to lend voice to myself

1 and on behalf of the different patients that are
2 within our group. I myself would not qualify for
3 this particular treatment, so this does not affect
4 me individually; however, over the course of the
5 past 4 years, where we've engaged on a global basis
6 as this group is we've had a number of different
7 people that have been on a number of different
8 modalities of treatments, including these different
9 types of clinical trials.

10 My understanding of the view of the clinical
11 trial is that there is some concern as far as the
12 biases crossover. As a patient, this is very
13 important to us to be able to have that sense of
14 empowerment that we can cross over or cross out of
15 a clinical trial. I can tell you on behalf of
16 myself, or anybody else, if you were diagnosed with
17 something, and you're put into a randomized
18 situation where you find out that you're just going
19 to get standard of care, not the latest and
20 greatest, wouldn't you wonder? Wouldn't you think?
21 Wouldn't you consider and just, in fact, back out,
22 and potentially push off making that decision to

1 begin with that chemo treatment right off the
2 front? That would be presumably -- because taking
3 a pill -- or it doesn't matter how many pills over
4 the course of once or twice a day, even 4 times a
5 day -- is a whole lot easier than showing up and
6 being in a chemo chair every 3 weeks and completely
7 losing your life for half of that time.

8 So I encourage you very much to very closely
9 and very critically review the precedent that you
10 may consider setting, and reviewing this
11 opportunity here, this drug. As you're reviewing
12 it, please give a close eye to the opportunities
13 that may potentially become shut down in the future
14 because it is all about us patients being able to
15 have different opportunities to get involved in
16 clinical trials. And if we lose that flexibility
17 of being able to cross out of it, we're going to be
18 less inclined to do it, and that's going to become
19 limiting for the future treatments for all of us.
20 And that's really all it is we're looking to do, is
21 join together to kick cancers, KRAS. Thank you for
22 having me here today.

1 DR. MADAN: Thank you for those comments.

2 Speaker number 3, please unmute and turn on
3 your webcam. Will speaker number 3 begin and
4 introduce yourself?

5 MS. DONALDSON: Yes. Hello. Can you hear
6 me?

7 DR. MADAN: Yes. Please state your name and
8 any organization you are representing for the
9 record. You will have [indiscernible] minutes.
10 Thank you.

11 MS. DONALDSON: My name is Dusty Donaldson.
12 I'm a lung cancer survivor, patient advocate, and
13 the founder of LiveLung, a 501(c)(3) organization
14 with a mission of advancing lung cancer awareness,
15 early detection, and compassion for people impacted
16 by lung cancer. We host a network of educational
17 patient groups to empower lung cancer patients.
18 I'm not a scientist. I'm here today as a patient
19 advocate, speaking on behalf of lung cancer
20 patients. Thank you for the opportunity to speak
21 with you today.

22 Most people are surprised to discover that

1 lung cancer is the number one cancer killer, as was
2 I when I was first diagnosed. Lung cancer claims
3 about as many lives as breast, prostate, and
4 colorectal cancers combined. More than 350 people
5 will die each day from lung cancer. After decades
6 of stagnant survival, the outlook is now more
7 promising for lung cancer patients, due in large
8 part to targeted therapies for patients with
9 certain biomarkers such as RET, EGFR, ROS1, ALK,
10 and others.

11 In 2022, overall cancer deaths were reduced
12 significantly, and according to the American Cancer
13 Society, that change was driven in large part by
14 lung cancer targeted therapies. Specifically, the
15 American Cancer Society attributed the overall
16 survival progress to early detection and treatment
17 advances in lung cancer. Those of us in the
18 trenches with lung cancer patients know that while
19 lung cancer screening protocols exist, 94 percent
20 of eligible candidates are not being screened for
21 lung cancer. When looking at the lung cancer
22 therapeutics landscape, I am persuaded that it is

1 the targeted therapies behind the improved lung
2 cancer survival rates.

3 When a newly diagnosed patient first joins
4 our group, they're understandably confused and
5 scared. The seasoned patients rally around them
6 and they share their own stories about how
7 biomarker testing and targeted therapies were a
8 real game-changer for their treatment journey. In
9 that moment, there is an incredibly powerful
10 infusion of hope for that patient. After a patient
11 discovers their biomarker, they connect with other
12 patients in that biomarker community. They are
13 transformed from being confused and frightened to
14 being knowledgeable and empowered. That spark of
15 hope gives them the courage to take the next step,
16 and then the next, in their cancer journey.

17 Now, that patient may or may not have an
18 actionable biomarker. We understand and accept
19 that sometimes traditional chemotherapy is the only
20 option but, to me, as a patient advocate,
21 chemotherapy is like carpet bombing, whereas
22 targeted therapy is more strategic with less

1 collateral damage. Targeted therapies are the
2 future, and we know that more biomarkers are being
3 discovered and targeted therapies are being
4 developed that will improve patients quality of
5 life and hopefully lengthen their days here on
6 earth.

7 Importantly, fear of adverse side effects
8 from cancer treatment, particularly chemotherapy,
9 is one of, if not the, primary reason patients
10 refuse cancer treatment. If given a choice, of
11 course, patients prefer to take pills at home
12 rather than going to the cancer center to receive
13 chemotherapy. The KRAS biomarker is the most
14 common cancer biomarker. It is found not only in
15 lung cancer but in colorectal, pancreatic, and
16 several other cancers. Again, as a lung cancer
17 patient advocate, I encourage and support
18 advancement of targeted therapies, specifically
19 Lumakras, based on the CodeBreak 200 study,
20 demonstrating that this anti-cancer treatment is
21 less toxic to patients. Thank you so much for
22 allowing me to share my perspective with you.

1 DR. MADAN: Thank you very much for those
2 comments.

3 Speaker number 4, please unmute and turn on
4 your webcam. Will speaker number 4 begin and
5 introduce yourself? Please state your name and any
6 organization you're representing for the record.
7 You will have five minutes. Thank you.

8 MS. WEIR: Thank you very much, Chairman.
9 My name is Debbie Weir, CEO of the Cancer Support
10 Community, an international nonprofit organization
11 that provides support, education, and hope to those
12 affected by cancer. Thank you so much today for
13 the opportunity to speak about this important
14 issue.

15 On behalf of cancer patients, survivors, and
16 the caregivers we serve, the Cancer Support
17 Community would like to thank you for the
18 opportunity to provide comments regarding the
19 recommendation to update the accelerated approval
20 of Lumakras to full approval. As the largest
21 provider of social and emotional support services
22 for people impacted by cancer, CSC has a unique

1 understanding of the cancer patient experience. In
2 addition to our direct services, our research and
3 policy institutes are our industry leaders in
4 advancing evidence-based and promoting
5 patient-centered public policies.

6 We serve all types of cancer patients and
7 their loved ones, including those with lung cancer,
8 the leading cause of cancer-related deaths in the
9 U.S. and worldwide. Given the high prevalence of
10 lung cancer and the scarcity of treatments for
11 locally advanced and metastatic non-small cell lung
12 cancer, harboring the KRAS G12C mutation and the
13 poor 5-year overall survivor rate for metastatic
14 lung cancer and access to drugs that treat this
15 subtype of lung cancer is important to patients and
16 their loved ones.

17 Having innovative, safe and effective
18 treatment options available would offer additional
19 avenues of consideration, with the ultimate
20 treatment decision always being made between the
21 patient, caregivers, and their healthcare team.
22 While Cancer Support Community does not endorse any

1 specific product, we do encourage, when
2 appropriate, expanding opportunities that give
3 credence to patients' options and priorities,
4 specifically, the value patients place on both the
5 physical and the psychosocial aspects of their
6 lives.

7 We appreciate all that FDA has been doing to
8 strengthen this patient-focused drug development
9 program. It is critical that the development of
10 safe and effective therapy options for specific
11 cancer subtypes, which previously had no treatment
12 options, be recognized and elevated as an integral
13 part of the the PFDD program. We ask that the FDA
14 clearly include differences in patient-reported
15 outcomes and side-effect profiles as clinically
16 meaningful and relevant to your approval process.

17 Even when two drugs have the same efficacy,
18 having the option to choose a different side-effect
19 profile can be extremely meaningful to patients,
20 and also having the choice between oral therapy and
21 IV therapy can be a quality-of-life game-changer.
22 When you think about the impact that regular

1 infusion appointments have on people living with
2 cancer and their loved ones versus how much easier
3 it is to take an oral medication, it can mean the
4 difference between being able to and not being able
5 to do your activities of daily living. Access to
6 oral therapies can be a health equity issue for the
7 sizable minority of patients. Seventeen percent of
8 cancer patients in our cancer experience registry
9 are very concerned about transportation to
10 treatments and appointments.

11 We know the patient experience is much
12 broader than patient assessment of disease
13 symptoms, treatment, side effects, and physical
14 functioning. Patient experience also includes
15 psychosocial impacts. We encourage all sponsors to
16 heighten the importance of collecting patient
17 experience data, both preapproval and during
18 postmarket surveillance, by consistently
19 identifying, collecting, measuring, and considering
20 the full breadth of patient experience data to
21 better understand what is really meaningful to
22 patients and their caregivers. We also encourage

1 sponsors of drugs that are requesting that their
2 accelerated approvals be updated to traditional
3 approvals continue to monitor patients and
4 postmarketing studies to include the build the
5 body, and continue the build the body data on the
6 patient experience.

7 We would argue that improved patient
8 experience, when observed in a drug that is making
9 accelerated approval criteria, should be considered
10 as a critical part of the subsequent FDA
11 decision-making process. The goal should be to
12 provide meaningful feedback from patients in real
13 time about the issues that may not be identified
14 during the current measures.

15 We have learned so much from those we serve
16 and support. People living with cancer often feel
17 stigmatized, alone, and overwhelmed with grief and
18 stress. Our oncology psychosocial researchers and
19 others have shown enhancing patients' sense of
20 control can positively impact their psychological
21 well-being. When people living with cancer have
22 more control over the best treatment options for

1 them, they feel stronger and more hopeful.

2 Today, we ask that you carefully consider
3 the challenges of those facing KRAS G12C positive
4 NSCLC and the need for a wider array of treatment
5 options for patients. We urge you to support
6 improving access to a broad range of treatment
7 options that would encourage patients to be
8 informed, empowered, and optimistic about their
9 treatment. Thank you so much for your time today,
10 Mr. Chairman. Thank you.

11 DR. MADAN: Thank you for those comments.

12 Speaker number 5, please unmute and turn on
13 your webcam. Will speaker number 5 begin and
14 introduce yourself? Please state your name and any
15 organization you are representing for the record.
16 You will have eight minutes. Thank you.

17 MR. BARANSKI: Hi. My name is Jim Baranski.
18 I'm the executive director of Lung Cancer
19 Foundation of America. We do receive support from
20 industry, and Amgen is one of our supporters. I am
21 not being compensated by Amgen today.

22 At Lung Cancer Foundation of America, our

1 mission is principally focused on funding research,
2 specifically young investigator grants, but it's
3 hard to fund research if people don't know that
4 lung cancer is the leading cause of cancer death,
5 so public awareness and patient education are the
6 other elements of our mission. One of the programs
7 that we have is actually built on the shoulders of
8 patients, and these are patients who have the
9 courage to stand up and advocate for lung cancer,
10 both lung cancer awareness, lung cancer research,
11 and just generally living with lung cancer.

12 In working in that program, I've heard the
13 many, many stories of living with lung cancer and
14 what that means to patients. Words that were once
15 commonplace prior to a lung cancer
16 diagnosis -- words like "scans," words like
17 "progression," words like "toxicity," -- take on a
18 totally different meaning post-diagnosis. I'll
19 never forget the first time that I heard a patient
20 living in Chicago, within miles of a couple of
21 comprehensive cancer centers, share her experience
22 of how the simple matter of IV treatment was, even

1 though miles away, three bus stops and a couple of
2 hours, and this is in Chicago where it does get
3 cold. So the point is, we've heard about toxicity
4 and we've heard about the difference between take a
5 pill and IV infusion; well, there are layers and
6 layers to that difference, and that difference is a
7 meaningful difference for those living with lung
8 cancer.

9 The other thing that we hear from patients
10 is, time and time again, how patients are failing
11 to respond to treatment. Patients are failing
12 trials. Patients don't fail responding to
13 treatment, treatments fail in responding to
14 patients. So when we have the opportunity to have
15 a treatment that works for patients at a lesser
16 toxicity, patients welcome that opportunity with
17 open arms. And just a side note, the equipoise
18 discussion, that probably really points to how
19 clinical trial protocols going forward will have to
20 recognize the impact of patients actively involved
21 in sharing and spreading their knowledge on social
22 media, and actually on all platforms of media these

1 days. So it's no wonder patients want to crossover
2 once they hear the news of another option of less
3 toxicity.

4 Thank you, Chairman, for your time today,
5 and thank the committee for their time, and thank
6 you to the FDA for making certain that patients
7 that are being treated are being treated favorably.

8 DR. MADAN: You're welcome, and thank you
9 for those comments.

10 Speaker number 6, please unmute and turn on
11 your webcam. Will speaker number 6, please begin
12 and introduce yourself? Please state your name and
13 any organization you may be representing. You will
14 have 10 minutes.

15 MS. ECCLESTON: Hi.

16 DR. MADAN: We can hear you.

17 MS. ECCLESTON: Okay. I'm sorry.

18 DR. MADAN: You're good. Go ahead.

19 MS. ECCLESTON: My name is Sherri Eccleston,
20 and I'm a 58-year-old cancer patient. I'm not
21 being paid by Amgen or anybody else. I'm not part
22 of any other --

1 DR. MADAN: Our webcam is not -- okay.

2 Great. There you go.

3 MS. ECCLESTON: Is it on now? Okay. Sorry.

4 DR. MADAN: No apology necessary. Go ahead.

5 MS. ECCLESTON: I'm not part of any
6 organization and I'm not being paid by anyone. I'm
7 here to tell my personal experience.

8 At age 30, 33, and 35, I had papillary
9 thyroid cancer. I was treated and I was
10 cancer-free from year 2000 until August of 2021,
11 when I had an accident, and I fell down my front
12 stairs, went to the hospital, and they found cancer
13 in my upper-left lung. At that time, I went and I
14 had a lobectomy. Everything was clean. I was
15 stage 1, until I went to the hospital Labor Day
16 weekend of 2022. At that point, I was having
17 different pains in a different part of my body.
18 That day I found out the cancer was back in
19 multiple locations.

20 From September to October, I had various
21 scans and tests done, and my tumor was sent out for
22 molecular sequencing. It came back the KRAS gene.

1 My doctor was quite excited when this happened, and
2 he actually called me at about 9:30 at night to
3 tell me about this. And that was a drug he had
4 heard of called Lumakras, but of course Lumakras
5 isn't fully approved, so the insurance company
6 would not approve of me taking it at first.

7 I had some radiation in November, and then I
8 started carboplatin, permextred, Keytruda, and
9 Avastin. I was very ill. I had pleural effusion,
10 dehydration, I spent time in the hospital in
11 October, half of November, half of December, almost
12 all January, and part of February. I required
13 multiple transfusions, fluids, potassium,
14 magnesium. My blood pressure was up and down. My
15 sugar was out of control, and I had to be put on
16 insulin. I was finally approved for Lumakras in
17 March of 2023.

18 My last visit before I started Lumakras, I
19 was in bed 24-7. I was only able to make it about
20 10 feet from my bed to the bathroom. I couldn't
21 make myself a simple sandwich or pour myself a cup
22 of water. I didn't leave the house, except for

1 going to the doctor or the hospital. When I did go
2 to the doctor, my daughter had to drive into my
3 yard to the bottom of the six steps in front of my
4 doorway, and I painfully, slowly made my way down
5 those six steps, to the car door that was right at
6 the bottom. And when I got to the doctor's office,
7 I was immediately put into a wheelchair. That was
8 my quality of life, nothing but bed and going to
9 the doctor.

10 I started Lumakras, and my follow-up
11 appointment 3 weeks from there, I walked down the
12 front steps to my driveway, got into the car,
13 walked into the doctor's office, and after the
14 doctor, my daughter and I actually went to the
15 diner. That was my first outing in all those
16 months.

17 I still suffer from effects of neuropathy
18 and have issues with other things that I have to
19 take care of, but while I know you were trying to
20 make sure these studies were done right, my
21 doctors, pre- Lumakras, did not think I would be
22 here today, and neither did I. Any of my friends

1 that came to visit me, have since told me, when
2 they left the house, they sat in their car crying
3 before they could even pull away from the house
4 because they were afraid it was going to be the
5 last time they saw me.

6 All of my tumors have since shrunk. I had a
7 scan in July of 2023, and it said, "near complete
8 resolution of disease." Every time I see any of my
9 doctors, or nurses, now they are completely shocked
10 over and over again at how well I look. This is
11 why I feel compelled to speak to you today, to
12 ask -- no, plead -- for approval of Lumakras.
13 Without Lumakras, I am sure I would not be here
14 today. Thank you so much for your time,
15 Mr. Chairman and the committee. Please approve
16 Lumakras.

17 **Clarifying Questions (continued)**

18 DR. MADAN: Thank you for sharing your
19 story.

20 The open public hearing portion of our
21 meeting has now concluded, we've had all six
22 speakers, and we'll no longer take comments from

1 the audience. We have about a half hour of time
2 here, and what we'll do is reopen the floor for any
3 clarifying questions or discussion further from the
4 committee, if appropriate and if we have time.

5 As we have additional time, we will now take
6 these remaining clarifying questions, if there are
7 any. Again, please use the raise-hand icon to
8 indicate if you have a question, and remember to
9 put your hand down after speaking. Please remember
10 to state your name for the record before you speak
11 and direct your questions specifically to a
12 presenter, if you can. If you wish to have a
13 specific slide displayed, please let us know the
14 slide number, if possible. And as a gentle
15 reminder, it would be helpful to acknowledge the
16 end of your questions with a thank you, and at the
17 end of your follow-up questions, if you have any,
18 "This is all for my questions."

19 We can move on to this portion if we
20 have -- let me just see what happened here. I
21 think my Zoom screen went blank. Hold on a sec.
22 It's always something exciting.

1 (Pause.)

2 DR. MADAN: I am not seeing my screen here,
3 so I apologize for the technical issues. I will
4 clarify if anyone has any questions.

5 DR. SINGH: Dr. Madan, this is Harpreet
6 Singh from the FDA. I do not see any hands raised.
7 Oh, I do. I apologize. I'm starting to see hands
8 raised.

9 Do you see them now or would you like my
10 assistance?

11 DR. MADAN: Yes --

12 DR. SINGH: I can tell you -- do you see
13 them?

14 DR. MADAN: Thank you.

15 DR. SINGH: Do you see them or would you
16 like for me --

17 DR. MADAN: You can tell me who the first
18 person is.

19 DR. SINGH: First, it appears to be
20 Dr. Gulley, followed by Dr. Spratt, followed by
21 Dr. Vasan, followed by Dr. Shaw, followed by
22 Dr. Nieva, and I can put that to you in the chat on

1 the backend. It appears Dr. Gulley has his hand
2 raised.

3 DR. MADAN: We'll go ahead, and I'll sort
4 out my technical issues.

5 Dr. Gulley, please proceed with your
6 question.

7 DR. GULLEY: Thank you. James Gulley, NCI.
8 I just wanted to come back to the question that I
9 asked earlier to see if the applicant had a chance
10 to get the data, specifically on the early
11 crossover, if we can have clarification on the
12 number of patients that crossed over that did not
13 progress -- I believe it was 19 -- on the initial
14 BICR evaluation, and what the RECIST responses were
15 for the final BICR evaluation for those, and if any
16 of those have a progressive disease on that final
17 evaluation.

18 DR. FRIBERG: Yes. Thank you for the
19 reminder. We're going to need about 15 more
20 minutes, but we should absolutely be able to have
21 that for you shortly.

22 DR. GULLEY: No problem. Thank you.

1 DR. MADAN: Alright. Thank you very much,
2 for the sponsor. We will make a note to come back
3 to that at the end and allot time.

4 I am back. I wasn't gone, but my screen
5 was, I guess, so I apologize for that.

6 Our next speaker with a question is
7 Dr. Spratt.

8 DR. SPRATT: Thank you, two very simple
9 questions. The first is for the sponsor. Was any
10 quality-of-life data beyond progression collected,
11 even if only a subset?

12 DR. FRIBERG: I'm going to ask
13 Dr. Stollenwerk to comment on your question.

14 DR. STOLLENWERK: Hello. My name is Bjorn
15 Stollenwerk. I'm a director of health ec, and I
16 work with Amgen. Most of the quality-of-life data
17 was not measured beyond progression. There was
18 only one single exception, and that was the EQ-5D
19 data, which was also in long-term follow-up.

20 DR. SPRATT: Thank you very much. Did you
21 present -- and I apologize if I missed it -- the
22 data after progression, even with EQ-5D?

1 DR. STOLLENWERK: It was not a trial
2 endpoint, so those data were measured for different
3 purposes. We don't have a long-term presentation
4 ready, I think, to present here.

5 DR. MADAN: That's the reply there.

6 DR. SPRATT: Thank you.

7 DR. MADAN: Dr. Spratt, thank you for your
8 questions.

9 Our next question is Dr. Vasani.

10 DR. VASANI: Hi. Neil Vasani, Columbia
11 University. I just wanted a little more
12 granularity on a point that Dr. Madan brought up
13 earlier, and this is a question for the FDA.

14 In the briefing document, it says on page 16
15 that FDA found the proposed study design generally
16 acceptable but expressed concerns that the targeted
17 3.2-month difference in median PFS would not be
18 considered clinically meaningful. So the applicant
19 had said, in response to Dr. Madan's question, that
20 that 3.2-month benchmark was before the CodeBreak
21 100 results, and that that number was sort of going
22 to be more tempered.

1 But I guess the initial FDA assessment of
2 that 3.2-month benchmark, does that statement need
3 any qualification or was that number sort of deemed
4 as an absolute, like this is what is clinically
5 meaningful, regardless of the results of CodeBreak
6 100?

7 DR. SINGH: Okay. Thank you. Harpreet
8 Singh, FDA, and I'll respond to this on behalf of
9 the FDA. We do not have a definition of what we
10 consider a clinically meaningful PFS. What was
11 left out of that discussion, really from both
12 sides, is that in a refractory setting, we
13 typically do ask for an overall survival endpoint,
14 particularly in patients with poor prognosis,
15 patients with unknown prognosis, as in the case for
16 patients with KRAS G12C mutations.

17 This was a head-to-head design, so we did
18 feel that the target in median improvement of PFS
19 may have been clinically meaningful if they, in
20 fact, reached that benchmark, but we always assess
21 the totality, and particularly the overall
22 survival. So we always qualify our statements if

1 an applicant or sponsor chooses to move forward
2 with a PFS primary. So we did feel that that
3 3.2 months could be considered clinically
4 meaningful as a median, and, of course, as we
5 discuss in our presentation, we look at other
6 measures of a PFS effect such as hazard ratios,
7 such as medians. But what's left out of that
8 conversation is, basically, we a priori ask for
9 survival as the primary, as we did with this
10 sponsor, as we do with refractory trials.

11 DR. VASAN: Thank you.

12 DR. MADAN: Thank you.

13 I'll give a chance for the sponsor to reply
14 if they have anything to say.

15 DR. FRIBERG: I would only add that the
16 initial study design was powered to look at overall
17 survival. After the CodeBreak 100 data became
18 available, there was strong feedback from not just
19 the investigators on the study, but regulators
20 around the world that crossover was something that
21 should be implemented for patients. And the
22 implications, of course, of that were that we would

1 also reduce the study and have PFS be the primary
2 endpoint.

3 DR. MADAN: Okay. Thank you.

4 Dr. Shaw, do you have a question?

5 DR. SHAW: Yes. I think this is really a
6 question for the FDA and just making sure I
7 understand the wording of the question and how I
8 should answer it.

9 DR. MADAN: Just as a point of order, we
10 will have an opportunity to clarify the question
11 before the voting.

12 DR. SHAW: Oh, okay. So maybe I should hold
13 it then.

14 DR. MADAN: We can come back
15 [indiscernible], unless you have a question
16 specifically more for discussion purposes.

17 DR. SHAW: I see. Thank you for clarifying.
18 So just for the record, this is Pamela Shaw, and
19 I'll hold my question for the proper time. Thank
20 you.

21 DR. MADAN: Thank you very much.

22 Dr. Nieva, are you there?

1 DR. NIEVA: Yes. Thank you very much. I
2 just want to give another opportunity for the
3 applicant to clarify things about the second
4 analysis of the blinded independent central review.
5 There were statements made from the FDA that there
6 was a lack of clarity regarding exactly what
7 happened around this time, what actually triggered
8 the second analysis.

9 It does appear that there was an interim
10 analysis performed that Amgen was privy to before
11 deciding on engaging in a re-analysis. So I just
12 want to confirm that that interim analysis was
13 specified in the protocol that there would be an
14 interim analysis, and I just want to clarify that
15 that was actually in the imaging charter for the
16 protocol. If there are things that the applicant
17 would like to say now to make things seem more
18 transparent as to exactly what happened around that
19 time, it'd be appreciated. Thank you.

20 DR. FRIBERG: Yes. Thanks for the
21 opportunity to clarify. Just to be clear, there
22 was only one interim analysis. It was prespecified

1 in the protocol, and that was, of course, blinded
2 to Amgen. It went to the DMC. It was
3 independently run by the imaging vendor working
4 with the DMC, and the DMC recommended to continue
5 the study as planned. Around the same time, there
6 was this observation in the aggregate data that
7 there was some discordance, and timelines, and
8 connectedness between raw event rates. This was
9 flagged to the imaging vendor, who went through an
10 independent review process. They called it their
11 reader performance monitoring. That independent
12 process ultimately led to data corrections.

13 So those data corrections -- there were
14 11 data points that were part of the interim
15 analysis -- were then corrected, and the interim
16 analysis was re-run with the corrected data. That
17 went to the DMC, and the DMC did not recommend any
18 changes to the plan. That being said, the FDA and
19 Amgen discussed this, and given the potential for
20 the introduction of bias by this initial
21 communication, based on aggregate data to the
22 imaging vendor, it was decided that the right thing

1 to do would be to do a 100 percent re-read of all
2 the analyses. So all of this discussion is about
3 this interim analysis, where ultimately we followed
4 the data monitoring committee. The final analysis
5 is based on a 100 percent re-read of all the scans.

6 DR. MADAN: Okay. Thank you for that reply.

7 Dr. Nieva, any follow-up questions?

8 DR. NIEVA: No. Thank you. I think I
9 understand.

10 DR. MADAN: Alright. I'd like to ask a
11 question now, if that's ok. Ravi Madan, National
12 Cancer Institute. There have been some kind of
13 allusions to this, but I don't think we've
14 explicitly talked about the size of this study.
15 There was a benchmark analysis at one year that
16 showed a strong trend favoring the experimental
17 intervention, but there was only 37 patients to
18 evaluate at that one-year mark, and that was kind
19 of consistent if you went beyond, I believe,
20 7 months. I guess the statisticians on both sides
21 from the FDA and the sponsor, if they could talk
22 about how size factors into this process of

1 analysis here and how it makes this discussion more
2 complicated with some of these potential biases and
3 dropouts. Thank you. For ease, we'll start with
4 the FDA, and then the sponsor can go last.

5 DR. SINGH: Okay. I would like to ask one
6 of our senior biostatistical colleagues to join us
7 on video to respond regarding sample size. I see
8 Dr. Amatya is here. Thank you.

9 DR. AMATYA: It's Anup Amatya, FDA. The
10 CodeBreak 200, the result that we're discussing, it
11 had adequate power to analyze PFS as designed,
12 which was when you revised, it was targeted, the
13 magnitude went down to 3.2 months; however, with
14 the revision, the sample size was reduced, and the
15 power for OS analysis was about 50 percent or
16 58 percent. So from that perspective, sample size
17 was not adequate for OS analysis; however, the
18 sample size was adequate for PFS.

19 So interpretation regarding PFS from a
20 sample size perspective is not an issue, but the
21 issue is the dropout after the trial has been
22 started. So there was a significant amount of

1 dropout midway through the study. When I say
2 dropout, it's either because patients withdrew or
3 were censored because the progression was called by
4 investigator before the BICR. So for the primary
5 endpoint analysis, the censoring issue was
6 significant after 6 or 7 months of follow-up. That
7 created a lot of uncertainty regarding the
8 interpretation.

9 DR. SINGH: Dr. Amatya, to clarify, are you
10 saying that from a statistical perspective, the
11 further ends of the curve, after a long-term
12 follow-up, are less reliably interpreted because of
13 the very small numbers of patients remaining on
14 each arm? Is that accurate?

15 DR. AMATYA: Yes. In particular, this is
16 even more so for a docetaxel arm, where only
17 7 patients were left or comparable.

18 DR. SINGH: Okay. And the question around
19 sample size, Dr. Madan, are you asking if the
20 sample size had not been reduced, if that
21 reliability would have been less uncertain, or --

22 DR. MADAN: No. I think my untrained

1 statistician perspective is that there's a lot of
2 turbulence with the dropout and the censoring, and
3 had this been a larger trial, perhaps the data
4 would be more convincing.

5 DR. SINGH: Yes. I'm glad you raised this
6 question, and this is something that the FDA worked
7 through, obviously, before we chose to bring this
8 to a committee and throughout our review process.
9 I think that the truth of the matter is we will
10 never actually know; however, there's no reason to
11 believe that more patients in a larger sample size
12 would have impacted the trends that we're seeing
13 very early on, the high rates of early dropout,
14 which, again, were mitigated ultimately, and the
15 majority by the institution of crossover, which the
16 FDA worked with the sponsor to institute.

17 What I want to add to shade this
18 conversation is many of these discussions we simply
19 would not be having if the effect size of the drug
20 in question, sotorasib, was greater in magnitude.
21 Even though we're in a head-to-head setting, we do
22 have a marginal comparator here, as everybody, both

1 sides have acknowledged. Thank you.

2 DR. MADAN: Thank you for the FDA's
3 response.

4 Does the sponsor have anything they'd like
5 to reply to that question?

6 DR. FRIBERG: Thank you for the opportunity.
7 I'd like to ask Dr. Koch to comment on this,
8 particularly with regard to that 12-month time
9 point where there was a 25 percent rate of
10 progression free and alive versus 10 percent for
11 docetaxel.

12 DR. KOCH: Gary Koch, biostatistics
13 department, University of North Carolina. Can you
14 bring up the Kaplan-Meier curves for the comparison
15 of PFS that were in the main presentation? What I
16 was going to try to clarify is that at the 12-month
17 milestone, there may only have been 37 patients
18 remaining at risk, but you can see that many of the
19 patients in the docetaxel group, an estimated
20 90 percent, slide 2, basically already had PFS
21 events. So a major reason for the decrease in
22 sample size is previous PFS events, particularly in

1 the docetaxel arm.

2 So the estimates at 12 months are actually
3 based on all of the data in terms of how
4 Kaplan-Meier estimates are calculated. Certainly,
5 patients with censoring do not contribute beyond
6 the time of censoring, but these estimates are
7 based on all of the data, particularly the patients
8 that had the PFS events and for the patients with
9 censoring, as long as they were followed. So the
10 37 there is mainly driven by patients that had
11 previous PFS events.

12 Then if we want to go over to slide 1 just
13 as additional clarification, even though the
14 difference in medians, as shown in the lower
15 right-hand corner, is only 1.1 month, the
16 difference in 40th percentiles is 2.8 months and
17 the difference in the 25th percentile is the
18 2.9 month and, again, patients are contributing to
19 these estimates of percentiles.

20 The median is a horizontal difference at the
21 0.5 point between the two curves, but that
22 horizontal distance varies a lot as you move down

1 to the lower quantiles. And as I just said, at the
2 40th percentile, which is near the median, the
3 difference is 2.9 months, and then, as the sponsor
4 pointed out, at the 12-month milestone, the
5 treatment difference is 14.7 percent months. And
6 you can see that the upper limit on docetaxel at
7 12 months is somewhat bigger than the lower limit
8 for the sotorasib, and this will tell you that,
9 basically, these estimates have reasonable precise
10 estimation, and if you were to do an informal
11 comparison at 12 months, it would nearly have a
12 p-value below 0.05.

13 I haven't done that calculation but, again,
14 as the sponsor indicated, at 9 months, the
15 difference is 14.4 percent, and at 15 months, it's
16 11.2 percent, so it's a relatively similar
17 difference in milestones throughout the range from
18 about 8 months to 14 months.

19 DR. PAZDUR: I have a question. Were any of
20 these analyses prespecified, the 12 month analysis,
21 landmark analysis? I doubt it. Isn't this akin to
22 shooting an arrow on the wall and then drawing a

1 target around it?

2 DR. KOCH: Well, that is why --

3 DR. PAZDUR: What's the validity of this?
4 You obviously have looked at the data here already,
5 and you're actually conferring -- you gave a
6 p-value here, actually -- statistical significance
7 to a non-prespecified analysis after taking a look
8 at the data. Right?

9 DR. KOCH: Let me try to clarify that. When
10 you have Kaplan-Meier curves and you have an
11 overall difference with a hazard ratio that
12 achieves a p-value of 0.003, it is indeed of
13 interest to identify what parts of the Kaplan-Meier
14 curve are driving that difference. And even though
15 I don't know what the nominal p-value there is, it
16 is still useful to look at confidence intervals at
17 different points in time.

18 I think that the FDA did indeed do that,
19 although I don't know that they did the difference
20 in Kaplan-Meier curves at different points in time.
21 But the key point is that although the 12-month
22 milestone is arbitrary in some sense, the more

1 important point is that the vertical distance
2 between the Kaplan-Meier curves is reasonably
3 stable between 8 months and 15 months, whereas the
4 horizontal difference at the median ends up
5 becoming much larger as you move down towards the
6 40th, or the 30th, or the 20th percentiles. Again,
7 when you have a significant hazard ratio, you are
8 able to interpret differences in Kaplan-Meier
9 curves, whether those comparisons are formal or
10 not, and in this case, they are informal. I
11 certainly agree with that.

12 DR. PAZDUR: Okay. Fair enough.

13 DR. MADAN: That's the key point to the
14 question there.

15 DR. MISHRA-KALYANI: Could I have an
16 opportunity to respond to Dr. Koch's comment
17 regarding the censoring at 12 months?

18 DR. MADAN: Yes.

19 DR. MISHRA-KALYANI: I'll make it very
20 brief. If you could please bring up slide 15 from
21 the FDA main presentation?

22 Dr. Koch's comments were that, at 12 months,

1 there were 37 total patients left, and the majority
2 of these patients were removed from the risk set
3 due to events. If we look at slide 15 from FDA's
4 main presentation, we see that of the patients that
5 were removed from the risk set from the docetaxel
6 arm, 67 were censored and seven remained.

7 DR. MADAN: Okay. That's important.

8 DR. MISHRA-KALYANI: I'm sorry that the
9 slide hasn't come up, but in the docetaxel arm,
10 especially, we see that the rate of censoring is
11 very, very high, and this reduces our confidence in
12 the curves, particularly in the later point. The
13 landmark analysis -- thank you very much for bring
14 up the slide -- certainly is arbitrary, and we do
15 recognize that it's important to look at the full
16 curve, but we also need to understand the
17 reliability of the data in the later half of the
18 curve when making inference from that data. Thank
19 you.

20 DR. MADAN: I know that I asked you to be
21 brief, but I'm going to prolong because I think
22 these are important points. You mentioned some

1 numbers. Can you just repeat that again, now that
2 we can all see the --

3 DR. MISHRA-KALYANI: Absolutely. Sure. If
4 you look at the 12-month time point, we see that
5 there are 7 patients left in the risk set, and we
6 started with 174 in the docetaxel arm. Dr. Koch
7 mentioned that the majority of patients who were
8 removed from the risk set were removed because they
9 had events; however, I think it's very important to
10 acknowledge that 67 patients on the docetaxel arm
11 were removed from the risk set because they were
12 censored, not because they had an event.

13 On the other hand, in the sotorasib arm,
14 there are 30 patients left at the risk set at
15 12 months -- that's the information we're using to
16 inform our landmark analyses -- but only
17 32 patients were censored prior to that. So we
18 have a lot more events happening in the sotorasib
19 arm, informing the landmark analysis, than we have
20 in the docetaxel arm.

21 DR. MADAN: Okay. Thank you for clarifying
22 that.

1 Again, I want to give equal time to the
2 sponsor if they have anything they want to say in
3 response to this slide or that comment.

4 DR. FRIBERG: Thank you so much. If we
5 could bring up slide 2? I just want to comment
6 again, we're mixing different analyses, and I
7 wanted to point out that with regard to the BICR
8 PFS analysis, we accounted in our analyses for
9 these 49 and 73 patients. We've looked at this a
10 variety of different ways, and every technique that
11 we've used has shown roughly the same relative risk
12 reduction of around 30 percent.

13 So again, I think we can speak about
14 hypotheticals, we can look at post-randomization
15 factors, and we can pick different milestones, but
16 the primary endpoint of the study, when you look at
17 it by Kaplan-Meier and you look at hazard ratios,
18 appears to be robust.

19 DR. MADAN: Okay. Good. I'm glad you guys
20 both had a chance to address that issue.

21 Before we get back to Dr. Gulley's question,
22 I just want to make sure that everyone has a chance

1 on the panel, and Mr. Pantelas has been waiting
2 patiently, but he will have the last question, and
3 then we will go back to Dr. Gulley's question,
4 which I believe the sponsor is working on the
5 response and we appreciate them for doing that.

6 Mr. Pantelas, if you can ask your question.

7 MR. PANTELAS: Thank you. Jim Pantelas,
8 patient advocate. In a way, I'm worried that we're
9 throwing the baby out with the bath water in this
10 whole conversation because I wonder if there is a
11 reasonable structure in which to actually compare
12 PFS and OS, and if it also requires us to be
13 unreasonable in our patient expectations. I think
14 in this case we're being unreasonable.

15 There was a comment after my last question,
16 where someone said, essentially, that patients need
17 to be educated because we can't do this research
18 without their compliance, but patients are
19 complying to a level that's reasonable. It's not
20 reasonable to ask patients to sit in an arm that
21 has a lot more side effects or that requires a lot
22 more of them in an unreasonable fashion. And what

1 I'm hearing is comparing the results of the trial
2 drug results to historical data on the doxy isn't
3 going to work, and I don't know why. We've worked
4 with that drug for a long time, and I don't think
5 that you're going to educate patients, who are
6 trying to save their lives, to go on a on a trial
7 with something that's not more viable than the
8 trial drug.

9 DR. MADAN: I'm just trying to distill that
10 into a question, and I think it was a good
11 commentary as well. But I think at the end, your
12 question is, how can we expect patients to go on
13 randomized trials and can we just use historical
14 controls?

15 MR. PANTELAS: And how can you compare these
16 two things?

17 DR. MADAN: Yes, that's the tricky part.

18 MR. PANTELAS: How should this have been
19 designed?

20 DR. MADAN: Yes. No, that's kind of the
21 tricky part of all of this and clinical research in
22 general.

1 I will ask the FDA --

2 DR. SINGH: Thank you.

3 DR. MADAN: -- and give the sponsor a chance
4 to reply to the the question that I'll pose, which
5 is the reliability of historical controls and how
6 they can be used to interpret this data.

7 DR. SINGH: Okay. Well, thank you for the
8 question, Mr. Pantelas, and I have really
9 appreciated your comments throughout today's
10 advisory committee, and we share your sentiments
11 regarding both patients and investigators acting in
12 what they believe is their own best interest and
13 trying to access what they believe are life-saving
14 therapies.

15 I think the question that you're asking
16 really gets around why we must conduct
17 randomized-controlled trials, and you're asking
18 basically why we cannot just rely on the single-arm
19 data, the response rate data, or perhaps you're not
20 asking that. So let me just share with you
21 my -- I'll get through my part, and then please
22 feel free to respond.

1 But I will say that, first of all, there are
2 no good historical benchmarks for patients treated
3 with docetaxel who have been previously treated
4 with immunotherapy and chemotherapy. They are
5 emerging, but because immunotherapy and
6 chemotherapy became based on landmark trial
7 results, demonstrating overall survival benefits, I
8 will add, in a frontline setting, overall
9 survival -- and you did mention survival -- those
10 therapies are only about 5 years old in terms of
11 first line. So the data that we actually have to
12 compare historical response rates of docetaxel
13 after immunotherapy plus platinum-based
14 chemotherapy are limited.

15 The reason that randomized trials must be
16 conducted, we believe, is because from a
17 statistical standpoint, you can only interpret
18 time-to-event endpoints best in the setting of a
19 randomized-controlled trial, whether that
20 time-to-event endpoint is progression-free survival
21 or overall survival. What we hope to do is shift
22 this conversation not so much to whether we should

1 be approving sotorasib -- because, remember, we are
2 not actually asking the committee this because
3 there are many regulatory pathways available to
4 us -- what we are asking is actually to look at the
5 trial itself of CodeBreaK 200.

6 We do believe this is a very challenging
7 space to conduct clinical trials. We have an
8 embarrassment of riches at times, and there are
9 things that could have been done to mitigate some
10 of what we saw here. One key thing that could have
11 been done is real-time assessment of progression
12 before crossover. Also, if there was belief that
13 crossover should have been instituted from study
14 start, as you saw in a competing trial that is
15 ongoing now, that would be another mitigation
16 strategy to mitigate patient dropout. That trial
17 has a 2-to-1 randomization.

18 When we engaged with the applicant after we
19 had the final top-line results from CodeBreaK 200,
20 we discussed a variety of methods to maintain
21 equipoise in their ongoing trial, but both the FDA
22 and the applicant, in fact, were blinded, as they

1 mentioned, to many of the patterns of behavior that
2 were already ongoing, that had already escaped,
3 basically, the confines of this clinical trial and
4 their own strategies to mitigate bias.

5 We are not looking here to place any blame
6 on patients or investigators. We are saying that
7 in today's information age, yes, things could have
8 been done better, but ultimately because the effect
9 size is quite marginal here, you would have
10 expected more of an effect size. And I don't think
11 it's a universally accepted concept that everybody
12 wants oral therapy versus IV. The toxicities are
13 different, but not each individual patient -- it's
14 not a monolithic experience, and we appreciate that
15 as well.

16 So we cannot, a priori, decide for patients
17 what is a better option. That is why these
18 clinical trials are conducted. And as Dr. Pazdur
19 so rightly pointed out earlier, we do believe that
20 patients are seeking therapies that prove a
21 superiority over the existing historical
22 single-agent chemotherapies. Thank you.

1 DR. MADAN: Thank you, Dr. Singh.

2 I do want to make this balanced, and if the
3 sponsor has anything they want to say in response
4 to the comments made, please go ahead, but we do
5 want to also get to the answer to Dr. Gulley's
6 previous question, which I also know the sponsor's
7 been working on.

8 DR. FRIBERG: Yes. Thank you very much, and
9 I'll make my response brief. Just as a quick
10 clarification, the other KRAS G12C study that's
11 being referred to, I believe that the addition of
12 crossover in that study was added through an
13 amendment. And again, we're all victims of time
14 and place when we run our studies, so there is the
15 potential that could have benefited from some of
16 the experience that we've gone through in our
17 program.

18 I would just say that with regard to
19 real-world evidence, we have a a variety idea of
20 real-world evidence sources. Those are quite
21 helpful in putting data into context, but as
22 Dr. Singh nicely pointed out, they're not viewed as

1 substitutions around the world, currently, for
2 randomized-controlled studies.

3 If it's alright with you, I'll pass the
4 podium to Dr. Mehta to answer the question
5 directly from before. Is it ok to do that right
6 now?

7 DR. MADAN: Yes, that would be great, and
8 thank you very much for doing that.

9 DR. FRIBERG: Thank you.

10 DR. MEHTA: Thank you. I would like to
11 address Dr. Gulley's question around the target
12 lesion percent changes in the docetaxel arm
13 patients who crossed over early. If the slide
14 cores can please bring up slide 3?

15 We very rapidly took a look at this, just
16 QC'd [indiscernible], and let me walk you through
17 the slide. These are the 19 patients that were
18 referred to in the FDA briefing document, where
19 crossover occurred prior to a BICR PD call. These
20 lesion sizes are changes from the nadir to the last
21 BICR scan prior to crossover, and here are the
22 percent changes in lesion size.

1 Dr. Gulley -

2 DR. MADAN: Dr. Gulley, you have an
3 opportunity to ask a follow-up since this is the
4 data you wanted.

5 DR. GULLEY: Yes. Thank you so much for
6 this. It looks like there is a displaying of
7 results as one can often see, and it also could be
8 that these were different target lesions than the
9 ones that were used in the COP, so this is very
10 helpful. Thank you so much.

11 DR. MEHTA: Correct. Yes. These were the
12 target lesions followed by the BICR. Thank you.

13 **Questions to the Committee and Discussion**

14 DR. MADAN: Okay. We appreciate the work
15 that went into pulling that data up during the
16 meeting, and I think with that, we will end our
17 open discussion session or I guess clarified
18 questions session. The committee will now turn its
19 attention to address the task at hand, the careful
20 consideration of the data before the committee, as
21 well as the public comments.

22 Dr. Joyce Frimpong will address the

1 instructions for voting.

2 DR. FRIMPONG: Thank you, Dr. Madan.

3 This is Joyce Frimpong, designated federal
4 officer. Voting members will use the Zoom platform
5 to submit their vote for this meeting. If you are
6 not a voting member, you'll be moved to a breakout
7 room while we conduct the vote. After the
8 chairperson reads the voting question into the
9 record and all questions and discussions regarding
10 the wording of the vote question are complete, we
11 will announce that voting will begin. A voting
12 window will appear where you can submit your vote.
13 There will be no discussion during the voting
14 session. You should select the button in the
15 window that corresponds to your vote. Please note
16 that once you click the submit button, you will not
17 be able to change your vote.

18 Once all voting members have selected their
19 vote, I will announce that the vote is closed.
20 Please note there will be a momentary pause as we
21 tally the vote results and return the non-voting
22 members into the meeting room. Next, the vote

1 results will be displayed on the screen. I will
2 read the vote results from the screen into the
3 record. Thereafter, the chairperson will go down
4 the list and each voting member will state their
5 name and their vote into the record. Voting
6 members should also address any subparts of the
7 voting question, including the rationale for their
8 vote.

9 Are there any questions about the voting
10 process before we begin?

11 (No response.)

12 DR. FRIMPONG: Since there are no questions,
13 I will hand it back to Dr. Madan, and we can begin.

14 Back to you, Dr. Madan.

15 DR. MADAN: Okay. Thank you.

16 Now, I will read the question. It's only
17 one question today for the committee, and this is,
18 again, a voting question. The question is -- and
19 this is the specific question that we're voting
20 on -- can the primary endpoint, progression-free
21 survival for blinded independent central review, or
22 BICR, as we've called throughout the meeting, be

1 reliably interpreted in CodeBreaK 200?

2 Do we have anyone who wants to clarify
3 anything about this question? I know that there
4 was a question earlier and I deferred it to now, so
5 please let us know. You can just weigh in. I think
6 it was Dr. -- I apologize. Maybe it's not a
7 remaining question.

8 DR. SHAW: It was Dr. Shaw.

9 DR. MADAN: Dr. Shaw.

10 I think just for emphasis purposes, I'd like
11 to clarify -- and I think I know the
12 answer -- we're not making an approval discussion
13 today, or decision, or voting on potential
14 approval. We're asking a very specific question
15 about this specific trial and this specific data
16 set; is that correct?

17 DR. VELLANKI: Hi. This is Paz Vellanki
18 from the FDA. Yes, that is correct. We are not
19 asking the committee to opine on whether or not we
20 should convert the accelerated approval to a
21 traditional approval for sotorasib, but really we
22 are interested in hearing whether or not we believe

1 that the progression-free survival per BICR
2 endpoint can be reliably interpreted, meaning can
3 we say for sure that there is a PFS benefit of
4 sotorasib over docetaxel, and can we quantify that
5 effect.

6 DR. MADAN: Okay. Thank you for affirming
7 that question.

8 Are there any other questions from anyone
9 else? And I don't see any hands raised either.

10 DR. SHAW: I'm sorry to speak without being
11 called on. It's Dr. Shaw. My hand is raised, I
12 thought.

13 DR. MADAN: I'm sorry. I didn't see it. I
14 apologize. Go ahead, Dr. Shaw.

15 DR. SHAW: Okay. I just wanted to ask a
16 clarifying question about the question here, and
17 perhaps the person who just spoke, maybe she
18 answered it, but I just want to double check.

19 When I think about this question of whether
20 or not I can reliably interpret the results of
21 CodeBreak 200 regarding progression-free survival,
22 I think about how I normally interpret results from

1 an open-label trial, which is I consider the
2 primary endpoint, but I also am considering the
3 totality of evidence more broadly, particularly in
4 open-label studies. So I wasn't sure if I really
5 am being asked --

6 DR. PAZDUR: We're not asking an approval
7 question; we're asking a question about this
8 specific endpoint.

9 DR. SHAW: Right. But the person who just
10 spoke before you said I'm being asked whether or
11 not I think there is a benefit, and I don't
12 know -- whether or not I can interpret it is
13 different than what my conclusion is. So I want to
14 make sure --

15 DR. PAZDUR: The question is written in
16 vernacular English, so we're looking for the effect
17 on an endpoint here --

18 DR. SHAW: Okay.

19 DR. PAZDUR: -- and I think it's clearly
20 stated. We're not asking about an approval, the
21 totality of evidence, et cetera. We're asking
22 about the primary endpoint on this trial. Okay?

1 DR. SHAW: Whether or not there was a
2 benefit specifically on the primary endpoint --

3 DR. PAZDUR: Correct, is there an
4 improvement.

5 DR. SINGH: No. That --

6 DR. PAZDUR: Not benefit; is there an
7 improvement.

8 DR. SHAW: Improvement. Excuse me.

9 DR. SINGH: And can it be reliably
10 quantified.

11 DR. SHAW: And reliably quantified.

12 DR. PAZDUR: Right.

13 DR. SINGH: When we talk about the
14 interpretation of the end point, it's not just is
15 there an effect; it's can you actually interpret
16 the magnitude of effect? Can you reliably
17 interpret? Do you believe the hazard ratio? Do
18 you believe the median? Do you believe that it's
19 robust? And that final slide that we showed with
20 the various measurements of the primary endpoint,
21 do you find that we are able to reliably interpret
22 the data supporting that endpoint, and ultimately

1 the primary endpoint of the trial, which is
2 progression-free survival by blinded independent
3 central review? That endpoint, and that endpoint
4 alone.

5 DR. SHAW: I mean, what I'm hearing here,
6 just to make sure I understand, it's a bit of a
7 tall order. An open-label trial and how I
8 interpret that primary endpoint is different than
9 how I interpret a randomized, double-blinded trial.
10 We're all in this context of an open-label --

11 DR. PAZDUR: It is what it is.

12 DR. SHAW: Yes, ok. Maybe I'm just stalling
13 at this point, and I should --

14 DR. PAZDUR: It is what it is. Okay?

15 (Crosstalk.)

16 DR. SINGH: I think what Dr. Shaw is
17 speaking to is the magnitude of benefit, which one
18 may expect to see in a head-to-head design in an
19 open-label trial, or the totality versus what you
20 would see in a double-blinded trial, which is
21 exceedingly rare in oncology. You may view the
22 totality of evidence differently; hence, the

1 survival; hence, the PRO data. We are not asking
2 about that. We are asking solely about the
3 integrity, the fidelity of the primary endpoint,
4 which is the only endpoint which is statistically
5 tested. We do not label descriptive information,
6 typically, that lacks statistical rigor.

7 So in this case, the entire trial rests on
8 the integrity and the fidelity of the primary
9 endpoint, and we're asking if you believe, based on
10 both sides presented here, you can reliably
11 interpret those findings. Thank you.

12 DR. MADAN: Dr. Shaw, I'll just add that
13 after the vote is done, everyone will have a chance
14 to characterize their answer, and this is a good
15 chance for you to speak about the nuances of
16 whatever vote you make.

17 DR. SHAW: Thank you for that advice, and
18 that ends my question. Thank you.

19 DR. MADAN: Okay. Great.

20 Are there any other questions? I don't see
21 any other hands raised; so jump out, otherwise
22 we'll move on.

1 (No response.)

2 DR. MADAN: So if there are no further
3 questions or comments concerning the wording of the
4 question, we will now begin the voting.

5 DR. FRIMPONG: We will now move non-voting
6 participants to the breakout room.

7 (Voting.)

8 DR. FRIMPONG: Voting has closed and is now
9 complete. The voting results will be displayed.

10 (Pause.)

11 DR. FRIMPONG: There are 2 yeases, 10 noes,
12 and zero abstentions.

13 DR. MADAN: Thank you.

14 We will now go down the list and have
15 everyone who voted state their name and their vote
16 into the record. You may also use this opportunity
17 to include a rationale for your vote.

18 DR. FRIMPONG: Dr. Madan, give us a second
19 for the polling to come up on the screen. It
20 should be up momentarily.

21 We're good now, Dr. Madan.

22 DR. MADAN: Okay. So again, we'll just go

1 down the list. Again, state your name, your vote,
2 and please feel free to add any background or
3 rationale.

4 Doctor Conaway?

5 DR. CONAWAY: Yes. Mark Conaway, University
6 of Virginia. I voted no. No one expects a perfect
7 RCT, but what we hope for is a small number of
8 issues in trial conduct and an effect large enough
9 to withstand the uncertainties caused by those
10 issues. For this trial, we seem to have the
11 opposite, a large number of issues that cloud the
12 interpretation of a small observed effect, so I
13 voted no.

14 DR. MADAN: Okay. I guess I'm next. Ravi
15 Madan, National Cancer Institute. I voted no. The
16 question before the committee today is not one of
17 the efficacy of sotorasib in lung cancer, but
18 rather, specifically, the ability to interpret data
19 from a relatively small clinical trial conducted
20 with a highly anticipated agent in a hyper
21 information age where both patients and providers
22 had high expectations.

1 Given that we had hours of statistical
2 permutations discussed that could change
3 interpretations, I had to vote no on the
4 reliability of the PFS benefit from this study.
5 The factors that contributed to the lack of
6 certainty really come from, again, the small size,
7 investigator conduct, and the small 5-week PFS
8 benefit. I do think if the PFS benefit was much
9 greater, this would have been a much shorter
10 conversation.

11 But this question will not be limited to
12 this study in the future. Industry and
13 investigators must work together to ensure clinical
14 trials are conducted competently so that we can
15 glean the best data to advise our patients based on
16 outcome data and not presumption. The sponsor is
17 to be commended for choosing the appropriate and
18 active control arm in the study, which is not
19 always the case for highly anticipated drugs in
20 this day and age.

21 But clinical investigators must comply with
22 the spirit of the protocol and provide necessary

1 education as part of the informed consent process
2 so that once enrolled, patients have the comfort
3 and confidence to continue with the study. Only
4 then can we move forward with new therapies that
5 have demonstrated convincing clinical benefit
6 without question. Data fidelity must begin with
7 the fidelity of the investigators to the protocol.
8 Thank you.

9 I'll move down to the list to Dr. Rosko.

10 DR. ROSKO: Ashley Rosko. I voted no. My
11 vote reflects the stance that the results should be
12 informed by a well-controlled trial. The process,
13 to me, by which the radiologic re-read was
14 performed, and triggered a subsequent reanalysis,
15 impacted the integrity of the study, to me, and
16 it's opened up other questions about that immediate
17 dropout, the crossover without bigger confirmed
18 progression.

19 This impact and perception of study arm
20 equipoise is really hard to measure post hoc, and I
21 do appreciate the efforts that were in the
22 discussion today regarding guidance from the FDA

1 and how to be able to better mitigate these
2 strategies, whether for applicants, for
3 investigators, and for all.

4 I did want to just mention from a study
5 angle that the clinical perspective, I did
6 appreciate that from the applicant and also from
7 the patients in terms of having well-tolerated
8 therapy, and that does provide options for
9 patients. But ultimately as a clinician, I wanted
10 to be very confident in the data that I'm
11 interpreting for patients, and that any therapy
12 will provide a substantially better, speaking to
13 the effect size, or longer life lived for the
14 patient.

15 DR. MADAN: Thank you, Dr. Rosko.

16 Dr. Nieva?

17 DR. NIEVA: I also interpreted the question
18 here very narrowly, and I compliment the
19 statistical teams of both the FDA and the sponsor
20 for the work done. I voted yes because the study
21 met its primary endpoint based on the
22 intent-to-treat analysis, and ultimately we have to

1 take the statistical plan as it is written and
2 analyze things according to what was planned. I
3 think the post hoc analyses are informative, but
4 they ultimately don't change the benefits that were
5 in fact observed, and I don't think a type 1 error
6 occurred here. Given the corroborating evidence, I
7 have confidence that the drug does have a PFS
8 benefit over the comparator in this case.

9 I will like to add a [indiscernible] that
10 like Dr. Rosko, I am also concerned with the
11 quality of the blinded independent central review
12 and the substantial variation that occurred between
13 the first and second interpretations. I do think
14 that needs greater scrutiny from the FDA and
15 greater transparency from the applicant, but I
16 accepted the results as presented, though think
17 they should be subject to greater auditing. Thank
18 you.

19 DR. MADAN: Thank you, Dr. Nieva.

20 Dr. Shaw?

21 DR. SHAW: Yes. Pamela Shaw at Kaiser
22 Permanente Washington Health Research Institute. I

1 voted yes, as well. I voted yes because I think
2 there were a couple of different discussions here
3 today. Some of it was statistical and some of it
4 seemed more forensic, trying to think beyond
5 what-if scenarios. I feel strongly that there was
6 a robust look at the data from both the FDA and
7 also the sponsor. Although folks have referred to
8 this as a small trial, I think for a rare disease
9 setting, cancer setting, there was a large number
10 of events for progression-free survival. Despite
11 the censoring, there was still a large number of
12 events on both arms.

13 Statistically, even with the varied and many
14 what-if scenarios for changing the results or
15 imputing results for patients, we saw remarkably
16 consistent effect. So I felt that in the context
17 of an open-label trial, I'm able to make the kind
18 of interpretation I want, which is seeing a
19 statistically different value in progression-free
20 survival that I can interpret as probably modest at
21 best, but it seemed reliably interpretable, given
22 the context presented, especially in the context of

1 the totality of the data, that here we have an
2 unvalidated surrogate and we have overall survival
3 in our hands to continue to interpret what we think
4 this progression-free survival really means for the
5 patient experience, and that was my rationale.

6 Thank you.

7 DR. MADAN: Thank you, Dr. Shaw.

8 Dr. Vasan?

9 DR. VASAN: Neil Vasan. I voted no.

10 Drugging KRAS G12C is certainly a landmark
11 scientific discovery, and there's little question
12 about the activity of sotorasib, but we were asked
13 to comment if the PFS can be reliably interpreted
14 in CodeBreak 200, and I felt the answer was no.
15 The magnitude of effects is small, statistically
16 significant but not clinically significant, and I
17 do appreciate the rigorous analyses by both the FDA
18 and the applicant.

19 I do think that this ODAC is an important
20 call for our entire community, our professional
21 organizations, oncologists, industry
22 representatives, patient advocates, and also the

1 FDA to come up with strategies to take
2 responsibility so that we can mitigate this
3 perception of equipoise, which may have led to
4 biases in this trial. And I think that we as a
5 community have to address this so that we can
6 balance hope with hype for new therapies for our
7 patients. Thank you.

8 DR. MADAN: Thank you, Dr. Vasan.

9 Dr. Gradishar?

10 DR. GRADISHAR: Yes. Bill Gradishar from
11 Northwestern. I voted no, and I share many of the
12 sentiments that Dr. Vasan just expressed. I think
13 this drug is active. It's demonstrated both in
14 this trial and others that it is. It's certainly a
15 more desirable drug, I think, on the whole than
16 receiving docetaxel. That's demonstrated by the
17 toxicity data and the patient's experience.

18 But I, too, have the same issue with the
19 integrity of the study and the assessments that
20 were made and, actually, the difference in PFS
21 between the arms, as pointed out, I think it may
22 have met what was desired by the trial, but

1 clinical relevance is a different issue. When the
2 integrity of even that small difference is called
3 into question, despite the 3 hours of statistical
4 gymnastics, I still have as many questions about
5 whether there is anything more than a wash between
6 the two treatment arms with respect to PFS, so I
7 voted no. Thank you.

8 DR. MADAN: Thank you, Dr. Gradishar.

9 Mr. Pantelas?

10 MR. PANTELAS: Yes. Jim Pantelas, patient
11 advocate from Michigan. I voted no, but it's not a
12 question about the drug, or what I feel about the
13 drug, or the importance that I think it offers my
14 community of lung cancer patients and survivors.
15 It's a vote on a very, very narrow topic of imaging
16 review, and what I didn't hear was an explanation
17 for why the image reviews were so vastly different,
18 why the first set of image reviews were so
19 different than the second, or why the second group
20 of reviewers might be better than the first. I
21 hated the question. Thanks.

22 DR. MADAN: Sorry. I was muted there. I

1 just said that it's fair to comment on the last
2 point, sir.

3 Dr. Spratt?

4 DR. SPRATT: Yes. Thank you to the
5 applicant and the FDA for all the work that was put
6 into this. I think without question, all of us
7 want to help cancer patients improve the way they
8 experience life. We typically obviously quantify
9 that right as improvements in quality or quantity
10 of life or a surrogate of quantity of life. So I
11 guess, first, my assessment of CodeBreak 200 is as
12 follows.

13 The drug did not help patients live longer.
14 PFS for second-line therapy, based on the most
15 recent studies I can find, the surrogate threshold
16 effect for survival would have to be less than 0.3.
17 This effect sized was obviously closer to 0.6 or
18 higher, and quality of life, this study was not
19 designed to do a superiority trial for quality of
20 life, and quality of life was not even assessed
21 beyond progression, so we do not know what the
22 global and net long-term quality of life is.

1 What led to my vote of no -- and I'm
2 surprised not more discussion was on this -- is the
3 fact that PFS-1 was better with the experimental
4 agent and also PFS-2 favored the experimental
5 agent, but there is no difference in overall
6 survival and no further explanation. This leads me
7 to believe with all of the other discussion we've
8 had, that there is likely bias or inaccuracies in
9 the PFS assessment. So there's a high probability
10 of bias with this non-surrogate endpoint. So,
11 unfortunately, I lack the confidence and
12 reliability of the PFS endpoint in CodeBreak 200.
13 Thank you.

14 DR. MADAN: Thank you, Dr. Spratt.

15 Mr. Mitchell?

16 MR. MITCHELL: Yes. I generally want to
17 associate myself with the remarks of Mr. Pantelas.
18 You didn't ask me if I, as a cancer patient, for
19 example, would like to have this drug available to
20 me. Do I believe, even if they're roughly equal,
21 the fact that it is a drug that's much easier than
22 the control agent for patients? You didn't ask

1 about what do you think about risk-benefit. You
2 didn't ask whether it should be converted to a full
3 approval. You asked a very narrow question about
4 the conduct of the study, and as Dr. Spratt pointed
5 out, specifically in relationship to the imaging
6 questions and can we put our faith in this study in
7 terms of demonstrating a benefit on
8 progression-free survival. And given the narrow
9 framing of the question, the answer was clearly no,
10 after 3 hours of hard, thoughtful, long discussion,
11 so I voted no.

12 DR. MADAN: Thank you, Mr. Mitchell.

13 Dr. Hoffman?

14 DR. HOFFMAN: Yes. I voted no. I was very
15 strict in my interpretation of the question
16 informing that vote, as we were asked to be, and I
17 do applaud the sponsor for making great efforts to
18 look at the worst-case scenarios to address the
19 concerns that had been raised in the statistical
20 analysis.

21 I guess my thought as a clinician is that
22 even in the worst-case scenario, if there's

1 absolutely no difference between sotorasib and
2 docetaxel in terms of efficacy, I still would hope
3 that sotorasib could remain as an option for
4 patients in that clinical setting because probably
5 many of them, or if not most, would choose an
6 oral-targeted drug, or the speed of activity, and
7 so on. So much of what Dr. Johnson said, I totally
8 agree with in terms of being an active clinician,
9 so I would hate to see the drug not continue to be
10 available. But from the standpoint of the strict
11 question, I felt that I needed to vote no on the
12 basis of what we've heard for the last several
13 hours. Thank you.

14 DR. MADAN: Thank you, Dr. Hoffman.

15 Dr. Gulley?

16 DR. GULLEY: Yes. I applaud the FDA for
17 bringing up this important question about the
18 reliable interpretation of CodeBreak 200. This
19 really was a complicated issue and best discussed
20 in an open forum after evaluation of all the data.
21 I applaud the sponsor for careful, clear analysis
22 and also applaud the tone of the meeting to bring

1 up these issues in a transparent and unbiased
2 manner.

3 Clearly, this is an active and, I might add,
4 FDA-approved agent, but I struggled with this
5 narrow question, as I think most of the potential
6 biases can be reliably assessed. I did vote no,
7 but I would say that the early dropout in the
8 docetaxel arm, I think the potential biases could
9 be assessed here. The sensitivity analysis looked
10 good, and the baseline characteristics were not
11 favoring approval of the experimental arm, so I
12 think that was ok. But where I really had issues
13 were with the 19 patients in the docetaxel arm that
14 crossed before progressive disease was evaluated in
15 the blinded radiology review.

16 So I was glad that there was a hundred
17 percent re-read for the scans for this analysis,
18 and I wasn't worried about the interval censoring
19 analysis because the hazard interval was the same,
20 and the median PFS is really a very arbitrary
21 single point in that Kaplan-Meier curve that should
22 be de-emphasized in relation to the hazard ratio,

1 which covers the entire curve.

2 I would also just say that I wouldn't
3 characterize a 34 percent decrease in the risk of a
4 progressive disease or death as marginal; however,
5 when there are biases, then one has to look at the
6 the whole picture. Also, the start of the new
7 anti-cancer therapy seems to be ok from a
8 sensitivity analysis, but the early crossover with
9 no progressive disease and the BICR analysis in
10 those 19 patients, who also appeared to have a
11 better prognosis based on the FDA analysis, that
12 was where I felt like I couldn't overcome the
13 potential issues with that bias. I couldn't
14 address that effectively enough. Thank you.

15 DR. MADAN: Okay. Thank you, Dr. Gulley.

16 With that, I'll just briefly summarize. I
17 think despite votes on both sides, yes and no, I
18 think there was relative unanimity in terms of the
19 lamenting a little bit of the narrow focus of the
20 question, which was really focused on this
21 CodeBreak study and the specific reliability of the
22 data.

1 For those people who interpreted the data as
2 being relatively unreliable, they voted
3 10 versus 2. The opinions, though, were pretty
4 much along the lines of the questions that have
5 been raised about the blinded central review of the
6 radiology readouts, the early crossovers and how
7 that contributed to early dropouts, and general
8 study integrity. Questions were also raised a
9 little bit about the inconsistent findings between
10 progression-free survival as it read out with both
11 first- and second-line therapies, and then not
12 translating to an overall survival benefit.

13 I do think that despite voting no, most of
14 the people expressed optimism that this treatment
15 can be effective, and perhaps we just need more
16 data from a different trial to give a reliable
17 readout on that. For the two people who voted yes
18 and thought this was reliable, it was primarily
19 based on the desire to really interpret the study
20 as it was intended, with progression-free survival
21 as the primary readout, and the thinking that
22 perhaps all these statistical permutations we went

1 through today kind of convoluted the initial
2 positive finding. So I think those were the
3 comments that predominated in this discussion, and
4 really through the course of the day.

5 I do want to thank members of the FDA and
6 the sponsor for respecting the committee and
7 presenting a very statistically complicated,
8 nuanced discussion in ways that us and the public
9 can understand, as well as the respectful discourse
10 throughout. A lot of time went into this on the
11 FDA side and the Amgen side, and I think you guys
12 did a great job of presenting the data so it can be
13 understood and plainly available.

14 I also want to thank the people who spoke in
15 the open public forum. There were a lot of
16 patients who spoke as well, and I thought that they
17 spoke their cases eloquently and shared very
18 personal stories at times, which I think were
19 helpful for the committee to hear.

20 So with that, I would like to just make sure
21 that there are no additional comments from the FDA
22 before we formally adjourn.

1 DR. SINGH: I think, Dr. Madan, both
2 Dr. Pazdur and myself, if I may go, and then I'll
3 allow Dr. Pazdur to close.

4 DR. MADAN: Go ahead.

5 DR. SINGH: We deeply appreciate the
6 committee, not only the vote but the discussion,
7 and we do hear the conflict in your thought process
8 around the vote about totality and the desire to
9 keep sotorasib on market as an option for patients.
10 We stated in our FDA presentation twice that it is
11 not our intent to immediately withdraw a drug that
12 has a, quote/unquote, "failed confirmatory trial."
13 It is under accelerated approval, and there are
14 multiple pathways available to us, and we are not
15 making this move to withdraw the drug from the
16 market based on these results. We have not
17 indicated that, and we are taking, again, into
18 account your discussion.

19 Today's discussion was recorded, and it
20 sounds like we have a call to action, in fact, to
21 discuss moving forward conduct and mitigation
22 strategies in open-label trials. I appreciate all

1 the comments. Both the FDA and the applicant put
2 immense effort into this, and we really appreciate
3 the committee's thoughtful discussion today. And
4 with that, I will defer to Dr. Pazdur.

5 Thank you, Dr. Madan.

6 DR. PAZDUR: Here again, I'd like to thank
7 everybody. This was a great discussion, and also
8 the patients that participated in the open public
9 hearing.

10 I do want to follow up with a comment that I
11 made earlier and that was echoed by Dr. Neil Vasani.
12 We have particular interest in the integrity of the
13 clinical trial system, and it is quite bothersome
14 to me and the agency, in general, when we see
15 unidirectional dropout on clinical trials to this
16 degree. This is something that we have to address
17 in the oncology community, particularly. Why?
18 Because we do have, generally, unblinded trials.

19 So we will be following up with this with
20 various professional groups and various external
21 symposiums to have further discussion on this
22 entire issue. Here again, I think it's very

1 important that investigators really enter a
2 clinical trial and have a commitment to enrolling
3 patients, and not use a trial to get access to
4 drugs, and then say, "Well, at the end of the
5 randomization process, if somebody didn't get the
6 drug, I might not proceed with the trial."

7 We have seen this in other trials in
8 oncology and, fortunately, in those trials, the
9 problem was obviated by a big effect on overall
10 survival; but here again, that does not mitigate
11 the problem in general. So we as an oncology
12 community have to address this issue. No amount of
13 statistical machinations will address a poorly
14 conducted trial, so we really have to address this
15 from a long-term perspective.

16 If people are agreeing to go on a study, if
17 investigators are willing to participate in a
18 trial, they have to commit to really proceeding
19 with the way the trial was written, and I think
20 that this is an important conversation that we have
21 to have in the oncology community because, here
22 again, we have been seeing this, and this is a

1 great deal of concern that I have, and the agency,
2 as we move forward in the evaluation of oncology
3 agents. And I will leave that at that, and I thank
4 everybody, but this will be a continuing discussion
5 that we will have.

6 **Adjournment**

7 DR. MADAN: Okay. I think with that, we
8 will now adjourn the meeting. Thank you, everyone,
9 for taking part.

10 (Whereupon, at 3:03 p.m., the meeting was
11 adjourned.)
12
13
14
15
16
17
18
19
20
21
22