

UNITED STATES FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

CBER SCIENCE SYMPOSIUM

DAY 3

Washington, D.C.

Wednesday, September 18, 2024

[This transcript has not been edited or corrected,
but appears as received from the commercial
transcribing service. Accordingly the Food and
Drug Administration makes no representation as to
its accuracy.]

1 PARTICIPANTS:

2 DR. DAN DONGEUN HUH, PHD
3 Professor Bioengineering, University of
4 Pennsylvania

4 DR. SATHY BALU-IYER, PHD
5 Professor of Pharmaceutical Sciences,
6 University of Buffalo

6 DR. ZHAOHUI YE, PHD
7 Principal Investigator/Chief, Gene
8 Transfer and Immunogenicity Branch, Office
9 of Gene Therapy, Center for Biologics
10 Evaluation and Research

9 DR. ROBERT DANIELS, PHD
10 Principal Investigator, Office of Vaccines
11 Research and Review, Center for Biologics
12 Evaluation and Research

11 DR. KATARZYNA JANKOWSKA, PHD

12 DR. MIRANDA OAKLEY, PHD

13 DR. TAHIRA FATIMA, PHD

14 DR. LAURA KLENOW, PHD

15 DR. KRISHNAMURTHY KONDURU, PHD

16 MS. CATHERINE JEAN

17 DR. THALIA PACHECO-FERNANDEZ, PHD

18 DR. KELSEY PILEWSKI, PHD

19 DR. NAVEEN RAJASAGI, PHD

20 DR. DAVID MONTEFIORI, PHD
21 Duke University

22

1 PARTICIPANTS (CONT'D):

2 DR. ALESSANDRO SETTE, DR.BIOL.SCI.
La Jolla Institute for Immunology

3
4 DR. MUSTAFA AKKOYUNLU, MD, PHD
Office of Vaccines Research and Review,
Center for Biologics Evaluation and
5 Research

6 DR. CAROL WEISS, MD, PHD
Office of Vaccines Research and Review,
7 Center for Biologics Evaluation and
Research

8
9 DR. KAREN ELKINS, PHD
Associate Director for Science, CBER

10 DR. MONICA BURTS YOUNG, PHD
Committee Chair, Senior Scientific Advisor

11
12 DR. DANIEL LAGASSE, PHD
Biologist, Office of Therapeutic Products,
CBER

13
14 DR. HANA GOLDING, PHD
Chief and Principal Investigator, Office
of Therapeutic Products, CBER

15
16 MS. KARA GLYNN

17 MS. SHERRI REVELL, FDA

18 MS. MALGORZATA "MARGARET" NORTON, FDA

19 MS. YOLANDA WARREN-HENDERSON, FDA

20 DR. BASIL GOLDING, FDA

21 * * * * *

22

1 P R O C E E D I N G S

2 (9:31 a.m.)

3 DR. ATREYA: Good morning, everyone.

4 Welcome to the third and final day of the
5 symposium. This morning, we have four speakers,
6 and the session is Methods and Biomarker Discovery
7 for Product Safety and Quality.

8 My name is CD Atreya. I am from the
9 Office of Blood Research. Today, the session has
10 -- each speaker has -- the first two speakers have
11 35 minutes and the others have a little bit less
12 time. So the first speaker today in the morning
13 is --

14 So the first speaker of this morning is
15 Dr. Dan Huh. He's a Professor in the Department
16 of Bioengineering at the University of
17 Pennsylvania. He's a pioneer of organ-on-a-chip
18 technology. And his research group at Penn
19 focuses on developing micro-engineered models of
20 human physiological systems for biomedical
21 applications.

22 Dr. Huh won several honors and awards.

1 The details are in the book that we are
2 distributing. To name a few, he has the NIH
3 Director's New Innovator Award and also the
4 Distinguished Achievement Award from the
5 University of Michigan.

6 And the second speaker is the session's
7 keynote speaker, Dr. Sathy Balu-Iyer. He is a
8 professor in the Department of Pharmaceutical
9 Sciences at SUNY University at Buffalo, and he is
10 an Associate Dean for Research School of Pharmacy
11 and Pharmaceutical Sciences.

12 He's an elected fellow of the American
13 Association of Pharmaceutical Scientists. His
14 interdisciplinary research involves biophysical,
15 immunological, and pharmacokinetic and dynamic
16 approaches to rationally developing immunotherapy
17 modalities.

18 And he has over 100 publications. And
19 he's an inventor on patent on 30 applications.
20 And that is what it is. So with no further ado,
21 the first speaker, Dr. Dan Huh, welcome.

22 DR. HUH: All right. So let's see. I

1 see over 200 people online, so I just want to make
2 sure that I share my screen. It's on the slide
3 share mode.

4 Okay, awesome. Good morning, everyone.
5 So, I'd like to start by thanking the organizers
6 for the invitation to speak about our work today.
7 And I'd like also to congratulate them on the
8 great turnout of this meeting.

9 And so I have a lot to cover this
10 morning, so I'll just get right to it. At Penn, I
11 lead a research group called Biolines. Here's a
12 photo of my lab. I have about 14 people working
13 in the lab, equally split between grad students
14 and post-docs.

15 I would say we are problem and
16 question-drive lab, but we identify ourselves as a
17 group of bioengineers working on a technology
18 known as organ-on-a-chip.

19 So, I'm assuming many of you are
20 familiar with this term, but just to get everyone
21 on the same page, what we do in my lab basically
22 revolves around this idea of creating

1 microfabricated chips for cell culture, but we
2 also use these systems to provide the cells with
3 the type of environment that they would like.
4 They would feel at home, if you will, so that they
5 do what they're supposed to do.

6 So one way to think about it is that we
7 try to trick the cells into thinking that they're
8 sitting in the body so that they build themselves
9 into complex tissues and also recapitulate their
10 native functional capacity.

11 So our goal is oftentimes to engineer
12 these realistic human tissues that can be used for
13 a variety of applications. So in this approach,
14 my lab at Penn has developed a variety of human
15 tissue model systems over the last 11 years or so,
16 some of which are shown here.

17 So for this talk, two quick things to
18 point out. The first thing is, 85 percent of what
19 you're going to see today has not been published.
20 So these are very new results I'm going to be
21 actually presenting and also represents what's
22 happening at the very forefront of research work

1 happening in my lab, which I think it's going to
2 be good.

3 And I would like to organize the
4 discussion around these three questions we've been
5 thinking about, we've been asking ourselves a lot
6 over the last five years.

7 So the first question is, as you can see
8 there, how do we make these organ chip model
9 systems more realistic, more representative, of
10 their deeper counterparts.

11 The second question is, what kinds of
12 information can we really get from these model
13 systems, and what's their value? And can we
14 improve the capacity of these model systems as
15 data generators?

16 And lastly, I'm going to be talking
17 about how we can make this technology practically
18 more useful.

19 So let me get to the first question. So
20 I have to say, the complexity of the realism of
21 the model is always dependent upon the question or
22 problem you're trying to address.

1 But as those problems and questions we
2 try to deal with in biomedical research become
3 more complex, I definitely see an increasing need
4 for more realistic complex model systems. How do
5 we achieve those?

6 So, if you're new to this technology,
7 one of the first questions you could ask is, how
8 do we actually make these model systems? How do
9 we go about designing and creating these organ
10 chip systems?

11 So let me just spend a couple of
12 minutes, just maybe one minute, talking about the
13 general design principle we as organ chip
14 engineers follow.

15 So obviously it's not the poor organ
16 represented by these chip systems. It's really
17 the functional elements that we try to recreate.
18 So once you have your -- once you have your target
19 organ, identify its functional units, then you go
20 in and take a closer look at the system to figure
21 out what type of cells there are, how they are
22 organized with each other, and most importantly,

1 what kinds of environment they are sitting in.

2 And in the last step, you would actually
3 create a chip that would align to mimic those
4 essential features.

5 It's easy to say this but this is a step
6 where engineering expertise and ingenuity actually
7 really come into play.

8 So just to give you a quick example, so
9 let's say, let me make this up. So you are
10 interested in developing an eye model,
11 eye-on-a-chip, to test some therapeutics,
12 ophthalmic drugs.

13 So in that case, your target organ would
14 be the eye, and the functional element of the eye
15 would be the ocular surface, as all of you know,
16 that consists of cornea at the center surrounded
17 by the conjunctiva.

18 Then we take a closer look at the
19 structures and cell types. And so the corneal
20 tissue, let me just use that laser pointer here,
21 consists of this stratified epithelium supported
22 by collagen rich stroma containing these codocytes

1 and conjunctival epithelium contains these goblet
2 cells that produce a mucus, which is one of the
3 key components of tears.

4 What about the environment? So what I'm
5 showing here is eye blinking, which I think is a
6 very unique environment for these cells and
7 tissues, which important for spreading the tears
8 to form this tear film that serves to hydrate the
9 surface and also forms a smooth reflective surface
10 for light transmission.

11 So to mimic those features, what my lab
12 has done in the past, actually, I'm showing this
13 an example, we created this in a device and use
14 this 3-D scaffold that is highly porous that looks
15 like contact lens to inject a mixture of collagen
16 gel and codocytes in to the cavities of the
17 scaffold.

18 We also came up with a way to deposit
19 these two different cell types to mimic the
20 concentric tissue pattern.

21 And we can also grow these tissues and
22 differentiate them under physiological conditions,

1 for example, exposing the cells to air, and as a
2 result of differentiation, they become stratified,
3 form tight layers, and they form these beautiful
4 microstructures that are almost identical to the
5 features of these microscopic features seen on the
6 surface of the human eye.

7 And once you engineer those systems, you
8 can create a system like this where this very soft
9 elastic ray is actuated by this motor to mimic
10 blinking.

11 So using this blinking actuation, we can
12 form a very thin tear film, as is shown here in
13 this OCT image, and in this paper we also use the
14 system and turn it into a disease model, dry eye
15 disease model, and we work with pharmaceutical
16 companies to test their investigational compound.

17 So my apologies for going through these
18 very quickly, but there's a reason to do that. So
19 I will say we now consider this as a very
20 traditional approach to creating organ chip
21 systems.

22 What I mean by that is, even before you

1 create this model, you have a very good idea of
2 what type of cells they are going to be and where
3 they're going to be.

4 So it's pretty deterministic in that
5 sense. But as we start thinking more and more
6 about creating more realistic complex model
7 systems over the last five years, we actually are
8 now exploring a new approach where we use
9 embryogenesis development tissue and organ
10 development as a source of inspiration.

11 So what I'm showing here is respiratory
12 system development happening during embryogenesis
13 of [INDISCERNIBLE 00:09:42] embryo. And it's
14 amazing how these stem cells and presenter cells
15 can do this through this process of
16 self-organization and face specification and so
17 on.

18 So can we at least try to mimic certain
19 aspects of these very dynamic processes happening
20 in people?

21 So the first example I'm going to
22 actually present today is a model of human marrow,

1 more specifically hematopoietic vascular niche in
2 the marrow.

3 This is a new paper coming out in Cell
4 Stem Cell. So in the interest of time, I'm going
5 to skip the introduction, but as many of you know,
6 bone marrow is one of the organs that develop the
7 last during embryogenesis.

8 And it's known that these hematopoietic
9 stem cells arise from hemogenic sites. And they
10 move around a lot through different anatomical
11 sites receiving signals needed for their expansion
12 and maturation.

13 But eventually, they migrate into the
14 medullary cavity of the bone and they colonize
15 this compartment. But it's also known that this
16 process happens internally with the development of
17 these assigned soto blood vessels in the same
18 compartment.

19 So the question was simple. Learning
20 about this, we ask ourselves, would it be possible
21 to mimic this concurrent process of HSC
22 colonization in vasculogenesis in an engineered

1 system?

2 So the first thing we had to do was to
3 figure out ways to engineer realistic, sign soto
4 blood vessels. And so long story short, this is
5 actually one of the platforms and devices that we
6 use.

7 This is a cutaway view of this device.
8 It's got three compartments and there are these
9 protrusions from the ceiling that partially
10 actually separates these three compartments.

11 In this design, one interesting thing we
12 can do is to inject liquid into this air filled
13 channel, and because of surface tension, liquid is
14 pinned along the center lane, if you will, due to
15 surface tension.

16 It's a pretty well known physics
17 phenomenon called capillary pinning effect, as
18 demonstrated in this movie. So using this
19 technique, we would inject a mixture of ECM
20 precursor solution, hydrogel solution, with
21 fibroblast and vascular endothelial cells.

22 We would actually gel it and fill the

1 side chambers with media, feed the cells, and we
2 would also feed the side chambers with endothelial
3 cells. Seeing this is a 3-D culture condition,
4 something really interesting happens.

5 So all these endothelial cells in the
6 gel, in the presence of all these supporting
7 factors and ECM produced by surrounding
8 fibroblasts, they self-assemble into these 3-D
9 vessels that open to the side channels.

10 So let me show you a movie. I hope the
11 movie is not too choppy on your end. This is
12 actually a sped-up movie that happens, a birds eye
13 view of the device.

14 So these are RFP expressing endothelial
15 cells cultured in fibrin scaffold in the device,
16 and as you can tell, over a period of five days or
17 so, they've developed this vascular network in
18 this device.

19 As a result, we can engineer very
20 realistic blood vessels that are very similar to
21 human micro-vessels. I'm just going to slide
22 through these.

1 And in this image, actually, we used GFP
2 expressing in those in the side chambers. And
3 what you can see at this interphase is that the
4 endothelial cells in the side channel actually
5 form endothelial sprouts into the gel, and they
6 end up connecting with the red blood vessels in
7 the gel. And as a result of this anastomosis, the
8 entire vascular network becomes accessible and
9 profusable by using these two side channels.

10 We can also see a flow of blood cells of
11 different kinds. These are actually really fun
12 movies to watch.

13 So going back to this marrow model, we
14 actually used this approach. And what we did was
15 we added CD 34 positive human hematopoietic stem
16 and presenter cells into the mixture. We let them
17 kind of self-organize.

18 It took us about six months to figure
19 this out, but under the right conditions, they
20 self-organize into these very complex tissues that
21 kind of resemble hematopoietic vascular niche, or
22 the environment, in the marrow.

1 So just to kind of demonstrate this
2 organ specific function, depending on media
3 conditions, these HSPCs can generate erythrocytes.
4 So we see the formation of these restored blastic
5 [PH 00:09:46] islands, device affluent contained
6 in these cells that look like red blood cells.

7 And also, we are able to induce the
8 formation of myeloid cells, granular sites. We
9 got to really locate it close to these blood
10 vessels. And we found out that many of these
11 cells are functionally mature neutrophils in the
12 device.

13 But very importantly, we are also able
14 to maintain a very small fraction of those stem
15 and presenter cell population with colony forming
16 activities actually in this system.

17 So, one of the things we can do is to
18 harvest these tissues as a whole, living or fixed
19 tissues after the experiments for further
20 analysis.

21 So, we actually use this capability to
22 do single-cell sequencing to really dive into

1 cellular heterogeneity complexity. We would
2 actually start with just three or four different
3 cell types, but as a result of HSPC
4 differentiation into these different lineages, we
5 would get more than 10 different cell types.

6 And we can also look at cell/cell
7 interactions through by performing ligand receptor
8 interaction analysis and so on. In the interest
9 of time, I'm going to actually have to skip this,
10 but I'm happy to tell you more about these results
11 offline if you're interested.

12 So when these granular sites are
13 generated under myelopoietic conditions, they
14 actually look -- they are situated in the vicinity
15 of the vessels. But when they are stimulated with
16 pleural and vent OIN cytokines or drugs, they have
17 the ability to intravasate and flow out of the
18 device through the vasculature.

19 So we actually use this capability to
20 build a multi-organ system that contained marrow
21 connected to an area on a chip. So this is
22 actually a photo of the device. And the area on a

1 chip contains three compartments, epithelial
2 compartment with the lining of epithelial cells
3 that are fully ciliated in mucus producing cells.

4 The middle compartment was filled with
5 the fibroblast containing hydrogel. And the bottom
6 compartment was lined with vascular endothelial
7 cells to mimic the vasculature.

8 So one set of experiments, what we did
9 was to infect the epithelium to mimic airway
10 infection. We used pseudomonas. So as you can
11 tell, we saw compromised epithelial barrier. But
12 very importantly, these cells produce a lot of
13 cytokines and chemokines. And because those two
14 devices were fluidly connected, all these factors
15 floating to the marrow, and actually they induced
16 massive release of cells actually from the marrow
17 chip.

18 And many of these cells, vast majority
19 of these cells, were mature neutrophils that are
20 CD 60 positive. And we also saw the number of
21 cells going in was much larger than the number of
22 cells coming out.

1 So we thought something was going on in
2 the device. And so we went in and used the
3 scanning electron microscopy to look at the
4 events.

5 So as you can see here, on the vascular
6 side, these neutrophils that stick to the vascular
7 endothelium, and then they undergo transmigration.
8 On the epithelial surface, they come up and then
9 they move toward the bacteria and they kill them.
10 We saw mitosis as well as neutrophil swarming
11 happening in this engineered system. So I have to
12 point out the fact that scientifically, there's
13 nothing new. We've known about these things for
14 many decades, but being able to mimic this complex
15 cascade of events in this engineered system
16 without, that was something.

17 And in collaboration with GSK, we
18 actually succeeded in scaling up this model using
19 a robotic system. I'll actually talk about this
20 toward the end of this presentation to look at
21 myelotoxicity and myelosuppression of commonly
22 used anti-cancer drugs.

1 So I'm happy to tell you more about the
2 results. So this actually, the project started as
3 part of the Tissue Chips in Space program. On the
4 way up in our first launch, the flow regulator was
5 short circuited. So it was an epic failure. But
6 thanks to this project, we were able to build this
7 really nice model that we are now using for a
8 variety of other applications.

9 This idea of creating more realistic
10 complex model systems would not be complete in my
11 mind actually without talking about, thinking
12 about organoids.

13 So about five years ago, I was asked by
14 a senior editor in science actually to write a
15 review or prospective article to talk about how
16 organ chip technology can be used to advance the
17 field of organoids.

18 So, long story short, this paper talks
19 about how the tightly controlled micro-environment
20 of these micro-engineered devices could be used to
21 build more functionally mature actually and more
22 in vivo like organoid-driven tissues, and with a

1 higher predictive capacity.

2 So, I don't think I have a lot of time
3 actually today, but OCTOPUS, this is actually a
4 platform we demonstrated a couple of years ago to
5 kind of highlight the promise of this approach.

6 And so, this work was motivated by a lot
7 of failures and difficulties we as engineers faced
8 when we were actually getting our foot in the
9 door. So, as you can see here, this is one of the
10 ways in which intestinal organoids can be aerated
11 by embedding stem cells in Matrigel drop.

12 So we did it, and we tried this method
13 and we saw these beautiful mini organs forming in
14 the Matrigel scaffolds. But over time, they die
15 off. And it starts at the core, and it propagates
16 through the entire gel drop.

17 So this is the reason why we learned the
18 hard way. People stop at day seven or day six and
19 they passage them into new drops. But by doing
20 that, if you think about it, the flip side is
21 actually you have to constantly perturb their
22 process of development.

1 So they really want to be sitting in the
2 same gel maturing and growing, actually. So we
3 thought this is a consensual representation.
4 Wouldn't it be nice to eliminate this hypoxic
5 core? You're left with this shell without any
6 divisional limitations. You would then radially
7 segment the shell to lay flat to minimize division
8 limitations.

9 So this is actually the device that we
10 came up with to achieve this goal. So basically,
11 it's actually, it was designed as a tissue culture
12 insert with a sensor access port and with eight
13 radially open chambers.

14 And just to demonstrate the ease of use,
15 let me play this movie. So all you have to do is,
16 you don't have to make any changes to the workflow
17 or the materials that you use. All you have to do
18 is to inject the mixture of cells and gel into
19 these inserts and you fill the wells. And that's
20 it.

21 So the question is, does it work? So it
22 does, actually. So in Matrigel drops, these

1 organisms would die off over time, but in OCTOPUS,
2 they would continue to grow. And we were able to
3 form these huge, actually, interoids, human
4 intestinal organoids. This is a size comparison
5 to help you better understand how big we are able
6 to grow them.

7 It's not about the enlargement size.
8 The tissues actually become actually more mature.
9 So as evidenced by these cell text specific
10 markers, we also did glucose stimulated calcium
11 sicnolene as a functional assay.

12 And we were able to show that we get
13 better cellular complexity heterogeneity by using
14 the OCTOPUS device. And it's also possible to use
15 patient derived cells to use these diseased
16 organoids. They spontaneously recapitulate their
17 normal features, like compromised barrier function
18 in a normal epithelial morphology insulin and so
19 on.

20 And then, this is actually the last
21 figure of the paper where we introduce this
22 organoid vascularization platform that can be used

1 for a variety of actions. In this example, we
2 used intestinal organoids. We can form these
3 vessels around these organoids and profuse the
4 entire construct.

5 So, this is one example, but now we have
6 actually other organoid models. For example,
7 these are liver organoids, IPS 2 derived liver
8 organoids that we're using to study heart
9 failure-induced liver fibrosis.

10 And we are also working with Deborah
11 Cotton's lab at BU to grow these long organoids
12 where we form more phenological special conditions
13 to make these cystic, spherical, long organoids
14 look more like they're in vivo counterparts and so
15 on, okay?

16 All right. So, just checking time here.
17 Need to speed things up here. So the second
18 question is, how do we actually increase their
19 capacity as a data generator? What kinds of
20 information can we really get? And how can we use
21 actually this information?

22 So this example I'm going to be actually

1 talking about briefly is a new paper coming out in
2 Nature Biotechnology. So, where we use our
3 platform to model CAR-T immunotherapy. We actually
4 use a very similar platform in this study. The
5 only difference is we actually introduced the
6 patient x-points, tumor x-points.

7 We vascularized these tumor x-points in
8 our device. We also have an open-top
9 configuration where we would actually form the
10 vessels first and then when these tissues become
11 available, we go pick them up, open the device,
12 and we drop them in there to vascularize.

13 But at the end of the day, what we can
14 do is to form these fully perfusable, accessible,
15 vascularized human solid tumors. So we're now
16 using this actually to investigate CAR-T therapy
17 of solid tumors, which is a huge clinical
18 challenge, apparently.

19 So we are now using this platform to
20 investigate three essential steps of CAR-T cell
21 trafficking, which is first, they would have to
22 recognize the tumor cell, tumor-associated

1 antigens, and then they would have to get out of
2 the vessels and move toward tumors. And then in
3 there, they would have to exert antigen-directed
4 cytotoxicity for a certain amount of time.

5 So can we actually really model these
6 essential steps and investigate those essential
7 steps? So just to show a snapshot, this is
8 actually CD X tumor engineered over express,
9 mesothelin tumor antigen.

10 These CAR-T cells are designed to target
11 mesothelin. So this is two hours after infusion,
12 as you can tell. Many of these CAR-T cells are
13 stuck to the tumor associated vessels. They show
14 activated morphology. They move around. And
15 what's really nice about this model is we can view
16 the entire process of extravasation, directional
17 migration towards the tumor, and then effective
18 functions.

19 So within a couple days, many of these
20 cells are found within the tumors. Unfortunately,
21 this is not representative of what happens in
22 clinical patients. We actually did this study to

1 really demonstrate the proof of concept.

2 But, so as a result, we can actually
3 also track these tumors to measure their size and
4 phenotype and so on.

5 And to talk about information, we really
6 try to actually really diversify our methods of
7 analysis and get as many different kinds of
8 information as possible. So in one example, we
9 can get tumors or CAR-T cells from the tumors and
10 differentiate or actually distinguish them from
11 those in the surrounding stroma. And as a result,
12 we are able to use, for example, photometry to
13 really identify and also track and really look
14 deeper into the phenotype of these CAR-T cells
15 that can get into the solid tumors.

16 We did similar things using single cell
17 sequencing. We have a lot of data here. But I'm
18 going to have to skip many of these data today.
19 But one important thing here is actually, we
20 conducted using sequencing data a ligand receptor
21 interaction analysis to find out this well known
22 CXCL/CXCR three signaling, which CXCL 10, CXCL 11,

1 secreted by those endothelial cells and the
2 tissue, they bind to CXCL 3 receptor on CAR-T
3 cells or T cells, and that's actually, that
4 contributes to, that's known to contribute to
5 CAR-T cell trafficking or T-cell trafficking in
6 general.

7 But we found out that this enzyme, DPP
8 4, was interacting by truncating these chemokines.
9 And so the question was simple. Can we actually
10 inhibit the activity of DPP 4 as a way to increase
11 CAR-T cell trafficking?

12 So long story short, it was very
13 effective. And so we use this FDA-approved drug
14 actually that's currently used for diabetics to
15 inhibit the activity. And as a result, we were
16 able to dramatically increase the CAR-T cell
17 trafficking.

18 The second question was can we go
19 further and use mesotheliomics actually to maybe
20 try to identify biomarkers, potential biomarkers,
21 that would be indicative of efficacy of this
22 combinatorial approach.

1 So in collaboration with Josh
2 Rabinowitz's lab at Princeton, we actually did
3 unbiased double mixed analysis of affluent samples
4 collected from our devices. And as a result, we
5 were able to suggest a panel of biomarkers that
6 could be used for potential clinical indicators.

7 I don't have time to talk about all
8 this, but this is a new paper coming out in Nature
9 of BME where we actually use on-a-chip to really
10 rebuild something very new and interesting about
11 sensitivity of asthmatic tissues to mechanical
12 forces exerted by bronchoconstriction, and
13 implantation on a chip where we actually can study
14 directional migration of embryo cells into
15 maternal tissues to find out what factors really
16 contribute to this process and so on.

17 I'll skip this. So the last topic, if
18 you can give me just a couple minutes, five years
19 ago, actually had I given this talk five years
20 ago, this is where I would have finished my talk,
21 but we've had actually, we have a field, we've had
22 a lot of success, I have to say.

1 A lot of papers, a lot of patents coming
2 out. But if you think about like the real-world
3 impact this technology has made over the last 10
4 years, we're trying to get there but it's
5 questionable.

6 So this is what I have been talking
7 about as inconvenient truth to at least our organ
8 chip models that we build in our system. I don't
9 mean disrespect to the field.

10 So one of the major issues is, if you
11 think about it, the reason why people are upset
12 about this technology at the beginning was it
13 allows us to mimic complex things happening the
14 body in these engineered manmade systems.

15 But the flip side is, it's also the
16 complexity that makes this technology, it makes it
17 really difficult to translate this technology into
18 industry practice, research practice.

19 So one of the key challenges, my
20 personal opinion, is the scalability. So simply
21 speaking, it becomes really difficult to conduct
22 many, many experiments at the same time. So it

1 really limits our ability to get sufficiently
2 large amounts of data that we need to make
3 accurate prediction or to better understand
4 complex duties, processes happening in trackable
5 disease and so on.

6 And another kind of well-known fact is
7 many of these model systems are not very
8 reproducible in terms of fabrication, in terms of
9 experimentation. So, after a seminar like this, I
10 would actually, a line of people actually asking
11 and wanting to test some of those models in their
12 labs.

13 And I would say, many times we actually
14 think this is our experience as a lab, many of
15 these experiments fail when they try to do it in
16 other labs. So it's not very reproducible.

17 So we now try to actually, this gets us
18 to the last question I wanted to address, how do
19 we actually make this technology practically more
20 useful?

21 So, to resolve that scale issue, we now
22 walk away from these single channel, single

1 experiment devices, and we and other labs commonly
2 use these plate devices, each of which contains
3 tens of replicates, but these are the most recent
4 events.

5 This is a six-inch wafer size device
6 containing over 200 individually addressable self
7 chambers. In other words, on one device, it
8 becomes possible to conduct 256 independent
9 experiments. It's fairly easy to stack five of
10 these devices, which makes the number of
11 concurrent experiments over 1,000, which I think
12 is orders of magnitude higher than what is
13 currently possible in my lab, what used to be
14 possible in my lab.

15 And this was actually by a phenomena
16 engineer, my former grad student, Andrei
17 Georgescu, who came up with this very
18 sophisticated, elegant floating circuit design.

19 Long story short, using this floating
20 circuit, these rectangular chambers are blood
21 forming devices, actually you saw earlier. It
22 becomes possible to precisely control what goes

1 into each of these chambers, which I think is very
2 exciting.

3 We also view humans as a source of
4 irreproducibility in errors. And so we try to
5 remove humans completely from the entire workflow.
6 So to do that, we build robots.

7 So these automated systems are making
8 our lives easier, but what we are most excited
9 about is that we now are able to think about
10 drastically increasing the amount of data, or the
11 type of data we can get from these human cell
12 based, supposedly much better in vitro model
13 systems.

14 And so, I have a couple examples, but in
15 the interest of time, I'm going to skip that
16 today.

17 So based on this vision and technology,
18 we started this company called Vivodyne three
19 years ago. Andrei Georgescu, who is my former
20 grad student who developed this platform, is now
21 leading this effort as the CEO. The company is
22 intending to offer and is currently offering very

1 realistic tissue without harming scalability and
2 complexity.

3 So we actually can generate AI scaling
4 data using these fully automated systems,
5 actually. So this is the main workhorse that we
6 use in the lab. Our business model is not to sell
7 these systems, but to generate data. It's really
8 the data that our business model is based on.

9 Really, it's entering automation. So we
10 automate the entire process of tissue engineering
11 analysis. So all you have to do is put a vial of
12 cells and media bottles in the industrial robotic
13 arm, combined with many other systems, take care
14 of the entire workflow.

15 So we're gaining traction and currently
16 working with many pharmaceutical companies to
17 really demonstrate and also verify the potential
18 promise of this technology, but what's really
19 important here is actually, what's really exciting
20 is that we can now think about generating large
21 scale, large amounts of human relevant data before
22 clinical trials. So that's actually what we're

1 most excited about now.

2 Okay, with that, I'd like to thank my
3 group, collaborators, and funding sources. Thank
4 you very much for your attention.

5 DR. ATREYA: Now the floor is open for
6 questions.

7 UNKNOWN FEMALE: Okay, good morning.
8 Thank you for that beautiful talk. I guess I can
9 say I experienced the first microfluidic
10 microprocessor being presented.

11 My question to you, as I have many
12 questions, but what I would like to ask is, now
13 that you are thinking about this scaling up and
14 repeatability, are you thinking about
15 incorporating the human diversity, genetic
16 diversity?

17 Because one of the things that
18 microchips organoids, is we only have one donor
19 cells, right? And how can we incorporate that?

20 DR. HUH: Yes, that's a good question.
21 We are in the process of designing some case
22 studies or representative studies actually to

1 address the question you just mentioned.

2 And so, when a troop is low, actually
3 the variability oftentimes in our experience has
4 been a source of frustrations. And sometimes it
5 works, sometimes it doesn't, sometimes it shows
6 phenotype A but sometimes it would show phenotype
7 B.

8 But when you have high enough
9 perplexity, the variability and also diversity
10 actually, these things actually become very
11 interesting topics to investigate.

12 And so, we're now thinking about
13 actually conducting more systematic experiments to
14 look at specifically at genetic diversity and also
15 patient variability.

16 It would also obviously would have to
17 depend upon the availability of these tissues.
18 And so right now many of these studies, the
19 platform, I think it's fairly ready, but the
20 tissue sourcing, cell sourcing, sometimes becomes
21 problematic or sometimes becomes a bottleneck.

22 But we're in the process of sorting

1 these issues out. I hope that answers your
2 question.

3 MR. NORCROSS: Yes, hi, Mike Norcross.
4 Hi, Dan, that was really impressive. I mean, you
5 got any more systems to talk about?

6 So, I want to know on several things,
7 one is on the bone marrow, do you see platelet
8 maturation, megakaryocytes, in bone marrow
9 cultures?

10 DR. HUH: We do see the formation of
11 megakaryocytes, but in very small numbers, I have
12 to say. So, it would be fantastic if we can go
13 down that route and then start producing
14 platelets. But we haven't. So what I can say is
15 there is a small number of megakaryocytes that
16 form in the device.

17 But we don't know what happens to them
18 over time. But, yes, that's the answer I can give
19 you now.

20 MR. NORCROSS: Okay, and the other thing
21 is about lymph nodes or immune responses. You
22 briefly touched on that, but have you made any

1 more progress on kind of getting an immune
2 response to happen on the chip? You got T-cells,
3 antibody forming cells?

4 DR. HUH: Right.

5 MR. NORCROSS: How you doing on that?

6 DR. HUH: Yes, so, the focus of that
7 study was on innate immune cells or innate immune
8 systems. So, neutrophils are of course responders
9 actually responding to infection. We do see
10 formation of small numbers of lymphocytes in this
11 system, but again, like, for this proof of concept
12 study, we didn't think it would make sense for us
13 to really put a lot of emphasis on that, given the
14 fact that they would be --they would form there,
15 but in a maturation and subsequent process would
16 require other organs and tissue systems.

17 And so, we haven't done it yet, but
18 there's a lot of interest. And so, in terms of
19 modeling immunity, this is pretty much the only
20 system that we currently have, to be completely
21 honest. But, I mean, we've been getting a lot of
22 inquiries.

1 But we do not have any further studies
2 based off of this work yet.

3 MR. NORCROSS: And then on the CAR-T
4 cells, did you do any correlations with the
5 patient responses? These are tumors from
6 patients. Are they going to get the same CAR-T
7 cells? Do you see any relevance of what you see
8 in vitro versus in patients?

9 DR. HUH: Right. So, the data that
10 these CAR-T cells crawling out of the vessels,
11 moving toward the tumor, was again a very
12 artificial system, I have to say, where we
13 overexpressed the mesothelium inputs.

14 In tumor cells, we inject into mice to
15 form the CDX tumors. What we try to do to
16 transplant, put in patient meso, what is it? What
17 type of actually, the skin tumor, actually?

18 And then we did the same experiment
19 using CAR-T, mesothelium targeting CAR-T cells.
20 We were able to show their trafficking there. But
21 again, as I said, this is not representative of
22 what happens in most patients, right?

1 So one of our ongoing studies is to look
2 at T-cell exclusion exhaustion. And I think it's
3 too early to talk about that. But we're getting
4 there. Yes.

5 MR. NORCROSS: One thing I was just
6 going to point out to you, DPP 4 effect on
7 chemokines.

8 DR. HUH: Yes.

9 MR. NORCROSS: We were the first ones to
10 publish that many years ago in JM where we looked
11 at different chemokines and could see some of them
12 were inactivated but others are actually activated
13 and changed specificity for receptors.

14 DR. HUH: So was it done in the context
15 of CAR-T therapy?

16 MR. NORCROSS: No.

17 DR. HUH: Yes.

18 MR. NORCROSS: This is way before all
19 that.

20 DR. HUH: Okay. Okay.

21 MR. NORCROSS: I mean, this is back in
22 the dark days. But we found DPP 4 chemokines. It

1 was in with HIV. We were studying HIV.

2 DR. HUH: I see.

3 MR. NORCROSS: David remembers years
4 ago.

5 DR. HUH: Thanks for pointing that out.
6 It's good to have -- good to know that, yes. All
7 right.

8 MR. VILLA: Hi, Carlos in the Office of
9 Blood here at FDA. Really fascinating work. Have
10 you looked at using whole blood as the profusate
11 in some of these systems where you can look at the
12 function of actually the blood that's going into
13 and interacting with the organ?

14 DR. HUH: We have not, actually. So the
15 only, maybe the closest thing I can think of is
16 actually RBC profusion in the context of
17 transfusion-induced endothelial injury.

18 So I didn't talk about any of that
19 today, but we actually showed in the paper that
20 stored RBC can harm endothelium, actually, in the
21 transfusion settings.

22 But whole blood in general, we don't use

1 actually whole blood as profusate. We use just
2 defined media that's actually, I mean, that's
3 actually one of the major challenges in developing
4 multi-organ systems, when you have multiple cell
5 types across these different devices. How do we
6 make all of them happy, right?

7 So, in the body, we have whole blood.
8 But as far as I know, whole blood actually in
9 those in vitro settings doesn't work for those
10 purposes. Yes. So that's actually -- yes.

11 DR. RAGUPATHY: Excellent presentation.
12 This is Viswanath Ragupathy from Office of Blood.
13 All these micro fluidity platforms, this sort of
14 kind of simply is a major role. So did you
15 consider any kind of modifications with the
16 surface chemistry for different cell types,
17 thereby you could able to complete all these cells
18 on a chip?

19 DR. HUH: Yes, so it's definitely
20 possible to do that. In our case, in many of our
21 model systems, we use either naturally -- almost
22 all of these model systems, we use natural ECM as

1 coating materials.

2 And what's also important to keep in
3 mind is that over time, we grow these cells in
4 these devices for at least two to three weeks.
5 And so over time, they kind of deposit their own
6 ECM to remodel their surrounding matrices.

7 And so that's kind of -- that's been the
8 approach we've relied on in many of these studies.
9 But, yes, I certainly acknowledge the possibility
10 of adding more complexity to the cell surface and
11 interactions. Yes.

12 DR. ELKINS: Whoops. We have lots of
13 online interest. So several of them revolve
14 around the overall question of how you validate
15 each of the systems, individually or collectively,
16 against things like animal models, traditional in
17 vitro culture systems, or even human systems.
18 Could you talk a little bit about maybe the
19 overall strategies there?

20 DR. HUH: Sure. So our strategies,
21 actually, yes, I didn't talk about any of that.
22 But in the eye model, for example, that could be a

1 good example, we would actually use a variety of
2 techniques like histology and expression of
3 differentiation markers and production of
4 secretive factors.

5 In many cases, in vivo data are
6 available in the literature that we can use to
7 compare our data against. And whenever possible,
8 we also try to have access to gain access to human
9 data, like of any kinds.

10 In many of those situations, the
11 challenge is the huge difference in scale. So
12 measuring cytokines, secretory factor levels in
13 the blood could be a good set of data. But if you
14 were to compare what's happening at the cellular
15 tissue levels to what happens at the whole
16 organism, whole body levels, that becomes a huge
17 challenge.

18 But we try to actually gain and get
19 human data that can be compared to our in vitro
20 data. So for that, we look at the structure. We
21 look at functional markers. And with the
22 availability of the old mixed data, multi old

1 mixed data, like single cell sequencing atlas
2 data, papers, we try to maximize the utility of
3 these available data into literature for
4 verification validation purposes.

5 DR. ELKINS: Thank you. Some of the
6 more specific ones. In the OCTOPUS and
7 microfluidic chambers, how do you overcome cell
8 aggregation when you're feeding that could limit
9 the media flow and organoid growth?

10 DR. HUH: Yes, so that's actually one of
11 the things we're now investigating. Like, how far
12 apart do these cells want to be? But in the first
13 study, we didn't really care about that too much.
14 So we just made sure that they were nicely
15 suspended.

16 And also, this radial geometry seems to
17 help. And so it's equal distribution into these
18 eight radial chambers, and that seems to help in
19 terms of spreading the cells uniformly across the
20 gel.

21 DR. ELKINS: Next, is it possible to use
22 the 3-D culture systems to isolate exosomes or

1 secreted components of signaling proteins?

2 DR. HUH: Certainly. That's a very hot
3 topic now, and now as these model systems or model
4 development techniques or technologies become more
5 mature, many of us actually try to kind of
6 leverage our capabilities for other purposes.

7 And so one of them I think it's
8 definitely, I see on the horizon where this is
9 actually turning out to be a very active field,
10 active topic of investigation.

11 So it's actually readily possible to get
12 affluent samples or cells from these devices for
13 further analysis. And so, it's fairly
14 straightforward and easy to isolate exosomes from
15 these in vitro bioengineered tissues.

16 And we have one example, but I don't
17 think we have time to talk about that. But it is
18 definitely possible, yes.

19 DR. ELKINS: The next one could be
20 lengthy, too, but we'll try it. In in vitro
21 systems that serve as disease model systems, can
22 you comment on the timeline of changes in

1 relationship to the disease onset?

2 DR. HUH: Yes, so, I mean, there are
3 really, in my mind, two questions. And so, do we
4 want to mimic acute responses and processes versus
5 chronic responses and processes?

6 And so, for modeling acute responses,
7 you can start off with normal cells and stimulate
8 them as needed, as long as the experiments you're
9 doing are relevant to the real life situations.

10 But oftentimes, it's really the chronic
11 inherent indigenous features we want to drill
12 down, or look deeper into.

13 So in those cases, commonly we use -- we
14 try to get diseased cells from patient samples.
15 And these days, many of these vendors like Lanza,
16 they carry a wide variety of actually primary
17 human cells isolated from diseased tissues.

18 So we would actually order these cells
19 from reputable commercial vendors or we try to
20 work with our collaborators in medical school to
21 get patient explants and harvest and isolate cells
22 from those samples.

1 DR. ELKINS: Next, going to the other
2 end of scalability, have you used platforms to
3 evaluate the feasibility of individualized
4 approaches, personalized medicine approaches?

5 DR. HUH: Not yet, but certainly, that's
6 one of the main directions we are headed. Yes.

7 DR. ELKINS: And then there are
8 questions about the immune system that we have
9 already covered. What steps do you need to take
10 to ensure interactions between biological surfaces
11 and physical surfaces that don't alter the
12 physiological
13 conditions?

14 DR. HUH: Yes, so we think about these
15 questions a lot. But at the end of the day, it's
16 actually, it's kind of, they're in a collective
17 behavior and collective properties that matter to
18 us. So we would use those, define end points.

19 I briefly mentioned earlier actually to
20 really see whether or not they're exhibiting the
21 properties they are supposed to exhibit.

22 And so to achieve that, you could

1 engineer the surfaces emitted from waves or
2 biological surfaces and physical surfaces. I'm
3 not sure what that means exactly in the context of
4 model development.

5 But again, it's really their properties
6 and functional endpoints, phenotypes, that we
7 monitor and we care about. Yes.

8 DR. ELKINS: One specific one. In
9 certain 2-D culture systems, like lung epithelial,
10 the cells survive for months to years with
11 maintenance, how long can cells survive in the
12 multi-channel microfluidic plates?

13 DR. HUH: Yes. So, the longest we've
14 done in lung models, some of the lung models we
15 have was 2 1/2 months, and we didn't have a good
16 reason to continue. But, yes, those experiments
17 or duration of experiments really depends on the
18 goal or the question you're asking.

19 So if the question involves lengthy
20 culture, typically, somewhere between one and two
21 months is considered pretty good, and pretty good
22 long-term culture.

1 But going beyond that can be
2 challenging. That's another half-hour lecture
3 discussion.

4 DR. ELKINS: And for the final half-hour
5 lecture potential, I'll bundle together several
6 questions that are interested in your thoughts on
7 the prospects for a whole body system, putting it
8 all together.

9 DR. HUH: Yes. So the whole body
10 body-on-a-chip as many of you know, actually,
11 there are pioneers actually in this area. It's
12 still an active area of investigation.

13 Again, just to limit my answer to what
14 we've done in my lab, we haven't had -- I mean,
15 there are many, many interesting questions we
16 could investigate using whole body on a chip
17 systems, but our interests so far have focused
18 mainly on kind of single organ tissue systems.

19 And the questions involving single
20 tissue types or single organ systems. And so,
21 but, just know that it can actually build
22 multi-organ systems connecting fluid. It could be

1 connecting or somehow you can figure out ways to
2 allow these chips to communicate with each other
3 so you can actually build these separate organ
4 chips fluidically or somehow connect them to whole
5 body physiology, which will be very, very
6 important for drug testing studies and so on.

7 Yes. But there are people actively
8 working on that idea in the field. Yes.

9 DR. ELKINS: Thank you very much.

10 DR. HUH: All right. Thank you.

11 DR. ATREYA: Without further ado, please
12 invite the keynote speaker today, Dr. Balu-Iyer.

13 DR. BALU-IYER: Thank you. So I get
14 five more minutes? So thank you very much for
15 this opportunity. It's indeed an honor to be
16 here. So can you hear me? Can you hear me now if
17 I move out of the podium? Don't me if I work for
18 a cell phone company or something.

19 So, what I'm going to share with you
20 today are the lessons -- is it turned on or
21 something? Oh, okay.

22 Okay, thank you very much for this

1 opportunity. What I am going to share with you
2 today are the lessons we are learning about
3 immunogenicity of biologics. In particular,
4 prediction and mitigation of immunogenicity of
5 protein based therapies.

6 So, just a disclaimer. So I am going to
7 talk for about 40 minutes. Now you know exactly
8 when the start of my talk would end, that, too,
9 after the impressive talk of the first speaker.

10 So if I don't stop, I'm sure CD and Dan
11 will come to your rescue.

12 Immunogenicity in the context of protein
13 based therapeutics refers to unwanted immune
14 responses. In the clinic, it manifests as
15 anti-drug antibody response, such as ADA.

16 For example, 1/3 of severe hemophilic
17 patients who received Factor VIII develop
18 anti-Factor VIII antibody. And this kind of
19 antibody response can impact efficacy, both
20 directly and indirectly, directly to the formation
21 of neutralizing antibodies.

22 These neutralizing antibodies are the

1 antibodies that recognize the regions and the
2 protein that have critical for biological
3 activity, so impacting directly pharmacodynamics
4 and efficacy.

5 For example, ADA response against the
6 antigen binding region of the molecular based
7 drugs can inhibit its binding to the target
8 antigen, impacting efficacy directly.

9 ADA can impact efficacy indirectly as
10 well by through the formation of binding
11 antibodies. These binding antibodies, R3PK,
12 generally, they increase the clearance and reduce
13 the drug exposure.

14 So, immunogenicity and ADA response
15 should be considered in the context of clinical
16 pharmacology and pharmacokinetics and
17 pharmacodynamics aspects as well.

18 Sorry about this. So a couple of -- a
19 couple of examples of how ADA response can impact
20 pharmacokinetics and pharmacodynamics. So
21 rheumatoid arthritis patients who receive
22 adalimumab developed anti-rheum antibody. In the

1 ADA positive patients, the efficacy is lost as
2 measured by the pain scale.

3 So the next example is anti-PCSK
4 antibody. During the clinical trials, several
5 patients developed antibody against this drug that
6 increased the clearance of the protein and reduced
7 the drug exposure.

8 So, this immunogenicity can be a
9 significant issue that can lead to a major
10 contributor of discontinuing drug development
11 process. That too, if it happens in the later
12 stages of the clinical trial, the clinical sort of
13 financial impact could be very significant.

14 So addressing the issue of
15 immunogenicity during drug discovery and drug
16 design and pre-clinical trials should be very
17 useful in cost effective manner.

18 So, you know the best in this world that
19 the incidence of immunogenicity is reported under
20 Section 6.2 of the package insert.

21 In 2016, analysis by Food and Drug
22 Administration scientists, they looked at the

1 post-marketing analysis of 121 clinically used
2 therapeutic proteins, and they found out that 108
3 of them reported incidents of immunogenicity in
4 their package insert.

5 And many of them impacted efficacy in
6 PK. So overall, the ADA impacts safety and
7 efficacy of significant number of therapeutic
8 proteins.

9 So, the mechanism of immune response
10 against therapeutic proteins, this is the
11 schematic that shows that, in a piece of dependent
12 process, upon administration of therapeutic
13 proteins, antigen presenting cells suggest cells
14 take up the protein that process them and process
15 them into bits and pieces as we all know,
16 epitopes, and present it to the T-cells in the
17 context of major histocompatibility complex of
18 human leukocyte antigen.

19 And with the help of [inaudible 57:33]
20 these cells get activated and activated T cells
21 differentiate the B cells into memory B cells and
22 plasma cells and plasma cells secrete the antibody

1 against the administered drug.

2 There are several factors that can
3 influence immunogenicity of therapeutic proteins,
4 and they are classified as patient related,
5 product related, and treatment related
6 characteristics.

7 So first is patient related
8 characteristics. This HLA, it's a human leukocyte
9 antigen, is a highly polymeric protein, different
10 [inaudible 58:13]. So depending on their HLA
11 genotype, individuals vary in variability to mount
12 an immune response.

13 The second patient related factor is the
14 immune status of the patient. So autoimmune
15 patients due to their overactive immune system
16 respond more than the immunocompromised patients.

17 There are several product related
18 factors impact immunogenicity of therapeutic
19 proteins. So primary sequences containing
20 epitopes that are recognized by HLA increases the
21 incidence of immunogenicity.

22 As we all know, proteins are expressed

1 in subculture systems, so expressing systems based
2 in purity generally increase the incidence of
3 immune response.

4 And a related topic is the
5 glycosylation, which is a post-translational
6 modification. And glycosylation has been linked
7 to several plasma survival and immunogenicity of
8 therapeutic proteins.

9 In this particular case, lack of
10 glycosylation increased the incidence of the
11 immunogenicity compared to the glycosylated
12 version of the drug.

13 The next one is speculation, which is a
14 covert modification that's attaching the peg to
15 the therapeutic protein to see benefits in the PK
16 properties, and there is a link between pegylation
17 and immunogenicity.

18 One of the common, most important, most
19 important product related factor that impacts the
20 immunogenicity is aggregation. The link between
21 aggregation and immunogenicity have long been
22 known. However, there are different types of

1 aggregates that have been recognized, and they
2 vary in their size, confirmation, morphology, et
3 cetera, and our understanding of type and amount
4 of aggregates present in the protein formulation
5 impacting immunogenicity is expanding.

6 The next factors influencing
7 immunogenicity is treatment related factors. So
8 for example, prolonged treatment increases the
9 immunogenicity incidents. For example, in this
10 case, long term use of adalimumab in RA patients
11 increased the incidence of immunogenicity to about
12 28 percent of the patients. This was really a use
13 of two years.

14 And the next important treatment related
15 factor is route of administration. So based on
16 extensive experience with vaccines, subcutaneous
17 route of administration is expected to be more
18 immunogenic than intravenous route.

19 Due to current significant interest on
20 this topic, I am going to expand on subcutaneous
21 route of administration and immunogenicity of
22 therapeutic proteins.

1 So subcutaneous route of administration
2 is desired over intravenous route because patients
3 can self-administer at home avoiding a trip to
4 healthcare set up. So it is cost effective and
5 convenient. Because of this, compliance is very
6 high.

7 Depending on specific protein examples,
8 it can also have additional benefit that is
9 switching from IV to subcutaneous administration can
10 also have additional benefits.

11 However, the subcutaneous route of
12 administration is challenging due to three
13 interrelated pharmaceutical issues that has
14 formulation of pharmacokinetics and
15 immunogenicity.

16 For example, in formulation, due to the
17 limited injection volume because of the
18 subcutaneous delivery and also high therapeutic
19 formulations, for example, molecular antibodies,
20 leads to some stability issues and also some
21 physical chemical properties of the protein
22 solution.

1 So upon administration of therapeutic
2 proteins, generally it leads to incomplete
3 bioavailability. That is a pharmacokinetics
4 issue. And then third is immunogenicity, as I
5 mentioned to you before, that based on extensive
6 experience with vaccines, that subcutaneous route
7 of administration is expected to be more
8 immunogenic than IV route of administration.

9 So, head to head comparison of, in a
10 clinical trial, of clinical ADA response following
11 subcutaneous and IV route of administration was
12 performed for Orencia, which is an (inaudible)
13 CTLA where they found out that the efficacy and
14 immunogenicity are comparable between these two
15 routes of administration.

16 There are few pre-clinical studies have
17 also support the notion that subcutaneous route of
18 administration does not increase immunogenicity.

19 This brings us to an important question.
20 Is that the generalization that subcutaneous route
21 is more immunogenic than IV route is still
22 universally valid if the therapeutic proteins is

1 given in the absence of (inaudible).

2 So if you flip the other side of the
3 coin, there are several pre-clinical and clinical
4 observations that support the notion that SC route
5 of administration is more immunogenic.

6 For example, in the table we compiled
7 for the clinical trials comparing ADA incidence
8 between subcue and IV route of administration, the
9 subcue route of administration did increase the
10 incidence of immunogenicity.

11 This brings us to a conundrum, that both
12 pre-clinical, the clinical and pre-clinical
13 studies both support and refute the notion that
14 subcue route of administration is more
15 immunogenic.

16 Irrespective of whether subcutaneous
17 route of administration is more immunogenic or
18 not, understanding what drives the subcutaneous
19 immunogenicity is useful to design safer
20 biologics.

21 From this, we need to have a mechanistic
22 understanding of what drives subcutaneous

1 immunogenicity. However, this topic is not
2 complete. So what we did was, we attempted to
3 propose a model similar in molecule model, what
4 drives the subcutaneous immunogenicity of
5 therapeutic proteins using (inaudible) for using
6 vaccine (inaudible) where the control experiments
7 were done in the absence of (inaudible), and our
8 own studies, and also others in this process.

9 We tried to formulate a mechanism of
10 what drives subcutaneous immunogenicity. First
11 question is asked of ourselves, is there any
12 difference in the antigen presenting cells of the
13 protein of product process of the protein, is
14 there any difference between subcutaneous route
15 and the IV route of administration?

16 So some elegant studies done in late
17 '90s and 2000, they used the molecular antibodies
18 that binds to MXC (inaudible) and MXC2 complex.
19 What it allowed them to do is to track the fate of
20 the antigens and the cells that produce them
21 following different route of administration.

22 Following intravenous route of

1 administration, antigen and specific B cells
2 rapidly take up the protein and present it in the
3 spleen within four hours of administration.

4 This is followed by presentation by
5 dendritic cells after 24 hours. After this, it's
6 just a numbers game, because antigen and specific
7 B cells outnumber the dendritic cells in the
8 spleen, so it is safe to assume that antigen and
9 specific B cells in the spleen are the primary
10 antigen presenting cells following intravenous
11 route of administration.

12 In contrast, dendritic cells produce the
13 protein antigen to the T cells in the lymph node
14 following subcutaneous route of administration. So, the
15 dendritic cell, we all know is the primary
16 initiator of T cells. So early on, the
17 subcutaneous immunogenicity may be driven by
18 neurological exposure to very active dendritic
19 cells.

20 The anatomy of the skin and subcutaneous space
21 contribute to this immunological exposure. So,
22 just a primer on the anatomy of the subcutaneous space.

1 So the most superficial layer is
2 epidermis, and the layer beneath this is dermis,
3 and the layer below that is hypodermis, or
4 subcutaneous space.

5 So the similar component of the
6 subcutaneous space is made of (inaudible)
7 fibroblasts, and macrophages, mostly. And then
8 the fibroblasts intersects the competence of
9 extracellular matrix that's collagen and
10 hyaluronic acid.

11 We all know this extracellular matrix is
12 a barrier to the subcutaneous delivery of
13 therapeutic proteins.

14 In terms of immune cells that constitute
15 the subcutaneous space, langerhans cells,
16 dendritic cells, a type of dendritic cells,
17 resides in the epidermis, and then there is a
18 dermis resident dendritic cell that is found in
19 the next layer.

20 So then you might wonder, the passing of
21 the protein in the subcutaneous space, how does it
22 increase the immunological exposure to these

1 dendritic cells? Because this exposure is
2 important for the intensity of the adapt to
3 response because it has to present it to the T
4 cells in the local lymph nodes.

5 So under the set of experiments that was
6 done using (inaudible) antigen, then we could
7 follow peptide and MHC2 complexes, like monoclonal
8 antibodies approach what they used before, they
9 found out that two waves of antigen presentation
10 and processing of first following subcutaneous
11 route of administration.

12 The first wave of presentation that the
13 protein presented itself after depositing it here,
14 it exposes itself to the lymph node resident
15 dendritic cells. So how did they find their way
16 from here to here? It's PK, pharmacokinetics and
17 biodistribution.

18 We all know the monocular wave dependent
19 of PK and lymphatic uptake is well known. And
20 this kind of presentation, this kind of
21 presentation occurs within a few hours of
22 therapeutic protein administration.

1 And then second wave of presentation is
2 mediated by the migration of these cutaneous
3 dendritic cells. They migrate from this space to
4 this space and then carry the protein to the local
5 lymph node.

6 The cellular and molecular mechanism of
7 this particular migration of cutaneous dendritic
8 cells is well characterized, and I would like to
9 highlight three steps in this particular process.

10 The first step is that upon
11 administration of the therapeutic protein, it
12 increases the chemokine receptor expression, such
13 as CXCR 4 on the dendritic cell.

14 The second step upon administration of
15 therapeutic protein, it triggers the production of
16 pro inflammatory cytokines, and that increases, or
17 regulates the production that in turn increases
18 the number of lymphatic vessels.

19 And then third step in this process,
20 these lymphatic vessels secrete ligands for these
21 chemokine receptors.

22 So basically, what drives the migration

1 of the dendritic cell is nothing but the receptor
2 ligand interaction that drives the migration of
3 this dendritic cell.

4 So overall, what drives the subcutaneous
5 route of immunogenicity of subcutaneous route of
6 administration is that immunological exposure to
7 dendritic cells and their migration.

8 This particular cartoon that shows the
9 two waves of presentation, for example, upon
10 therapeutic protein administration, they find that
11 they are rare and present it to the local lymph
12 nodes that is driven by biodistribution of the
13 therapeutic proteins.

14 And the second wave is that upon
15 administration of the therapeutic protein in a
16 subcutaneous space, these dendritic cells migrate and
17 then they travel and present it to the local lymph
18 nodes and then to the T cells in the local lymph
19 nodes.

20 So overall, what drives the subcutaneous
21 route of administration, immunogenicity of this,
22 is the immunological exposure to dendritic cell

1 and its ability to migrate.

2 So this mechanistic understanding, we
3 used it for two purposes. The first one, we used
4 it to develop an actual risk assessment tool to
5 predict clinical immunogenicity, and the second
6 one is the rational development of innovative
7 mitigation strategies.

8 So could these two purposes be used this
9 mechanistic understanding? The first one is risk
10 assessment tool of clinical immunogenicity.

11 Some might ask, why do we need an actual
12 clinical immunogenicity prediction? So, for
13 example, if it is a high to good screening, and
14 validated method, it could be useful in a high
15 throughput manner, to screen for compounds and
16 develop less immunogenic, safer version of the
17 protein drugs.

18 And then the second one, it can also
19 align with FDA Modernization Act that it can act
20 as an animal trial alternate for rapid screening
21 of this.

22 And then, third reason, as I mentioned

1 to you before, percent of the clinically used
2 therapeutic protein showed
3 incidence of immunogenicity -- reported
4 incidents of immunogenicity in the package insert.

5 That raises an important question. Is
6 there any gap in our understanding of pre-clinical
7 screening, of pre-clinical development, and a
8 clinical correlation? Is there any gap that
9 because it's not sufficient enough to predict
10 clinical immunogenicity? So what are all of our
11 current tools to predict a screen for this
12 compound during the pre-clinical stages? It's the
13 in silico tools.

14 There are routine screenings for
15 lenience epitopes. But when it comes to the
16 confirmational epitopes, they did not predict
17 well.

18 The second unnatural amino acids
19 containing peptide and protein drugs, and chemical
20 instabilities, and also it doesn't have any
21 impurities in the formulation that can be
22 predicted by in silico methods.

1 So there are some issues with that. And
2 then any diesel based acids require a prolonged
3 culturing conditions and a very high concentration
4 of the protein to make a meaningful conclusion.

5 And then they used the skin models and
6 skin explants. For example, the major problem
7 with these things is that it doesn't have an
8 immune cell that's irrelevant. So immune response,
9 you measure with not relevant immune cells.

10 Second thing is maintaining the
11 integrity of the skin explant is very difficult in
12 an explant system, so it maintains its prediction
13 by these methods may be limited.

14 So, then use of animal models, that's
15 generally done. That is generally done because,
16 for example, the relative immunogenicity of many
17 therapeutic proteins, you can compare, they can
18 provide. So use of most models are very useful
19 because it's cheaper to do it, you can manipulate
20 them to get transgenic mice, and also, to say,
21 very controlled background is raise so that the
22 varied routine response will be too tight. Then

1 it may be beneficial to it.

2 However, when it comes to predicting the
3 incidence of immunogenicity, that too for new
4 biologics, there are limitations. It cannot
5 predict very well.

6 Because evolutionary pressure,
7 evolutionary pressure on a species that sniffs the
8 ground and a vertical species like us, there's so
9 much of difference.

10 Mice are not humans. We do not have a
11 tail. So humans are not mice, but humanization of
12 mice is very successful.

13 But humanization of rodents could go the
14 other way, too. So irrespective of whether its
15 ability to predict, ability to predict immune
16 responses is limited.

17 So we use this current understanding of
18 what drives the subcutaneous immunogenicity, what
19 drives the (inaudible). We boil that down into
20 three immunological readouts.

21 So the first readout is the migratory
22 phenotype, this expression of CXCR 4 on dendritic

1 cells. Combined that with an activation phenotype
2 that's percentage of (inaudible) producing
3 dendritic cells. And the third one, we combined
4 this with a direct migratory potential that is
5 number of dendritic cells migrating using a
6 transfer assay.

7 So this transfer assay is that outer
8 chamber. We put the therapeutic protein in the
9 presence of chemokine ligands. And in that
10 chamber, we grow dendritic cells from donors that
11 can capture patient readability in a number of
12 cells migrating from inner chamber to the outer
13 chamber used to calculate percentage migration.
14 And then that is extended to migration index.

15 And we combined these immune readouts to
16 validate an approach whether these three readouts
17 can predict the clinical immunogenicity incidents.
18 As you go from top to bottom, it increases the
19 incidence of clinical immunogenicity.

20 So what we found out was that CXCR 4 is
21 very sensitive to the immunogenic potential, and
22 then that matches with the expression of CD 40 and

1 interlocking to all expression.

2 But this is not enough to correlate
3 pre-clinical and clinical immunogenicity.
4 However, when they combined that with the
5 migration potential and number of cells migrated,
6 the correlation between pre-clinical
7 immunogenicity and clinical immunogenicity, the
8 correlation was very high.

9 So as you can see that it predicted
10 immunogenicity of this therapeutic proteins very
11 well from low, moderate, and high immunogenic
12 response.

13 So the next topic, so the advantages of
14 this ex vivo model is that it is mechanism based
15 and sensitive, that can capture early steps in the
16 antigen presentation and processing, and also it
17 can give you an innate and adaptable connectivity
18 that is missing in somewhat in all these
19 pre-clinical tools.

20 Then it could be done in a 96-volt
21 plate, so it's high proof and cost effective. And
22 importantly, it's not influenced by the mechanism

1 drug action, because there's no direct exposure.
2 So anti (inaudible) drugs and T-cell engages that
3 immunogenicity could be predicted.

4 It captures patient variability. It can
5 screen for impurities and aggregates. And also,
6 it can be combined with other screening tools as I
7 mentioned before to get a complete picture of what
8 drives immunogenicity of therapeutic protein in
9 some of our outstanding studies that came from FDA
10 will be incredibly useful in this regard.

11 So the next application, what basic
12 understanding of what drives the subcutaneous
13 immunogenicity, we use for the purpose of
14 mitigation strategy.

15 As you know, an important step in this
16 process is migration of dendritic cells. So any
17 process that reversed the migration would be an
18 immunogenic contributor. So avoiding that would
19 be helpful.

20 So any inflammation that causes this,
21 the cleaning of the product free of impurities,
22 aggregates, and (inaudible) contaminants would

1 reduce the migration.

2 At the molecular level, this migration
3 is accompanied by similar -- sorry, molecular
4 infraction between receptor and the ligand.

5 So any process that interferes with this
6 process, take a small molecule formulation
7 excipient, that binds to CXCR 4, that prevents the
8 migration of the dendritic cells would be a good
9 approach.

10 But our approach has been using the
11 challenge as an opportunity. The challenge of
12 immunological exposure to dendritic cell and
13 migration has also presented us with an
14 opportunity.

15 For example, if you expose the
16 therapeutic protein to the mature dendritic cells
17 in a tolerogenic context, it can convert this cell
18 into a tolerogenic phenotype and then it can
19 produce regulatory T-cells that can reduce the
20 immunogenicity of therapeutic proteins, whereas if
21 you present them in immunogenetic context, it will
22 increase the effective T-cell population.

1 So, for this purpose, we want to harness
2 the biological properties of lipids. Why the
3 focus on lipids? We think that lipids are
4 superheroes. So to this structure of lipids, we
5 add the Superman costume so that it can save the
6 world.

7 It did, right? In the case of COVID
8 vaccine, this lipid nanoparticle delivered the
9 modern vaccine for COVID vaccine that saved
10 millions of lives. Because of that, this is our
11 lab logo.

12 So when harnessing the biological
13 function of phospholipids, it gave us tips. So
14 the word tips means different things to different
15 people.

16 For the restaurant employee who is
17 waiting at the table, tips mean something to him.
18 A laboratory scientist, bench scientist, tips mean
19 something else to her. We always use helpful tips
20 to even solve all our day-to-day tasks.

21 But for the next few minutes, tips means
22 tolerance inducing phosphorite seeding. This

1 harnesses the biological function, novel
2 biological function, of phosphorite seeding in
3 that it can convert an immunogenetic tolerogen.

4 In harnessing this property, we double
5 up immunotherapy tolerance platform with broad
6 clinical potential. And in this talk, I want to
7 talk about to prevent a reduced immunogenicity of
8 therapeutic proteins.

9 So what is? So phosphatidylserine is an
10 anionic phospholipid that is present in the inner
11 leaf flap of a living cell. When the cell dies,
12 it flips to the outer leaf flap. This
13 externalization of PS sends an "eat me" signal to
14 the phagocytic cells.

15 The phagocytic cells take up this cell
16 debris exposing phosphoric seeding and clear them
17 with no immunological consequence.

18 So generally, a (inaudible) process was
19 always thought to be an immunologically silent
20 event where the externalization of PS mediates
21 that the immune system to ignore the cell debris
22 to prevent immune responses and a wide

1 autoimmunity.

2 However, the lessons we are learning by
3 using phosphatidylserine to reduce immunogenicity
4 is that it is not an immunologically silent
5 process.

6 If PS get exposed and it is taken up by
7 the immune cells, it teaches the immune cells to
8 tolerate the antigen. So it is not an
9 immunological inherence, where it actively teaches
10 the immune system to tolerate it.

11 So based on this, our hypothesis was
12 refined so that PS is not just a clean up crew,
13 but a well-meaning teacher.

14 So, if phosphatidylserine induced
15 tolerance to therapeutic protein, pre-exposure of
16 the protein in the presence of phosphatidylserine
17 will desensitize the immune system.

18 When you rechallenge them with a free
19 form protein, it should not note a response. So
20 this experimental design was based on this one.

21 So we pre-exposed the therapeutic
22 proteins in the hemophilia mice with the Factor

1 VIII and the Factor VIII in the presence of
2 various phospholipids.

3 For example, phosphatidylserine and a
4 charge matched to phosphoryl glycerol as a
5 control. We also added another treatment group
6 that is Factor VIII dexamethasone.

7 So as you then, after pre-exposure, two
8 weeks wash up period, and all these animals were
9 re-challenged with pre forma Factor VIII. And two
10 weeks later, we collected the blood and measured
11 the anti Factor VIII neutralizing antibody titers.

12 As you can see, the animals that were
13 pre-exposed to Factor VIII PS show significantly
14 lower antibody types compared to another treatment
15 groups.

16 I would like to bring up the comparison
17 between Factor VIII PS and Factor VIII
18 dexamethasone group. Both of them show the
19 reduction in the titers during the pre-exposure
20 period, but only PS treated group still remembered
21 the antigen building, the re-challenge period, not
22 to mount the response, whereas dexamethasone did.

1 So this pilot shows that the PS is not
2 just an immune suppression but it actively teaches
3 the immune system to tolerate Factor VIII.
4 Immunological ignorance cannot explain this.

5 So this is the first to our knowledge,
6 the first direct evidence that phosphatidylserine
7 has the ability to induce tolerance towards an
8 antigen.

9 So, this PS media to the fact, we found
10 to be antigen specific. When Factor VIII treated
11 mice were re-challenged with non-crossed reactive
12 antigen trivalent, the animals responded
13 normally.

14 And we believe that this antigen
15 specificity could be coming from regulatory
16 T-cells. Three different experiments supported
17 this role of regulatory T-cells in this process.
18 For example, adoptive plants of regulatory T-cells
19 from unimmunized mice to mice. When this recipient
20 mice was re-challenged with Factor VIII, these
21 animals also showed hyporesponsiveness.

22 At the molecular level, we found out

1 this antigen is a (inaudible) is driven by TFG
2 beta and one of the PS receptor called TIM-4
3 receptor.

4 So at pre-administration of an
5 anti-TIM-4 function blocking antibody reversed the
6 PS media to the fact, clearly showing that the
7 role of TIM-4 antibody in this process.

8 So overall, if you expose any antigen to
9 phosphatidylserine, in the presence of
10 phosphatidylserine to mature dendritic cell, it
11 innovates this maturation, and then with the help
12 of TGF beta, these tolerogenic dendritic cells
13 produce regulatory T-cells and that can block the
14 B cells.

15 So harnessing this particular --
16 harnessing this particular understanding of how PS
17 work, we tried to develop an immunotherapy
18 platform, tolerance platform.

19 So, just a couple of highlights about
20 TIM-4 receptor. So out of 13 different PS
21 receptors, TIM-4 is specifically expressed on
22 antigen-presenting cells, engaged in the integrity

1 pathway for their intracellular signaling to
2 induce tolerance.

3 And an additional interesting study that
4 showed that TIM-4's receptor is sensitive to the
5 PS surface charge density.

6 That means TIM-4 receptor, this
7 tolerance inducing receptor, engages only involve
8 if the cell debris exposed phosphatidylserine
9 above a threshold limit.

10 So based on that, our working hypothesis
11 became that increasing surface charge density will
12 increase the tolerogenic potential by engaging the
13 TIM-4 receptor.

14 For this to design a platform, an
15 antiparticle platform, or a T-reg adjuvant, then
16 we need to understand the structure/function
17 relationship of phosphatidylserine.

18 So what is the structure of
19 phosphatidylserine? It has a polar head group
20 that is O phosphatidylserine. It is connected to
21 the acyl chain through a glycerol backbone. And
22 structural variations can include two or one acyl

1 chain. If it's one acyl chain, it's called
2 lisophosphatidylserine. And the number of carbon
3 atoms in the acyl chain, a number of double bonds
4 in the acyl chain, would give structural
5 variation.

6 In the interest of time, I'm going to
7 talk only about O phosphatidylserine, the head
8 group of phosphatidylserine that is the minimum
9 structural requirement for engaging the TIM-4
10 receptor that will affect the T-reg adjuvant.

11 So we investigated the use of OPLS in
12 reducing the immunogenicity gains to several
13 molecular antibodies in the presence of volume
14 expanders, like hyaluronidase.

15 As I mentioned, the hyaluronidase break
16 down the hyaluronic acid. It allows larger volume
17 of therapeutic protein could be injected. But it
18 recently showed that it increased the incidence of
19 immunogenicity with molecular antibodies. So we
20 wanted to check whether it can reduce the
21 immunogenicity for cantuzumab, altumomab, and
22 rituximab in the presence and the absence of

1 hyaluronidase.

2 As you can see, the OPLS treated animals
3 showed significantly lower ADA titers against the
4 protein and also reduced against the volume
5 expanders as well.

6 That correlated with a reduction in the
7 plasma cells and also showed increase in the
8 effective T-cells and also -- but surprisingly, it
9 did not increase the exhaustion marker, but did
10 increase the production of regulatory T-cells such
11 as lag-positive T-cells that correlated with
12 (inaudible) experiments that showed that increase
13 in the regulatory T-cells.

14 So mechanism is that if you inject the
15 protein in the presence of O phosphatidylserine it
16 can expose it to therapeutic protein to the
17 dendritic cells in a tolerate context that can
18 produce regulatory T-cells that can reduce the
19 antibody levels.

20 So summarizing my talk, sorry, probably
21 I went a little bit overboard, but that
22 immunogenicity in the context of protein

1 therapeutics is unwanted immune response that
2 manifests as ADA response that impacts efficacy.

3 There are several factors that influence
4 the immunogenicity and subcutaneous route of
5 administration is an important treatment related
6 factors, and readout of the proposed mechanistic
7 understanding of mechanism of what drives
8 subcutaneous immunogenicity.

9 And we used it for two purposes, to
10 develop risk assessment, somewhat validate it.
11 Still it's a process, ongoing process. And
12 rational development of innovative strategies.

13 And then with this, I would like to
14 thank funding agency, National Institute of
15 Health, supporting this research, and taxpayers
16 like you, and the hardworking students at
17 facilities, collaborators, and mentors. This is
18 my disclosure statement.

19 Thank you. I will be happy to answer
20 any questions you have.

21 DR. MAZOR: Hi, I'm Ronit Mazor from the
22 Office of Gene Therapy. Thank you for the

1 presentation. It was very interesting. Can you
2 hear me?

3 DR. BALU-IYER: Yes, I can hear you.

4 DR. MAZOR: Okay. So I was very
5 intrigued by the mechanism of how the
6 phosphatidylserine induces tolerance. I'm
7 thinking about a lot of therapeutic proteins whose
8 mechanism of action is a apoptosis and those are
9 still immunogenic, thinking about a lot of cells
10 that are undergoing apoptosis and we're still
11 seeing immunogenicity when we have other proteins
12 in there.

13 So can you elaborate about the mechanism
14 and why you're convinced it's that and not the
15 nanoparticle that have tropism to immune cells?

16 DR. BALU-IYER: Okay, that's a great
17 question. Because the other things, they don't
18 have phosphatidylserine in them. So I strongly
19 believe in the phospholipid. It's a superhero.

20 I think it is adding phosphatidylserine
21 using antigen specificity. Antigen themselves may
22 not be able to achieve this. People tried

1 exosomes and exosomes also expose phosphoryl
2 feeding that could be used.

3 So apoptosis exposure to
4 phosphatidylserine that too in the apoptotic
5 context is only tolerance inducing. There are
6 other ways of PS exposure happen in many other
7 ways.

8 That's where we started doing the
9 structure/function relationship. And then there
10 are certain biophysical characteristics and
11 structural requirements for an antigen specific
12 tolerance.

13 If you look at the -- there are 13
14 different receptors. So if there is not PS
15 exposure at setting threshold limit, other 12
16 receptors that take the PS exposing cell debris or
17 anything, and they clear them with no
18 immunological consequence.

19 But coming back to a question, that's an
20 interesting approach that induce apoptosis with
21 therapeutic proteins. And then if therapeutic
22 proteins found its way to the cell memories,

1 exposing phosphatidylserine, ensure that that will
2 also induce tolerance.

3 In my opinion, they are not becoming
4 part of the cell debris. That's my understanding.
5 As I told you, most of the time, I'm wrong.

6 DR. MAZOR: Thank you. So if I
7 understand your thought, you would assume that
8 anti-cancer therapeutic agents that work through
9 apoptosis should be less immunogenic than one that
10 works in other mechanisms of action?

11 DR. BALU-IYER: It should be. But
12 problem with getting this kind of disease
13 situation that they are used for a very short
14 time, and also getting immunogenicity for a
15 chronic condition is very nicely explored.

16 But for shorter duration, it's very
17 challenging. But it could, as I told you. It
18 becomes a part of apoptotic bodies or exosomes by
19 some means, it could produce tolerance.

20 But already, the patients are immune
21 compromised. Normally, they tend to respond less.
22 So these are all multiple things. Probably, I did

1 not answer your question. I'm sorry if I did not.

2 DR. MAZOR: Thank you.

3 DR. BALU-IYER: Thank you.

4 DR. ATREYA: Thank you for a wonderful
5 talk, as always. I was looking at the model, in
6 your model, one of the things that's missing for
7 subcutaneous is the stroma cells, right? How do
8 those figure in and how during periods that might
9 trigger the stroma models, how do you take those
10 into consideration?

11 DR. BALU-IYER: That's a fantastic
12 question. Because we need an immunological
13 trigger in this model. That's one that triggers
14 it.

15 Now as you look at it, the system is
16 very, very simple. It lacks many components of
17 subcue space. So we created what was lacking in
18 the subcue space. That's one use of immunological
19 triggers, stroma cells.

20 Let me add a little bit of a trigger
21 mechanism, like LPS in the system, so that it is
22 not enough to mature the dendritic cells but it is

1 enough to produce the trigger.

2 DR. ATREYA: That would make it -- so we
3 know that different KR ligands or OPR ligands can
4 synergize. So how does that figure into your
5 model if you're already spiking it with LPS? You
6 may be exaggerating some of the --

7 DR. BALU-IYER: Yes, that's the way I
8 think it requires more validation. That's the
9 correct point. I do agree.

10 But we did so much of LPS concentration
11 optimization. That's what the advantage is. Last
12 one, I did not mention, is the disadvantage.
13 There's quite a lot of validation. But we did
14 this.

15 So majority of the time, it did not
16 increase the immunogenic potential of it, but it
17 requests more validation. That's what we are
18 expanding, or getting more therapeutic proteins
19 that showed clinical immunogenicity and cross
20 validate it.

21 But it can build into some kind of
22 immunogenicity database. That's what we are

1 trying to do.

2 DR. ATREYA: Thank you. So another
3 question that I have, that other people have too,
4 is that you centered on proteins and not peptides.
5 Have you looked at peptides and whether your model
6 can predict immunogenicity with those?

7 DR. BALU-IYER: That's a great question,
8 and some of these things we just started. It's
9 not ready for prime time.

10 That is unnatural amino acids containing
11 peptides. We are working on it in collaboration
12 with some pharma companies and the Center for
13 Protein Therapeutics.

14 And it does predict, but we have to
15 repeat it a few times to make sure it is kind of
16 reliable.

17 DR. ATREYA: Okay, and one last
18 question. So, in your model, you think that's it
19 PS that in? And then I was thinking back to the
20 GLP1 simulators and the ones that are least
21 immunogenic have fatty acids but not PS. So how
22 much impact of fatty acid in your tolerance, do

1 you think? Because you think it's more the head,
2 right?

3 DR. BALU-IYER: That's an interesting
4 question, and I can talk for another 30 minutes on
5 it. But the thing is, what happens is, if you
6 look at this, we did some bioengineering type of
7 thing.

8 If you look at the assay, it is very
9 important. That's what I carefully mentioned,
10 that it is the minimal structural requirement for
11 receptor binding.

12 But if you look at the
13 phosphatidylserine shape, when you reduce them
14 from two acyl chain to one acyl chain, the shape
15 changes from a cylinder to a cone shaped
16 structure.

17 So when you have a cylinder, how you
18 pack the matches in a matchbox, they pack really
19 well. But if you put a cone in a PC bilayer, a
20 phosphocholine bilayer that is cylindrical, they
21 don't pack very well. They just increase the
22 curvature.

1 The curvating flips. More and more PS
2 comes out from the inner leaf flap to the outer
3 leaf flap, and that's where we achieve the PS
4 exposure above a threshold limit.

5 So to answer your question, acyl chain
6 one, acyl chain and one and saturation does the
7 trick. The others did not. I can share the data
8 with you.

9 It need to be in writing, manuscript,
10 but I'll be happy to share the data with you.

11 DR. ATREYA: Thank you very much.

12 DR. BALU-IYER: Thank you.

13 DR. ZHOVMER: Hello, Alex. I'd like to
14 extend the question that Ronit asked about tumor
15 cells. I think of it about tumor cells. They are
16 making the cells (inaudible) against the tumor new
17 antigens and interfering with tumor cells may
18 actually stimulate renewed response.

19 DR. BALU-IYER: That is a good one. I
20 forgot to answer a question. Nice that you
21 brought it up. So we looked at how tumor evades
22 the immune system, okay?

1 The same thing, parasites, how they
2 evade the immune system. They all do it by
3 exposing or releasing exosomes containing
4 self-antigens.

5 One commonality of all these things is
6 the exposure of PS. So PS, phosphatidylserine,
7 and then this phosphatidylserine based immune
8 tolerance, like how parasites evade the immune
9 system.

10 And we published a paper on tumor, how
11 tumor evades the immune system by giving out
12 exosomes, exposing phosphatidylserine containing
13 tumor antigens. That's how they evade the immune
14 system.

15 Because of that data set, regular tumor
16 enrollment happened in the microvicinity of the
17 tumor cells. That's just an answer to your
18 question, too.

19 So it does. It can happen. So in order
20 to do that, we flip the other side of this
21 technology. What we have is called Exoblock
22 (phonetic). So we have an anti-PS antibodies in

1 small molecules to block the PS in the tumor
2 vicinity to have -- it's like developing that as
3 an amino therapy.

4 DR. ZHOVNER: Thank you.

5 DR. ELKINS: In the interest of time,
6 one online question. Factor VIII find
7 phosphatidylserine on platelets, is the
8 phosphatidylserine plus Factor VIII still
9 functional?

10 DR. BALU-IYER: Yes, it is functional.
11 It's a very specific question, yes. It does. And
12 we did the activity assay PTT and then intercell
13 that is in vivo assay with the hemophilia mice and
14 hemophilia dogs. Everywhere, it's found to be
15 very active.

16 But the interesting thing is that
17 phosphatidylserine stabilizes the activated form
18 of Factor VIII, which is thrombin, which is three
19 times more potent than the regular Factor VIII.

20 So because of that, it not only pursue
21 the activity, it increases the potency of Factor
22 VIII. And it also works with other therapeutics.

1 We have shown for several other therapeutic
2 proteins that phosphatidylserine need not bind to
3 the therapeutic protein to see this effect.

4 DR. ELKINS: That will have to be the
5 last word.

6 DR. BALU-IYER: Thank you very much.
7 Thank you very much for this opportunity. It is
8 an honor to be here. Thank you.

9 DR. LAGASSE: Okay, for the next part of
10 our session, we have two speakers from CBER.
11 We'll introduce both of them first and then we'll
12 have the question and answer period after both
13 speakers give their presentations.

14 So the first speaker is Dr. Zhaohui Ye.
15 He's a Principal Investigator and Chief of Gene
16 Transfer and Immunogenicity Branch in the Office
17 of Therapeutic Proteins here at CBER.

18 He's responsible for CMC review and
19 policy development and cell and gene therapy
20 products, and he has an active research program on
21 stem cell engineering.

22 And following Dr. Ye, we'll have Dr.

1 Robert Daniels. He's a Principal Investigator in
2 the Laboratory of Pediatric and Respiratory Viral
3 Diseases in the Division of Viral Products at FDA.

4 And his primary research focuses to
5 increase the breadth and efficacy of annual
6 influenza vaccines by developing approaches to
7 introduce neuraminidase antigens into influenza
8 vaccines.

9 So please join me in welcoming Dr.
10 Zhaohui Ye and Dr. Robert Daniels. Thank you.

11 DR. YE: Okay, thank you. So I know
12 most of you are here for the flash talks, I guess,
13 so I'll do my own version of flash talk and tell
14 you the story what we did here in our lab.

15 So as Daniel said, I'm from the Office
16 of Gene Therapy. So why do we do genome editing?
17 That is because this has some relevance to our
18 product.

19 So there's a wide variety of a product
20 that come into our office. To your left, you have
21 the ex in vivo modified cells. Those are like
22 genetically modified stem cells and T-cells such

1 as CAR-T cells.

2 And then to the right, you also have the
3 in vivo delivered, direct administered lectures
4 that get carried into transgenes.

5 So in the last couple days, you have
6 heard some excellent talks on AV vectors and lipid
7 nanoparticles.

8 So what's common about these products,
9 so all these products can potentially incorporate
10 genome editing. So either having the genome
11 editing as one of the main modes of action for the
12 drugs or if nothing else, the genome editing can
13 be used in the development of the drug product.

14 So by now, we are all familiar with
15 genome editing tools in general. So these are the
16 tools for making precise additions, divisions, and
17 alterations to the genome in living cells.

18 But there's more than just CRISPR CAS 9.
19 And there have been other designer nucleases like
20 ZFNs or Talens before CRISPR come on stage, right?

21 And right now, there's now all type of
22 editors such as base editors and prime editors, as

1 well as other tools that are actually not showing
2 on this slide.

3 So this is rapid expansion of technology
4 tools. It's very important for all the
5 stakeholders to actually understand the
6 specificity and the efficiency of the genome
7 editing tools.

8 For the gene therapy part, actually,
9 it's important to understand, there are potential
10 to achieve the therapeutic effect, and what's the
11 risk of intended genome modifications as well as
12 the long-term effects of the both on-target and
13 off-target genome editing.

14 So there are many technologies methods
15 can be used to evaluate genome editing tools. And
16 today, I am going to focus on some of our past
17 research that used high group sequencing, or next
18 gen sequencing, NGS, in the study.

19 And I'll share a few things we learned
20 along the way. So we're going to talk about some
21 differential activities between different types of
22 endo-nucleus.

1 The example I will give you here is the
2 Talens versus CRISPR CAS 9 as well as different
3 versions of certain types of editors such as base
4 editor.

5 We'll also discuss what the cell type
6 influence editing outcomes and impact of the other
7 components, the non-nucleus editor components, on
8 editing specificity.

9 Because right now, the editors look at
10 it more complexing post-structure and the
11 functionality.

12 Okay, so before we start over the first
13 example, let's go over quickly how the so-called
14 conventional genome editors work, how they handle
15 genome editing efficiency.

16 So, this combination of nucleus, what
17 they do is they create a cut, generate a double
18 strand break at the genomic sequence.

19 And what happens, and to follow this, it
20 depends on the cellular machinery, heavily depends
21 on the machinery, such as what kinds of components
22 of the machinery present in the cells at the time

1 of this break was created.

2 So one way for the cells to fix it is
3 through this new homologous enjoining, and the
4 results are quite open for the end source
5 conditions, or the endose (phonetic).

6 These can be relatively random. And the
7 other way the cells repair it is through the
8 homology needing the repair or the HDR if there is
9 a donor template that has the homology sequence,
10 the DNA sequence, near the double strand break.

11 So these HDR needing the repair usually
12 are more precise so you can put exactly what the
13 sequence you want because the end of endose right
14 can be random.

15 Now just because it's random doesn't
16 mean it has no therapeutic value. As many of you
17 know, the first and current VD, the only approved
18 genome editing product, Casgevy, actually relies
19 on the ability of CAS 9 to create an endo at the
20 cat one binding site in the PCL 11 aging.

21 And by doing this, this abolishes the
22 finding of the cat one to PCL 11 A and increase

1 the (inaudible) production.

2 So the HDR also have wide applications.
3 One example here is that you can use HDR to first
4 knock out a -- I'm sorry, let's go back -- to
5 knock out the TCR gene, and in the meantime, you
6 insert your transgene, in this case a car
7 transgene into the TCR locus.

8 And this is commonly used in the
9 heterogeneric CAR-T production. So the first
10 story I'm going to tell you is how we studied the
11 differential activities that were used by Talens
12 and CRSPR CAS 9. So this is a study we did quite
13 a few years ago.

14 And so here is the study design. We
15 targeted three genomic load sites, right? So the
16 first one is the JAK 2 gene at where the JAK 2
17 V67F occurs. This is one now of the most common
18 mutations in hematological malignancy.

19 And the second one is the SERPINA 1,
20 also called alpha antitrypsin, at the site of the
21 AT, so called the PIZ mutation, which caused the
22 alpha antitrypsin deficiency.

1 And for this reason, because we're
2 targeting the disease, really the mutation, we
3 actually used patient-derived iPSCs which carry
4 this specific mutation.

5 And the third site we target is the AVS1
6 site. As many of you know, this is one of the
7 commonly used safe harbor sites for integrating
8 transgenes in the cell.

9 So, in parallel to delivering this
10 genome editing endonucleus into the cell, here we
11 also have a control arm and deliver the homologous
12 donor.

13 So one example for the AAVS 1 was
14 showing here, you have the left arm, right arm.
15 There's a homology to the sequence around the
16 cutting site.

17 So we then use the amplicon sequencing,
18 the deep sequencing, to analyze what happened
19 right around the cutting site to determine what
20 kind of endos and what endos occurred at this
21 cutting site.

22 And also the frequency in the case of

1 Talen or CRSPR CAS 9 mediated cutting. So you can
2 see here, actually, we were kind of surprised.
3 You can see a big difference that the CAS 9
4 mediate induced a large amount of a much higher
5 level of endo at this load site as compared to
6 Talen.

7 So at least tenfold highs or even much
8 higher. But in the meantime, if you look at the
9 HDR efficiency, they are not so different. In
10 fact, they are quite comparable to each other.

11 So this tells you that when choosing the
12 editor, you need to really consider what's the
13 intended outcome. So the editor really matters.

14 So in this case, the CAS 9 used to be at
15 a far greater endo induction than the -- or the
16 gene disruption in the case of such as the
17 Casgevy, right, than Talens.

18 However, in this case, the efficiency of
19 immediate in the HDR seems to be quite equivalent.

20 So we then also look into how the cell
21 type affects, in this case, the endo frequency.
22 So we compared elementary T versus the iPSCs. And

1 again, in addition to the target site, we also --
2 I'm sorry, target site, we also analyzed, used the
3 deep sequencing to analyze those inomatic
4 (phonetic) predicted most likely off-target site,
5 14-15 of them.

6 So each has their risk. This indicates
7 that there is a statistically significant increase
8 in those, in the experimental group, as compared
9 to the mock transfection.

10 So you can see there is a lot of at risk
11 here in the 293T cells compared to the iPSC. So,
12 that tells you the cell type difference, it may
13 influence the outcome.

14 And this also correlates to the
15 on-target efficiency as you may notice here. The
16 on-target efficiency in the 293T is also in this
17 case about four-fold higher.

18 If you look at the AVS 1 site, the
19 difference is even much striking. So the 293T,
20 you have over 40-fold higher of endogeneration
21 than the iPSCs.

22 And also, again, just like the JAK 2

1 site, you'll see a correlation of increased off-
2 target editing when the on-target editing was also
3 higher. You'll see this correlation.

4 Okay, so, now I'm going to switch gears.
5 In addition to the targeting the deep sequencing,
6 here's a story how we used the whole genome
7 sequencing in odyssey.

8 So the so-called unbiased sequencing to
9 study editor specificity. The example I'll give
10 you here is the cytosine base editor. So as you
11 know, the base editor is a fusion protein, right,
12 of a catalytically impaired CAS 9 that fused to a
13 cytosine deaminase.

14 In this case, there's a before, CBE base
15 editor is -- this deaminase domain is APOBEC1. So
16 when this enzyme encounters a single strand DNA
17 which created by this CAS 9, the cytosine that we
18 think is rich, the window can be converted to a
19 uracil, right?

20 This uracil during the DNA replication
21 will be recognized as a T. That's how the C2T
22 mutation happens.

1 So the reason that we jumped into this
2 study was there was concerns. Around 2013, there
3 was a group of studies that found this APOBEC
4 morphogenesis pattern in human cancers. And they
5 also identified it as so-called APOBEC mutation
6 pattern.

7 This TCT or TCA motif. So when this
8 APOBEC domain that deaminase was effused to a dCAS
9 where it's always pressed that also caused this
10 unwanted mutation.

11 So to answer that question, because from
12 those past study, this APOBEC mutation is kind of
13 random. There's no hot spot. So how do we locate
14 them?

15 We can now use targeted deep sequencing.
16 So the only way to look at it is how genome
17 sequencing is unbiased way. However, whole genome
18 sequencing also has limitations such as
19 sensitivity, right?

20 So I think this was discussed in
21 yesterday's metagenomic session. So one way to
22 overcome this is we use the approach that whole

1 genome sequencing of the single iPSC clone,
2 aggregated clone.

3 So here is the overall approach. We
4 added in the cells and the clones, identify those
5 ones that have been successfully edited at the on-
6 target site.

7 So this is where we published the study.
8 So I will go quickly. And we have found that some
9 of the clones have significantly increased numbers
10 of C2T mutations.

11 So what they show you here, each one,
12 each bar is a clone, and each color represents one
13 type of mutation. The red is the C2T notation.
14 So you can see some of the clones are almost
15 dominated by the C2T. The absolute number is also
16 much higher than the control clones, which I
17 forgot to mention first, these are the control,
18 what you expect to see, a non-edited iPSC clone.

19 And also, if you analyze those
20 mutations, that we also identify these local
21 APOBEC with the genesis signature.

22 So, and these mutations, when you map

1 them onto the genome, they are evenly distributed
2 among the chromosomes. So here is the chromosome
3 size. You can see they pretty much just randomly
4 distributed around the chromosome.

5 So, which means that to identify them,
6 an unbiased matter has to be used.

7 Okay, so what about the other version of
8 cytosine editor? So, here's another version or
9 variant of the CBE, the so called CBE 4. It's
10 created again by Dr. Debbie Lu's (phonetic) lab.

11 So this one only has two mutations,
12 right? The mutations on the APOBEC domain of this
13 editor, these two mutations.

14 And in their study, they also, when they
15 look at 293T cells, they see a reduction of the
16 C2T mutation. We see the CAS 9 binding
17 independent of the mutation.

18 So what about in the iPSC? So we used
19 the same approach. And here's the results.
20 Again, each bar represents one iPSC clone. In
21 this case, we also included an analysis of the
22 adenine base editor.

1 So this one has been from our study as
2 well as many people's reports as a much higher
3 fatality. So they have a less unwanted off-target
4 effect.

5 So, as you can see, when it's compared,
6 the YU1 version compared to AV, they are pretty
7 comparable. It's also where the level is similar
8 to the control clones. However, in the C2T
9 mutation, in this group, you do see an increase in
10 the C2T mutation, in the YU1 edited clones.

11 Now, here is a summary of the mutation
12 type, right? Again, the red one is the C2T
13 mutation, but you see a slight increase but it's
14 not to the degree of the pre-edited version of
15 four, which some clones pretty dominated the
16 mutation landscape.

17 And also, when you compare the absolute
18 numbers, so here's the previous three or four, you
19 can see the clone. Some clones, the mutation
20 total numbers are pushing from 1,000 to over
21 2,000, but in comparison, the other version of the
22 CB, you have about tenfold lower.

1 So this also tells us the different
2 version of the same type of editor can give you a
3 quite different off-target profile.

4 And so that's basically the summary. So
5 from using those high group sequencing, we have
6 found that different genome editors may trigger
7 different cellular response and that the editing
8 efficiency specificity can often be cell type
9 dependent.

10 And also, here is just an example of how
11 the NGS can be important for evaluating genome
12 editing. So such as the target of the sequencing
13 to understand the off-target effect, and the
14 genome unbiased may be needed for certain editors
15 where you don't know where to look, such as in the
16 cytosine base editor.

17 So, here's all the people from our lab
18 and our collaborators who have contributed to
19 these studies. I'll be happy to answer questions
20 at the end of the session.

21 DR. LAGASSE: Now, please welcome Dr.
22 Daniels to the stage.

1 DR. DANIELS: Okay, before I start, I'd
2 like to thank the organizers for giving us the
3 opportunity or me the opportunity to present some
4 of the work from our lab on an assay we developed
5 for assessing influenza neurominidase.

6 So as many of you know, there's been a
7 big push in recent years to make more efficacious
8 influenza vaccines.

9 And one of those strategies is to
10 incorporate the neurominidase antigen, or higher
11 amounts of neurominidase antigen into the
12 vaccines, but currently we don't know which NA
13 should go in the vaccine or which strategy is most
14 optimal. And we hope this assay can start to help
15 assess these two questions or address them.

16 So what I want to do really briefly in
17 this talk is introduce currently influenza
18 vaccines, the benefits of incorporating
19 neurominidase antigens, and then the main barriers
20 that currently exist for adding NA antigens to
21 these vaccines, and then just give a brief
22 snapshot of our current work on the assay

1 development that we've been doing for selecting
2 vaccines transfer to neurominidase, which ones
3 should we actually use, and then how to profile
4 those responses in say a vaccine trial in order to
5 try to identify a correlate of protection.

6 So if we look at influenza vaccines,
7 there's tons that have been licensed. If you're
8 not aware, you can actually request specific ones.
9 I like to tell my parents this.

10 They're all produced via three different
11 platforms. One is viral based, and what I've
12 shown here is just four different viruses of the
13 previous quadrivalent vaccine.

14 And these would be made attenuated and
15 this would be something you would find in flu
16 mist. And then the more predominant vaccines that
17 are on the market are inactivated or split
18 versions of these viruses, where all we've done is
19 treat them with detergent.

20 And the more recently, there's been a
21 recombinant protein based vaccine that just
22 contains HA.

1 One thing to keep in mind is the viral
2 based vaccine through either propagated in eggs or
3 more recently in cells, and the recombinant
4 proteins are made in insect cells.

5 And the product qualities are controlled
6 by these systems. So in case of viral based
7 vaccines, the amount of NA that you would have in
8 the vaccine would be dependent on the viral -- the
9 property of that particular virus, whereas in a
10 recombinant approach, the product is controlled by
11 the cells or the design of the protein.

12 So for instance, there's no NA in the
13 recombinant HA vaccine. So as you're well aware,
14 seasonal influenza vaccines show variable efficacy
15 that ranges borderlines around 50 percent, but
16 varies by season.

17 And as I alluded to in the intro, one
18 strategy to improve them is to try to actually
19 incorporate the NA antigen which can have these
20 types of benefits.

21 So current vaccines focus on
22 hemagglutinin or HA that's on the cell surface.

1 The function of HA is to bind a sialic acid,
2 mediate viral entry, and ultimately fusion and
3 delivery of the viral component genome to the host
4 cell. And that's the main target of current
5 vaccines.

6 NA has an opposing function, and its
7 role is to cleave sialic acid to sort of promote
8 the mobility or release, people like to refer to
9 it as the gene that promotes release from the
10 infected cell, by eliminating the ability of HA to
11 persistently bind.

12 So what we know from literature back in
13 the '70s is that existing NA antibodies in a human
14 clinical study could reduce the infection
15 severity. And we also known from a follow up
16 study that an NA-based vaccine could reduce
17 illness from a challenge as well.

18 The other benefit of having NA in a
19 vaccine is cross protection. So what I'm showing
20 on the left is the nine subtypes of NA versus the
21 subtypes of HA. That gives you 144 combinations.
22 And the current vaccines that focus on HA give us

1 about -- cover about 18.

2 If you just include NA in this IV-based
3 vaccine, you would have 46 of the 144
4 combinations. So you'd approach a third of the
5 entire space.

6 The other example is in antigenic drift,
7 which is where this assay we've developed comes in
8 handy. Antigen drift just means these proteins
9 evolve over time.

10 So for instance, in this example, each
11 bar is showing when the antigenicity of the
12 particular antigen changed.

13 And as you're aware of, vaccine strain
14 selection, that occurs say six to nine months
15 before the actual season. So on the left, we have
16 identified the selected strain, and by the time we
17 administer it nine months, six months later, you
18 can see the HA is now antigenically distinct but
19 the NA is still the same. So you can have a
20 benefit from that.

21 So the main barriers for NA vaccine is
22 really simple. One, what's the optimal way to put

1 NA in a vaccine? It's not to make a viral based
2 vaccine.

3 Viruses by definition usually have at
4 least 10 to 1 HA to NA content. We have looked at
5 that. So what we've shown in one publication is
6 you actually can modify the NA content of vaccines
7 just by changing the viral premise.

8 I think we increased four-fold, but it's
9 still not probably sufficient for a vaccine. The
10 more common strategy is to supplement those
11 vaccines with say recombinant NA, and that was
12 introduced by Ed Kilborne's group back in the
13 '80s. And we've done some work with that as well
14 and then more recently in MRNALNPs.

15 And then lastly, we need an antigenic
16 assay for selecting or identifying a suitable NA
17 for that vaccine. And so when you just think of
18 circulating virus diversity, which NA should
19 actually be in that vaccine?

20 Currently, people use the NA that's in a
21 strain that's selected based on HA antigenicity,
22 so it doesn't make any sense because they can be

1 different.

2 So here's a little bit of our work on
3 this NA active site proximity assay for measuring
4 NAI titers.

5 So if we look at NAI or neurominidase
6 inhibiting antibodies, they basically prevent
7 cleavage of sialic acid.

8 So NA is an enzyme that cuts sialic
9 acid. What I'm showing here is a small reporter
10 substrate. It has sialic acid in purple. It has
11 a fluorescent molecule blepharon on the right.

12 And so when that molecule is processed
13 by NA, you get fluorescent signals. The issue
14 with this small substrate is if you put anti-sera
15 mouse, anti-sera on these viruses and measure NA
16 activity, I'm showing you on the graph, you can
17 see you don't get much inhibition.

18 So what people have known for a long
19 time is that you need large substrates, so they
20 use glycoproteins for measuring NAI antibodies.
21 So glycoproteins have branch structures of adenine
22 glycans, or have sialic acid on the end.

1 And so theoretically, if you have an
2 antibody that binds around the active site, it
3 would sterically block the ability for the enzyme
4 to bind that sugar. And that's what you see over
5 on the right. All of the sudden, the same sera
6 will give you good inhibition of the enzyme.

7 One of the main issues with this assay,
8 and I think people overlook it quite a bit, is
9 that you're using a multivalence substrate.
10 You're also using a virus.

11 HA targets the virus to the multivalence
12 substrate, which gives you an increase in the
13 apparently NA activity. So any HA antibody that
14 blocks receptor binding is going to give you a
15 wonderful NAI titer, and that's what I'm showing
16 you here with three different monoclonals. You
17 can see the titer is 40,000.

18 So what we did is try to address this.
19 We sort of took this principles all into account
20 and we created a simple assay that I'm just going
21 to refer to in terms of how we do it.

22 We take a virus. We add one reagent.

1 We had sera. We incubate. We add another
2 reagent. We incubate. We add another reagent.
3 We measure. We're done.

4 Here's the results from the assay. So
5 on the left, we're showing ferret anti-sera again,
6 the same exact ferret sera I showed you before,
7 and one additional sera.

8 And you can see that we get a signal
9 increase with the black and the red sera that are
10 against DNA. And we see no signal increase with
11 the negative sera.

12 If we reuse the same monoclonal
13 antibodies that gave you a 40,000 titer, in Ella,
14 it gives you zero in this assay. So it removes
15 the HA-dependent effect.

16 To prove that even further, we took a
17 bunch of reassortant (phonetic) viruses that have
18 H6 in the particular vaccine strain NA, and then
19 we mixed them with the exact same ferret anti-
20 sera, all generated, all have H6 antibodies.

21 And you can see that we get signal only
22 for the ferret sera that matches the NA in all of

1 these examples.

2 We went a step further to say, hey, how
3 did this coordinate with Ella? So we took a panel
4 of 27 monoclonal antibodies. We ran Ella, we ran
5 our assay. You can see the titer difference on the
6 table. I think we had three deviations, and they
7 were generally where we saw Ella titers around 30
8 or 40.

9 But you can see the correlation plot is
10 close to a line. It gives a Pearson correlation
11 coefficient, or an r squared, of .81. And that's
12 for N1, N2, and type B, which are in a vaccine.

13 Then we ask, can this provide antigenic
14 data? So, to try to simplify this, we just have
15 five viruses. They're from 2007, shown as like
16 Brisban 07, California 09, Michigan 15, Brisban
17 2018, Victoria 2019.

18 And then we have ferret anti-sera
19 against all these NAs. And then we run the assay.
20 And essentially, if you get the same curve, you're
21 antigenically similar. If you get a distinct
22 curve, like for Brisban 07, you know you're

1 antigenically distinct from the other anti-sera.

2 So in the top left, you can see Brisban
3 as antigenically distinct from the earlier --

4 From the more recent NAs. You can see
5 California as antigenically distinct from the
6 previous Brisban 07. And then you can see that
7 Michigan 15, Brisban 18, Victoria 19 are all
8 antigenically similar.

9 The reason we did this is because we
10 previously looked at these exact same NAs with
11 Ella and you can see the correlation or the
12 pattern of the results is almost identical. And
13 this is done in an afternoon and not in four days.

14 Then we took human sera and we did a
15 blind analysis. So we got these from our
16 collaborator at NIH. They sent over sera that was
17 from a clinical challenge study.

18 And we measured. We used our assay to
19 measure steric inhibitory antibodies in black as
20 well as active site inhibitory antibodies, or
21 enzymatic ones in blue.

22 And then once we were done, we got this

1 Ella date from them. You can see when you combine
2 the two different phenotypes there's a clear
3 pattern match. The interesting thing to us was
4 the high prevalence of active site antibody
5 inhibitors in humans.

6 You can see black bars are steric. You
7 can see people that only have steric, like patient
8 three. You can see people that only have
9 enzymatic, like patient five. And then you can
10 see people that have both, like patients eight,
11 nine, and ten.

12 And so now you can start to use this
13 mechanistic insight to ask do one of these or both
14 of these correlate better with protection in
15 humans or when you're doing a vaccine design,
16 which type of response are you actually aiming
17 for?

18 This is not what you would see with
19 naïve animals. You would basically see black bars
20 everywhere. So you have to also ask how much is
21 this naïve animal informing us on what's going on
22 in humans, as many people have alluded to.

1 So the conclusions, I think this assay,
2 we clearly demonstrate that it can provide NA
3 inhibitory antibody measurements. It can be used
4 to assess NA antigenic changes. Think of this as
5 it's automatable.

6 You can use the exact same reagents that
7 the WHO collaborating centers use for HA antigenic
8 drift. They have anti-sera. They have the
9 strains. You just run our assay instead of theirs.

10 You're compatible with the same
11 reagents. That's what I just alluded to. It
12 distinguishes between steric and enzymatic NAI
13 antibodies, something Ella can't do. It just goes
14 down.

15 And then we believe that you can
16 implement this to help identify suitable NAs for a
17 vaccine and also profile those responses.

18 And finally, I would just like to thank
19 all the folks in the lab, Jin Soma, Galina Mira,
20 Goa Tahir and Sylvie have contributed to this
21 presentation, or all the work here, because I
22 certainly didn't pipette. And then a whole bunch

1 of collaborators. And thank you for your
2 attention.

3 DR. LAGASSE: At this point, we'd like
4 to have both speakers come to the table and answer
5 questions. Are there any questions online?

6 DR. ELKINS: While folks in the room
7 think about it, I have the online questions. And
8 also, people who are doing flash talks while we
9 work through questions, if you could cluster and
10 differentiate in the upper righthand corner of the
11 room and get ready for the flash talks, that would
12 be helpful.

13 All right, online. For Zhaohui first,
14 how is the transfection efficiency normalized for
15 the comparison of CAS 9 efficiency and HEK293s
16 versus iPSCs?

17 DR. YE: Right. Great question. So in
18 this case, it was not normalized. But that's a
19 valid point, especially when you're comparing the
20 on-target antigen efficiency. That's a very valid
21 point.

22 But the one thing we're trying to point

1 out is that the correlation between the on-target
2 and the off-target effect, but that's a good
3 question. In that particular experiment, it was
4 not normalized.

5 DR. ELKINS: All right, and then I'll
6 cluster the ones that are related to CAS 9. Do
7 your results for Talens versus CAS 9 suggest that
8 Talens are actually a better approach for gene
9 repair or gene insertion, given the strong
10 selectivity for Talens to induce HDR over INDL
11 formation.

12 DR. YE: Is that a question or comment?
13 So our data doesn't really suggest Talen is
14 better, right? So even in terms of HDR
15 efficiency, CAS 9 is as good or you'll actually
16 see the AVS 1 side, you have about two-fold higher
17 efficiency than Talen.

18 Then again, one point that we're trying
19 to make is it really depends on what your intended
20 purpose is for your therapeutic drop keep element,
21 right?

22 So in a Casgevy case, then you actually

1 want to add, to be able to abolish the gotten
2 sequence. So in that case, at that particular
3 site, the CAS 9 might be a better editor.

4 DR. ELKINS: Okay, and then a couple of
5 versions of the same general questions. Why does
6 CAS 9 demonstrate different efficiencies in
7 different cell types?

8 DR. YE: So one thing is that I think
9 the first -- so similar data we presented as the
10 first question alluded to that there is main
11 release to the transaction efficiency.

12 And also, when you think of this,
13 editing may also relevant to epigenetic states,
14 right? How it is accessible to the editors as
15 well as the overall population, where the cells
16 are, what percentage the cells are in which cell
17 cycle.

18 So that all contributes to the
19 difference. I forgot the -- the question is about
20 cell type, right? Yes.

21 DR. ELKINS: Right, different
22 efficiencies in different cell types.

1 DR. YE: Yes.

2 DR. ELKINS: All right. Thank you.

3 Switching to Rob. Since influenza infection
4 itself is supposed to induce NAI antibodies, in an
5 adult challenge study, why do only less than 50
6 percent of adults have NAI titers as measured by
7 the NASF assay? And is the NASF assay, does that
8 assay have a lower sensitivity than Ella? Perhaps
9 those two go together.

10 DR. DANIELS: Sure. I think one thing
11 to look at is the quantity of the enzyme that's in
12 the assay is going to dictate your sensitivity.

13 Ella is done for 18 hours at 37 degrees.
14 Our assay is done at 10 minutes. We can go to 2
15 hours to increase it by tenfold. That'll match it
16 to Ella, and we can go overnight and decrease it
17 by 100 fold.

18 So I'm pretty sure if we just do an
19 overnight incubation, we would get higher titers
20 than we've shown there. And the consistency of
21 the titers across the NA amount, if you go to
22 longer incubations are basically, it's completely

1 consistent where Ella's changing titer based on
2 the NA amount that's unavoidable.

3 DR. ELKINS: Yes, and there's another
4 question about the relative sensitivity of the two
5 assays. So is there anything more you want to say
6 about that?

7 DR. DANIELS: Yes, so that was the
8 sensitivity. So I think you can match sensitivity
9 easy by just prolonging the incubation.

10 We spent a lot of time developing it
11 just to show it works. The last one was in a
12 human challenge study, what do you see?

13 Well, interesting. So if we do Ella, we
14 have no idea what we see. We see something go
15 down. So when you use an assay that
16 differentiates between enzymatic inhibition versus
17 steric inhibition, you actually learn something.

18 You learn that you have two different
19 types of antibodies. We hypothesize that you
20 would have steric based antibodies in pediatric
21 populations, and over time, you would start to
22 develop these NA inhibitory antibodies.

1 The most interesting thing about this is
2 there's several recent papers that seem to allude
3 to the fact that it's difficult to find NA
4 inhibitory antibodies or ones that bind the active
5 site.

6 And we actually have no problem finding
7 these, either in humans or in monoclonal antibody
8 screening. It's just doing a clever way of
9 looking at the assay.

10 DR. LAGASSE: Are there any questions
11 from the room? I have a question for you, Rob.
12 What do you think are the limitations of your NASF
13 assay as far as what would you do as far as
14 potentially making it better with a second
15 generation?

16 DR. DANIELS: I didn't show this. So
17 the first one that we did, we used a particular
18 binder which had varying affinities across NAs.

19 So it had a one step ahead where you
20 have to identify the binding affinity. We've
21 actually done in collaboration with a chemist, put
22 together a ligand that has pretty much the exact

1 same affinity for all NAs. So you can use just a
2 fixed amount for everything. And then you can
3 modify the, let's just say the footprint, so that
4 you can get information on how far away is that
5 antibody from the active site. So that's the
6 second generation that's in the pipeline.

7 DR. LAGASSE: Yes, thanks. Well, if
8 there's no more questions in the room or online, I
9 would like to thank everyone. And we're going to
10 move on to the next section of our session.

11 We'd like to thank the speakers, of
12 course. So the next section of our session is the
13 flash talks, where we'll have a number of speakers
14 come up and they will each have about two or three
15 minutes to -- two minutes to go through their
16 talk.

17 So I'd like to welcome the first --
18 well, all the speakers, they'll just come up right
19 after the next speaker. So the first speaker is
20 Dr. Jankowska.

21 DR. JANKOWSKA: Good afternoon. My name
22 is Katarzyna Jankowska. I'm working in Dr.

1 (inaudible) lab. And here is the title of my talk
2 and poster number is four.

3 The central premise for incorporating
4 synonymous variants into the genetic sequences of
5 optimizing biopharmaceuticals and gene therapies
6 is that this changes in other protein primary
7 structure.

8 Nevertheless, the current studies show
9 that even synonymous variation may impact mRNA
10 expression and protein confirmation, which may
11 lead to protein deficiency and disease
12 manifestations.

13 We reported comprehensive in silicon
14 (inaudible) assessing the impact of single
15 synonymous variants on ADAMTS 13, and highlighted
16 numerous variants that can affect protein
17 functions.

18 ADAMTS 13 is the protein which is
19 essential in (inaudible) studies that this
20 deficiency may lead to life-threatening disease
21 called thrombotic thrombocytopenia purpura.

22 Our recent studies predicted that nine

1 of ADAMTS 13 single synonymous variants can affect
2 the binding size of (inaudible) and that is
3 including the variants 972C2T that was predicted
4 to gain the binding site to microRNA 221.

5 To evaluate the effect of synonymous
6 variation under all of microRNA in ADAMTS 13,
7 functions the binding of microRNA 221 to ADAMTS 13
8 coding sequence were validated by luciferase
9 supported assay and in cellular systems.

10 Our studies demonstrated that microRNA
11 221 can modulate ADAMTS13 genome protein
12 expression, which can be further disturbed by a
13 single synonymous variation or code optimizations.

14 This study suggests that any genetic
15 variation may also affect microRNA binding to
16 ADAMTS 13 and demonstrate the potential impact of
17 single synonymous variants in modifying important
18 characteristics and disease severity.

19 Thank you so much for your time and
20 attention. I will be happy to take questions
21 during the course.

22 DR. LAGASSE: Please welcome Dr. Oakley.

1 DR. OAKLEY: Okay. Hi, my name is
2 Miranda Oakley. I work in the Laboratory of
3 Emerging Pathogens. I conduct research on malaria
4 and Babesia in Dr. Sanjay Kumhar's lab.

5 So cerebral malaria is a major cause of
6 malaria mortality and occurs mostly in young
7 African children. There's an urgent need to
8 develop adjunctive therapies that can reduce the
9 high mortality rate from cerebral malaria.

10 There's a very good mouse model of
11 cerebral malaria. It's the plasmodium Berghei
12 ANKA model. And it's used to study experimental
13 cerebral malaria in mice.

14 The objective of our project on my
15 poster is to perform single cell sequencing of
16 pathogen brain sequestered CD8 T cells during
17 experimental cerebral malaria and P Berghei ANKA
18 infected mice.

19 Our experimental design is that we
20 infected susceptible C57 BL6 mice with a million
21 parasites and then brain sequestered leukocytes
22 were prepared from perfused brain tissue so that

1 we look at the CD8 cells that are binding to the
2 endothelium and are pathogenic.

3 And three groups of mice are uninfected
4 controls are mice that are infected but non-
5 (inaudible) and then our infected
6 mice that actually exhibit symptoms
7 of cerebral malaria.

8 After we prepare these brain sequestered
9 leukocytes, we performed single cell sequencing on
10 40,000 cells from five mice using the BD rhapsody
11 single cell analysis system.

12 I have a lot of results on my poster.
13 Poster 8, I will highlight three of these results.
14 We found that brain sequestered CD8T cells are
15 heterogeneous rather than homogeneous population.

16 We have more than nine different
17 clusters that sequester in the brain. Second, we
18 looked at differentially expressed genes comparing
19 our mice with cerebral malaria to our infected
20 mice without cerebral malaria, and comparing our
21 infected mice without cerebral malaria to
22 uninfected controls.

1 And then lastly, we've created this
2 transcriptional atlas of the pathogenic CD8T cell.
3 We've looked at various types of classes of cells.
4 We've looked at transcription factors, cytokines,
5 checkpoint inhibitors, and T and F receptors,
6 super families, as well as other families such as
7 like signal transection molecules.

8 And we can look at all the genes in each
9 of these categories and see which ones are
10 differentially expressed in the CD8T cell.

11 So again, my poster is Poster 8. Thank
12 you.

13 DR. LAGASSE: Please welcome Dr. Fatima.

14 DR. FATIMA: Hi, everybody. My name is
15 Tahira Fatima. I am a star fellow in Dr. Day's
16 lab in TBBB. And our lab's mission is to improve
17 safety of cell and tissues, which are used for
18 therapy.

19 For my stated objectives, first, I want
20 to compare metabolic to (inaudible) based
21 detection acid and immunogenic acid, and also to
22 develop metabolic markers for detection of

1 flaviviruses in human iPSCs.

2 According to my study design, first I
3 infected cells with Zika MR766 strains and then we
4 treat at MOI1, and then collected mock and
5 infected cells between 0-96 hours post-infection.

6 And then I tested these cells for viral
7 detection using restrum (phonetic) block and
8 QRDPCR. Simultaneously, I prepared cells on both
9 sections for LTMS analysis to identify any shift
10 in metabolite.

11 And also I highlighted the results. In
12 A, I'm showing Zika detection by restrum block at
13 48 hours post-infection. And in 2PCR, we detected
14 Zika at eight hours.

15 In case of Dengue retreat, we did not
16 detect DENV retreat in restrum block. However,
17 QPCR data showed DENV positive result at 48 hours
18 post-infection.

19 So in contrast, LCMS successfully
20 differentiated between infected and mock cells at
21 all time points, as I'm showing in B, the one
22 camouflaged. And we identified six metabolite

1 markers so far. This is a potential panel in C.

2 So for my future experiment, I plan to
3 validate candid biomarkers in patient samples and
4 also to extend this technology to other viruses
5 such as herpes viruses.

6 Thank you so much. And if you have any
7 questions, please visit me at Poster Number 38.

8 DR. LAGASSE: Please welcome Dr. Klenow
9 to the stage.

10 DR. KLENOW: Hello, everyone. My name
11 is Laura Klenow and I am from the Office of Blood
12 Research and Review. And I just want to direct
13 your attention briefly to the Leishmanin skin
14 test.

15 So there are locally acquired infections
16 as well as travel associated cases that have
17 started to be popping up in the United States
18 recently.

19 And in the past year, there have been
20 several papers that have highlighted these cases,
21 as close as Washington, D.C., which is right next
22 door.

1 So one of the things that our lab has
2 been focusing on is that we're very aware that
3 this is an issue in the U.S. because we already
4 have the awareness of the potential sandfly
5 vectors that are highlighted in these light blue
6 states.

7 So one of the things that we want to
8 look at, particularly because we have an emerging
9 epidemic of Leishmaniasis in the lower United
10 States, particularly in Texas and Oklahoma.

11 And so we really need to focus on having
12 an increased diagnostic for not only blood safety
13 but also for potentially assessing the future
14 immunogenicity of any vaccine candidates.

15 So in looking to assess these unmet
16 needs, one thing that our lab has been focusing on
17 is the development of a diagnostic that is
18 reliable and scalable so that we can meet these
19 needs for not only surveillance, but also for
20 vaccine efficacy.

21 And one of the things that we have done
22 is we have very surly characterized a strain of

1 visceral Leishmaniasis, *L. donovani*, and we have
2 focused on creating a reliable and reproducible
3 layoff flies antigen, which is a GMP grade
4 Leishmanin antigen.

5 We have tested this potency of our
6 antigen in both vaccination and in latent
7 infection models. And what we have seen is that
8 with our antigen, that we are able to reproducibly
9 induce a delayed type hypersensitivity immune
10 response in both of these models.

11 And so what that's telling us when we
12 look at this through high dimensional flow
13 analysis is that it's also mediated by CD4 T-
14 cells. And so we're able to combine all these
15 results in not only a very nice diagnostic for
16 surveillance and emerging epidemics, but also as a
17 really nice test for future vaccine trials for the
18 immunogenicity and efficacy of upcoming vaccines.

19 And I'm Poster Number 40 if you would
20 like to hear any more. Thank you.

21 DR. LAGASSE: Please welcome Dr. Konduru
22 to the stage.

1 DR. KONDURU: Good afternoon. I'm
2 Krishnamurthy Konduru, Staff Scientist in the
3 Office of Blood, LLV.

4 So Dengue and Zika widest outbreaks have
5 increased raising CD's public health concern.
6 Most of these wider post-infection are 80 percent
7 infections are asymptomatic. The remaining 20
8 percent are flu-like. Some develop serious
9 disease. The outcome is entirely different.

10 Dengue can cause severe form of dengue
11 disease. Zika can cause fatal death. So
12 differential diagnosis very important to provide
13 support to specific care. (Inaudible) have
14 extensive (inaudible) activity between these wider
15 cases because of high homology sequence between
16 these two.

17 Nucleic acid test is affected by shock
18 (inaudible) only presents for 12 days,
19 approximately, 12 days with low viral loads.

20 So we want to explore microRNA in plasma
21 as non-human biomarkers for differential diagnosis
22 between Dengue and Zika viruses.

1 So this is our workflow. We used 10
2 samples from each pathogen, Zika, Dengue, and
3 controls, profound by NGS. NGS identified hits,
4 1,945 microRNAs. Then we narrowed down.

5 Here, for Zika, just these volcano
6 plots, we get to show that red dots are
7 upregulated microRNAs, green are downregulated.
8 Similarly, with Dengue as well.

9 From them, we identified 80 candidates,
10 Zika, 35 Dengue. We did validation by QRT-PCR with
11 the lots sample sets. From them, we identified 22
12 microRNAs as potential candidates. From them,
13 nine shows highly significant.

14 Among nine here, we have showing a
15 representative three candidates as three
16 categories. The first one, one left, microRNA
17 3195, able to discriminate all three Dengue versus
18 Zika versus controlled (inaudible).

19 And another category, at least five
20 microRNA identified. For example, microRNA 328,
21 able to discriminate Dengue from Zika or control.

22 So the left one, the third category, at

1 least two candidates, we identified here, microRNA
2 369, able to discriminate Zika from control or
3 Dengue.

4 So, we got autocycles for each microRNA
5 here. I'm showing just two assay examples,
6 microRNA 3195 to calculate under the area curve,
7 for this.79.

8 So other microRNA, 4485, that you use
9 this.76. Now, we are assembling at the panel to
10 give a powerful diagnosis.

11 So we are using both machine learning
12 algorithm as well as manual assembly. Here, two
13 microRNAs increase the powerful diagnosis.

14 For example, the blue line increased
15 from.79 to.762, almost.95. So currently, we are
16 using these, testing these panels with large
17 samples.

18 Thanks. I have Poster Number 42.

19 Thanks.

20 DR. LAGASSE: Please welcome our next
21 speaker, Catherine Jean, to the stage.

22 DR. JEAN: Hi, everyone, my name is

1 Catherine, and I'm in the lab of Dr. Zuben Sauna.
2 Today, I'll be presenting our research on high-
3 throughput APTT and one-stage APTT-based Factor
4 VIII potency assays, namely in low volumes of
5 mouse plasma.

6 So what is APTT, right, and why do we
7 need to optimize it? Essentially, APTT is a
8 conventional coagulation test often used in
9 clinical laboratories for the function of
10 diagnosing functionality and intrinsic coagulation
11 pathways.

12 Here, we'll be looking at Factor VIII-
13 based ATTP assays. Factor VIII is a blood clot
14 formation protein that we find deficient in people
15 with hemophilia A.

16 This needs optimization because in pre-
17 clinical studies, as well as in research labs, the
18 amount of plasma available to conduct this
19 research is often minimal, and even if it's enough
20 for one test, often it's very few for a second
21 test.

22 So our aim is to develop a high-

1 throughput APTT assay to test small volumes of
2 mass plasma. And we did it.

3 So we were able to show 80, 77, and 90
4 percent recovery in our in-house developed
5 microplate assay. We were also able to show
6 similar levels of success in a comparison analysis
7 using common hemostasis drugs, such as Afstyla and
8 Altuvio.

9 We did a comparison of the ACL TOP,
10 which is a machine often used in clinical
11 laboratories for assessing APTT.

12 We did that compared to our in-house
13 microplate assay. And our results were promising.
14 In short, our sensitivity was as low as 0.00015,
15 and our assay took less than 10 minutes.

16 Check out my poster at number 44 for
17 more questions. Thank you for your time.

18 DR. LAGASSE: Please welcome Dr.
19 Pacheco- Fernandez to the stage.

20 DR. PACHECO-FERNANDEZ: Hi, everyone.
21 My name is Thalia Pacheco, and I'm going to be
22 talking about how we have been identifying

1 Leishmania parasites in the bone marrow at the
2 Nacasi (phonetic) lab.

3 So, Leishmania is a blood-borne pathogen
4 that is very recently became endemic to the U.S.
5 So that particularly, the contagious manifestation
6 of the disease is what is being prevalent in the
7 U.S.

8 This manifestation causes skin lesions
9 and ulcers. So, this obviously increases the risk
10 of transfusion, of transmission via transfusion,
11 via vector transmission and also via organ
12 transplantation.

13 So there's a need for new methods of
14 detection. The thing is that current methods of
15 detection have very low sensitivity for latent
16 infections.

17 So what we did was to use a combination
18 of computational and molecular biology methods to
19 detect these parasites in mouse model of cutaneous
20 Leishmaniasis.

21 So we found two main findings in our
22 studies. The first one is that the cutaneous

1 parasites are capable to reach all the way to the
2 bone marrow in very low numbers, which was
3 previously not identified because of these low
4 numbers.

5 These parasites particularly reach to
6 the stem cells and the monocytes. Now, also
7 you're seeing single cell RNA sequencing and flow
8 cytometry. We found that the main changes driven
9 by these low numbers of parasites in the bone
10 marrow are modifying the myelopoiesis, which has
11 raised a calibrated differentiation to monocytes
12 and neutrophils, and also (inaudible).

13 So, we tested these results in two
14 models, one which is the top one. Oh, not that
15 one. Yes, the top one is in a viral infection of
16 Leishmania, and the second one is in a vaccine
17 model using a life attenuated vaccine.

18 So overall, these results show two
19 things. One is that this method is good to prove
20 the safety of the blood supply, and the second one
21 is that we can also evaluate immunogenicity of
22 possible vaccines.

1 So if you want to know more, please stop
2 by Poster 18. Thank you.

3 DR. LAGASSE: Please welcome our next
4 speaker, Dr. Pilewski, to the stage.

5 DR. PILEWSKI: Okay, hello, my name is
6 Kelsey Pilewski, and I am part of the
7 gastrointestinal viruses unit, also known as the
8 PAR Lab.

9 Today, I'm going to tell you a little
10 bit about my research, looking at the humoral
11 antibody response to human norovirus.

12 Norovirus is the leading cause of non-
13 bacterial acute gastroenteritis in all age groups.
14 It's also extraordinarily diverse, with greater
15 than 30 different genotypes that are capable of
16 infecting humans, the frequency of which is shown
17 on the righthand of the screen.

18 Due to this diversity, along with the
19 fact that we do not have a traditional subculture
20 model or small animal model system, has really led
21 to the delay in the development of effective
22 vaccines against norovirus or specific

1 therapeutics.

2 Due to this, understanding how to elicit
3 long-lasting, broadly protective immunity against
4 norovirus really remains critical.

5 In my study, I am looking at the humoral
6 immune response following controlled human
7 challenge with a G22 norovirus.

8 I found the following challenge, all
9 individuals elicited a robust antibody response to
10 the challenge agent, with IGG antibody titers
11 peaking at 30.

12 Interestingly, all individuals also had
13 diverse antibody titers to diversity types such as
14 G24, G26, and G217, shown here.

15 Interestingly, although all individuals
16 had cross reactives, binding antibodies to the
17 different genotypes, we found that norovirus G22
18 was the only one or the challenge with G22
19 norovirus really only induced neutralizing titers
20 to itself, and we really didn't see any increase
21 in functional or neutralization titers to the
22 heterologous genotype.

1 Finally, using a novel competitive
2 neutralization assay that we recently developed, I
3 looked at the contribution of specific sites to
4 the observed neutralization phenotype over time.

5 And what I found was that both conserved
6 and highly variable immunodominant sites are both
7 important for these broadly neutralizing
8 activities, and that this activity really changes
9 over time.

10 And so if you'd like to hear more, I
11 look forward to seeing you at Poster 20.

12 DR. LAGASSE: Our next speaker is Dr.
13 Rajasagi.

14 DR. RAJASAGI: Okay, move forward.
15 Okay. Before we start, I would like to thank the
16 audience for giving me this opportunity.

17 I'm Naveen. I work in the lab with Dr.
18 Meyenmajor in Seaport (phonetic). We work with
19 hepatitis C virus.

20 Hepatitis C virus is a post standard
21 interloped virus. The low proteins E1 and E2,
22 they are responsible for the impurity virus. It's

1 officially the hepatocytes, the natural proteins.
2 They help the virus be (inaudible).

3 The hep C is a blood-borne virus. It's
4 a major problem in people who share needles. It's
5 a major infectious cause of liver cirrhosis and
6 also liver cancers.

7 There are antiviral treatments that are
8 available, but these don't prevent reinfections.
9 They cannot protect against infections.

10 Unfortunately, there's no effective
11 vaccine against hepatitis C virus to prevent
12 infections. So our group is interested in
13 developing different vaccine approaches to tackle
14 or come up with a vaccine kinds for HCV.

15 We mainly, for this study, we mainly
16 focused on developing these vaccine approaches,
17 mainly target humoral immune responses.

18 This is based on the evidence with
19 support of the important role which neutralizing
20 antibodies play against HCV.

21 And coming to the extensive design, we
22 used mice (inaudible) non-replicating antiviral

1 (inaudible) or the common 14, which is formulated
2 with the adjuvant F43 or the E2 formulated with
3 the CPG alum.

4 So (inaudible), what we chose to do is
5 because it's a main target for the neutralizing
6 antibodies, so after planting the bunch of the
7 mice at day 21, and we sacrifice these mice at
8 different timepoints post-boost.

9 And we cultured the spleen, lymph nodes,
10 and also we cultured the serum for the analysis.
11 So, examined the effectiveness of the (inaudible)
12 we used. We looked at what the similar response
13 but also the humoral response.

14 We looked at the CD response we did
15 (inaudible) assay. Here, we can see, on the X
16 axis, these are the vaccine groups and the
17 proportional (inaudible).

18 What we found was like the group use of
19 it is stronger (inaudible) response. However, the
20 minimal response was observed in the mice which
21 eliminates with these recombinant proteins.

22 Next, we looked at the (inaudible) in

1 general, the recent responses. These are
2 collected up in the lymph nodes. So these
3 responses are very important because the injection
4 of the T-cells (inaudible) is important for the
5 formation of high-quality, high-efficacy
6 antibodies, and also for the generation of long-
7 lived antibody producing memory cells.

8 We found that bodies, all the groups
9 were able to produce (inaudible) recent responses
10 are very (inaudible) reproduced in the vectors and
11 also in the E2 adeno vector groups.

12 Coming to the last slide, the most
13 important is the humoral responses. We have good
14 titers in all the three groups. They had high
15 levels of antibody titers in the mice (inaudible)
16 with the recombinant proteins.

17 And the good thing is, the antibody
18 titers did not go down post-boost, which is
19 actually a good point when we are looking at the
20 long-term for potential vaccines.

21 Coming to the notation capacity, the CM
22 from the mice which had immunity, which was E2,

1 also had the E2CPG alum, they exhibited a higher
2 neutralizing capacity than the adeno vector mice.

3 So what I confirmed is although the
4 outside group gives produced a good (inaudible)
5 response, the common 14 in use is better quality
6 antibody response than the adeno vector group.

7 This I would like to end. Thank you for
8 listening.

9 DR. ATREYA: This closes the flash talk
10 session, and then we have 12:30 p.m. We have the
11 posters. You guys can have your lunch. And the
12 even number posters are going to be shown today.
13 They are on different topics, advances in
14 computational science, methods in biomarker
15 discovery, imaging and reimaging decisions, and
16 immunoresponse to vaccinations.

17 Thank you all for attending this
18 morning's session.

19 DR. ELKINS: And speakers and speaker
20 lunch guests, you know who you are, you have boxed
21 lunches with your name on it at the kiosk in the
22 lobby. And we have a dedicated lunchroom since

1 outside is not so good, at the end of the hallway
2 behind the kiosk.

3 We will reconvene promptly at 2:00.

4 (RECESS)

5 DR. KURTZ: So, if everybody could take
6 their seats, we're hoping to get started on time
7 here, because one of our speakers needs to catch a
8 flight.

9 So, Dr. Golding is going to introduce
10 our first two extramural speakers, and we'll
11 hopefully get the session started. Thank you.

12 DR. GOLDING: Welcome everyone to the
13 last session of the wonderful symposium. And I
14 would like to start by introducing Dr. David
15 Montefiori, who is a professor and Director of the
16 Laboratory for HIV and COVID-19 Vaccines Research
17 and Development in the Department of Surgery,
18 Division of Surgical Sciences at Duke University
19 Medical Center.

20 His major research interests are viral
21 immunology and HIV and COVID vaccine development
22 with special emphasis on neutralizing antibodies.

1 In addition, Dr. Montefiori is also
2 direct a large vaccine immune monitoring program
3 supported by the Bill and Melinda Gates
4 Foundation, and has served as national and
5 international resource for standardized assessment
6 of neutralizing antibody responses in pre-clinical
7 and clinical trials of candidate HIV vaccines
8 since 1988. Our hats off to him.

9 At the onset of the COVID-19 pandemic,
10 he turned his attention to SARS CoV-2, which a
11 special interest in emerging variants and how they
12 might impact transmission, vaccine, and
13 immunotherapeutics.

14 His rapid response to emerging SARS
15 CoV-2 variants of concern provided some of the
16 earliest evidence of the potential risks variants
17 posed to vaccines.

18 In May 2020, his laboratory was
19 recruited by the U.S. government to lead the
20 national neutralizing antibody laboratory program
21 for COVID-19 vaccines.

22 Today, he is going to share with us his

1 experience in developing neutralizing, validated
2 neutralizing assays against both COVID-19 and the
3 HIV.

4 And he's changed his title, so I am
5 going to let him take the lead.

6 DR. MONTEFIORI: Thank you, Hana, for
7 that wonderful introduction, and I'd like to thank
8 the organizers for inviting me here today.

9 All right, so, the title of my talk
10 today is Assessing Vaccine Elicited Neutralizing
11 Antibodies Against SARS CoV-2 and HIV.

12 And I'd like to start out with a brief
13 introduction into my program. As Hana mentioned,
14 since 1988, I've been an essential laboratory for
15 neutralization assays for the HIV vaccine trials
16 network back when it was called the AIDS Vaccine
17 Evaluation Group, AVEG.

18 And then for the Dades pre-clinical
19 program, we've been the central laboratory for all
20 of the non-human primate studies and a lot of
21 studies in smaller animals since 1993, and that's
22 still going.

1 In 2006, we became a central lab for the
2 Gates CAVD and for the Duke CHAVD, and both of
3 those are still going, and then more recently for
4 the Duke CFAR.

5 And then in 2020, we got recruited, as
6 Hana said, to get involved in the COVID-19. So
7 I've been part of the COVEPN, which is just
8 another name for the HVTN, and then the U.S.
9 Government's COVID-19 vaccine program and the
10 Moderna COVID-19 vaccine program.

11 So, a lot of what we do focuses on
12 immune monitoring. And we've been a GCLP
13 compliant laboratory for over 20 years.

14 We also have a basic research program,
15 though, that benefits from all of the samples that
16 we get from the pre-clinical and clinical studies,
17 and we do a lot of research with those samples and
18 other samples that benefits our immune monitoring.

19 We are very focused on how to improve
20 the way we assess neutralizing antibodies. So we
21 look at the antigenic diversity of the viruses.
22 We develop reference reagents. We study

1 mechanisms of neutralization in the scape. We
2 develop reagents to map neutralizing epitopes.

3 The data is useful for interpreting
4 structural studies. We've been involved in
5 identifying and characterizing broadly
6 neutralizing monoclonal antibodies for HIV, and
7 we're very interested in immune correlates.

8 And all of this feeds into being able to
9 design new immunogens, to perform pre-clinical and
10 clinical studies, how to design those pre-clinical
11 and clinical studies, identifying lead products to
12 move through the various phases of clinical
13 testing.

14 And then our experience with COVID and
15 the Moderna program, actually being involved in
16 regulatory approvals and my first direct
17 interactions with the FDA.

18 So again, my lab was recruited in May of
19 2020 when Operation Warp Speed was formed. That
20 was later, became the Countermeasures Acceleration
21 Group under the Biden Administration.

22 And the government was investing

1 billions of dollars in a number of companies to
2 develop their vaccines and take some of the
3 financial risk out of it.

4 And in return, they wanted the assays to
5 be done, the binding and neutralizing antibody
6 assays were the highest priority, in laboratories
7 that they designated.

8 And so they started a program to have
9 the laboratory program, and eventually it became a
10 lot of CROs doing the assays. But I was asked to
11 help with the neutralizing antibody program, and
12 to develop a pseudovirus neutralization assay,
13 since I had a lot of experience with that for a
14 number of years for HIV.

15 So one of the first things I did, a lot
16 of people had neutralizing antibody assays very
17 early in 2020. A lot of people had neutralizing
18 antibody assays for SARS CoV-2, live virus assays,
19 pseudovirus assays.

20 And I wanted to understand how they
21 compared to one another. And so we initiated this
22 program with Tom Denney and we called it SNACS,

1 the SARS CoV-2 Neutralizing Antibody Concordance
2 Survey.

3 Our primary objective was to gain an
4 understanding of the variability of the results in
5 the different assays, which in turn provides
6 insights into the comparability of published data
7 sets that were starting to come out at the time,
8 and the design of future standardization efforts,
9 which I was very much involved in.

10 And also, because these assays were in
11 the early stages of development for the most part,
12 and the limited number of samples, I urged caution
13 when drawing general conclusions about the
14 potential superiority of one assay over another.

15 And we had a total of 54 assay results
16 submitted from 46 sites. There were 20 live virus
17 assays and 34 pseudo virus assays, 23 of which
18 were lentivirus based and 11 were VSV based.
19 Those assays were quite diverse.

20 We did take a survey of the experience
21 that the laboratories had, whether or not they
22 were GCLP or GLP compliant. A number of spike

1 proteins, they had a lot of different names, but
2 they all boiled down to either the ancestral or
3 the D614G variant.

4 A number of different cell lines are
5 being used. Spike characteristics varied. Some
6 people were deleting the cytoplasmic tail for
7 increased expression. Other people weren't. Some
8 people were codon optimizing. Others weren't. So
9 we had a mixed bag there.

10 And then a lot of different assay
11 readouts. So considerable diversity in all of the
12 assays and how they were being performed.

13 The samples that we had available to
14 share with all of these laboratories, there were
15 samples comprised of high, medium, and low titers.

16 Some were in triplicate to look at
17 repeatability. We had four negative samples to
18 look at specificity. So 21 samples total that all
19 of these laboratories ran in their assay.

20 And I'm only going to show this one data
21 set because I think it was the most revealing data
22 set. So this is a range of titers that all of the

1 laboratories obtained in their assays.

2 The live virus assays are in red. The
3 lentivirus based pseudovirus assays are in green.
4 And the VSV based pseudovirus assays are in blue.

5 And the numbers along the X axis are all
6 of the different laboratories blinded. And you
7 can see that it's a mixed bag all throughout. You
8 don't have a clustering really of one type of
9 assay at one end versus the other.

10 There is a little bit of a clustering of
11 the lentivirus based pseudovirus assays in green
12 on the far right, but you also see them spread all
13 the way out and one of them on the far left.

14 The live virus assays in red, you see
15 one of them was the least sensitive on the far
16 left and another one was the most sensitive on the
17 far right. So there was really no clear
18 association between the assay type and the
19 sensitivity that they have.

20 And that was a big question at the time.
21 There was a feeling that live virus assays, which
22 were also called authentic virus assays, and

1 whether or not they were going to be a more
2 reliable readout than pseudovirus assays.

3 And so that's some general conclusions.
4 We saw about a two log difference in ID50 titers
5 and a one log difference in ID80 titers across all
6 of these assays.

7 There was greater precision for ID80
8 than ID50. We see the same thing for HIV. And
9 there was greater concordance among pseudovirus
10 assays than among live virus assays.

11 So we went ahead and adopted an assay
12 that was actually developed at the VRC by Barney
13 Graham and Kizzi Corbett. We used these targets,
14 293HT cells that we got from Mike Farzam and Hui
15 Hui Mu. Very generous, both of these groups in
16 sharing the reagents and allowing us to work with
17 them.

18 I'm showing a schematic here of this
19 particular assay. And we optimized, qualified,
20 and validated this, and all of this was vetted by
21 the FDA. So it's a 96 well-played assay. We run
22 four samples on a plate, eight delusions in

1 duplicate, and we have a positive plate control
2 for every plate.

3 It's luminescence based. We generate
4 those response curves and then the results are
5 QC'ed. We have acceptance criteria for the assay.
6 We have, in addition to the plate control, we have
7 high, medium, and low run controls and a negative
8 control, and a number of other parameters that we
9 look at in terms of the QC'ing all of the data and
10 the acceptability of it.

11 This is just the workflow for generating
12 all of the documents that we sent to the FDA. It
13 was quite an extensive process and we have over 50
14 documents in our master file now.

15 And this is just quickly an outline of
16 the GCLP compliance sample and data flow for all
17 of the work that we've done and continue to do for
18 the Moderna program.

19 A lot of work went into this. 2020 was
20 a very busy year for us, and part of 2021. We
21 have validated and revalidated this assay for
22 eight variants, most recently JN1 and KP2.

1 And the validation for the D614G
2 variant, which was the variant circulating during
3 the time that the Moderna and Pfizer phase three
4 trials were being conducted, there was a real rush
5 to get the assay validated in time to do a
6 correlates analysis in these efficacy trials. And
7 I was involved in the Moderna efficacy trial and
8 doing the neutralization assays for the correlate
9 study.

10 And we got those assays done in early
11 2021, and I was very relieved to find that our
12 assay did correlate with efficacy and it's shown
13 down below there in the red box. Pretty
14 significant correlation for both ID50 and ID80. A
15 little better correlation even then for the spike
16 in RBD specific binding antibodies.

17 So this is really nice. Very
18 comforting. And of course, these findings
19 strengthen immunobridging for regulatory
20 approvals.

21 For example, I'm showing non-inferiority
22 of the neutralizing antibody responses in children

1 less than 18 years of age.

2 This is a list of all of the SARS CoV-2
3 variants that we have in the lab. In the red box
4 below there, that's JN1 and all of the subsequent
5 ones showing the variability in spike, and we
6 focus a lot on the variability in the RBD.

7 This is a slide that I look at a lot,
8 stare at a lot, and think about a lot, and keep
9 adding the next variants to. And we continue to
10 do this.

11 I'll show you an example now of some of
12 the neutralizing antibody data that we've
13 generated to continually look at new variants and
14 the extent to which they are evading neutralizing
15 antibodies.

16 Right now, the sample set, the serum
17 sample set that we've mostly focused on are from
18 people who have been fully immunized with the
19 Moderna vaccine. So they got the first two doses
20 of the ancestral spike at 100 microgram dose, and
21 then they got the third dose at 50 microgram, and
22 then they were boosted with the bivalent Wuhan

1 BA5, and then about eight months later, they were
2 boosted with XBB1.5. And we're using serum
3 samples from the day of boost and then four weeks
4 after the boost to look at neutralization of
5 sequential variants.

6 And so these are the results on the top
7 for people that, as far as we know, were not
8 infected previously, in the middle for people we
9 know were previously infected, and then the
10 combined group on the bottom.

11 On the left is before the boost, so this
12 is basically eight month durability data, and then
13 on the right is four weeks after the boost. And
14 it's again, you can see over time, so as you move
15 from left to right, the variants are becoming less
16 and less susceptible to neutralization.

17 After XBB1.5 boosting, the titers
18 against XBB1.5 range from 1500 in the non-infected
19 group to 3600 in the previously infected people,
20 2755 average.

21 To me, that's a pretty good titer. In
22 the initial phase three efficacy study, after two

1 doses the geometric mean titer to D614G was about
2 2,000. There was over 90 percent efficacy there.
3 So I'm always looking, personally, at least, for
4 titers that -- a geometric mean titer of around
5 2,000. We have a ways to go there now for JN1 and
6 its offspring KP2 and KP3 and KP3.1.1, which is a
7 predominant variant at this time.

8 We also got involved in calibrating. I
9 know calibrating is important for the FDA. And we
10 embarked on this because we were doing
11 neutralization assays for the Moderna program, and
12 Monogram Biosciences had the honor of doing the
13 neutralization assays for all of the other
14 programs.

15 But we were getting different titers in
16 our assay. Both of these assays were validated
17 and vetted by the FDA. And so, our objectives
18 here for calibration were immune correlates,
19 understanding that licensure for ages less than 18
20 years and immunobridging regulatory approvals, and
21 then making decisions about when to boost and what
22 to boost with moving forward.

1 And we were concerned about the
2 four-fold difference in titers that we were
3 getting. And so we did calibration, and with the
4 help of Yunda Wong and Peter Gilbert and others as
5 statisticians, we decided we needed 248
6 convalescent serum samples.

7 These were all from early in the
8 pandemic when D614G was dominant. And another set
9 of samples were similar to the ones I described
10 from Moderna, but these people only received the
11 initial Wuhan spike vaccine.

12 And then we looked at the WHO
13 international standard that was available at the
14 time. And there were three calibration
15 approaches.

16 And so these are the results on the
17 left. The titers with the 248 convalescent serum
18 samples, you can see Monogram's titers in red are
19 about four times higher than ours. And that was
20 true for the vaccine sera and the WHO
21 international standard.

22 And so the statisticians used three

1 different approaches to calibrate. One was based
2 on the international standard. Another one was --
3 the other two were based on the convalescent sera
4 using a bivariant normal distribution model or a
5 linear regression model.

6 All of them performed pretty well. But
7 approach number two with the concordance
8 correlation coefficient of .87 was the best. But
9 it showed that you can calibrate these two assays.

10 And more recently, we did calibration
11 again with Monogram for several additional
12 variants. And you can see non-calibrated titers
13 on the top. Their titers were again higher than
14 ours for pre-Omicron but not post-Omicron
15 variants. And with calibration, everything comes
16 in line very nicely.

17 So now, I'll switch to HIV. Very
18 different situation with HIV. It has a somewhat
19 smaller spike. It has at least a half a dozen
20 broadly neutralizing epitopes that are susceptible
21 and are major targets for vaccines.

22 We know a lot about those epitopes and

1 we know a lot about the antibody lineages that
2 give rise to broadly neutralizing antibodies.

3 The early days of HIV neutralizing
4 antibody assays, there was a lot of inconsistency
5 and confusion. And I was there right from the
6 very beginning of this. And I remember Marjory
7 Robert Guroff's first demonstration of the
8 antibody mediated neutralization she published in
9 Nature in 1985.

10 And then after that, multiple cell types
11 were used to propagate the virus and use these
12 targets for neutralization. Numerous human
13 lymphoblastoid cell lines and peripheral blood
14 mononuclear cells, a lot of ways of reading out
15 neutralization.

16 But then the pseudovirus technology came
17 along in about 2003. John Cappas and George Shaw
18 first applied this to neutralization and Monogram
19 had a similar pseudovirus assay at about the same
20 time.

21 And this really changed things. It was
22 an assay that was reproducible. It was

1 validatable. And the use of pseudoviruses really
2 strengthened our ability to understand what we
3 were measuring, even at the genetic level.

4 We optimized, qualified, and validated
5 the assay that John and George developed. We
6 successfully transferred this assay to at least 54
7 laboratories around the world. It's been the gold
8 standard in the field since 2005.

9 But our validation, qualification and
10 validation of this assay has not yet been vetted
11 by the FDA, and I suspect that we have some work
12 to do to meet their standards.

13 We did develop an international
14 proficiency testing program that was implemented
15 in 2009. We went through several rounds of
16 optimization. And then based on the results from
17 the third round, and this is laboratories from the
18 U.S. as well as international laboratories were
19 involved in all of those rounds, after the third
20 round, we developed a statistical qualification
21 rule. We manufactured kits. And then those kits
22 went out to a number of reference laboratories

1 that were highly experienced to get our acceptable
2 ranges.

3 And this, again, has been used by
4 multiple laboratories for quite some time now to
5 facilitate their GCLP compliance. And all of our
6 methods, all of our detailed protocols for all of
7 this, have been openly available on the websites
8 since the very early days, so that anyone can see
9 how we're doing things and hopefully do things the
10 same way to improve standardization.

11 So we have this TZMBL assay for HIV
12 that's been the gold standard for many years, but
13 what I was always concerned about is, is it the
14 right assay?

15 So would the results in this assay
16 correlate with protection against HIV acquisition
17 in humans? And we finally got to test that with
18 the antibody mediate prevention trial.

19 This was an efficacy trial that tested a
20 monoclonal antibody to the CD4 binding site of JP1
21 -- of the HIV spike. The monoclonal antibody is
22 called VRC01. There were two trials. They were

1 conducted the same but in different cohorts, one
2 in North and South America and the other one in
3 Southern Africa.

4 These people received the IV infusion,
5 10 IV infusions of VRC01. Every two months, they
6 would receive an infusion for a total of 10
7 infusions.

8 And what we were most interested in in
9 this trial was to look at whether or not there
10 would be a neutralization sieve effect. With
11 viruses that infected people who got the treatment
12 be more resistant to VRC01 than people who got
13 infected in the placebo group?

14 And so there were two laboratories that
15 sequenced the transmitted founder lineage
16 envelopes in breakthrough cases.

17 Then we had pseudo viruses made from
18 those, and we would test those pseudo viruses for
19 neutralization by VRC01.

20 This is the program that we put
21 together. So again, the two trials, one in
22 sub-Saharan Africa, one in the Americas. For

1 sub-Saharan Africa, Carolyn Williamson's lab did
2 the envelope sequencing and the founder variants
3 selection for the HV10704. Jim Mullins's lab did
4 the sequencing and the founder selection. Those
5 sequences went to Gene Whiz, the contractor. They
6 synthesized the genes and put it into our
7 expression plasmid.

8 And then for 703, those plasmids were
9 sent to Lynn. For 704, they were sent to my lab.
10 We resequenced the envelope chains in those
11 plasmids and made sure that they matched the
12 sequence that Carolyn and Jim got. And then we
13 produced the pseudo viruses, titrate the pseudo
14 viruses, and did neutralization assays with VRC01
15 in autologous serum samples. And all of those
16 data went to the statisticians at Sharp for
17 analysis.

18 While we were in the process of doing
19 this, Lynn and I exchanged plasmids, regrew the
20 pseudo viruses in our lab, and did the assays with
21 VRC01 to test equivalency across the two labs.

22 We were highly concordant equivalent,

1 really, in those tests, and that effort was
2 overseen by the director of our quality assurance
3 unit, Marciello Sarzetti Kelso. And John Herall
4 was the overall program manager for this.

5 This is a really well thought out, very
6 robust program to generate reliable results. And
7 in fact, even though there was no overall efficacy
8 in the trial, when you looked at efficacy just in
9 the group of people who got infected with viruses
10 that were the most sensitive to VRC01 that had an
11 IC80 of less than 1 microgram per mil, this is
12 about 30 percent of the breakthrough cases, there
13 was about 75 percent efficacy against those
14 viruses.

15 So now we finally had the first evidence
16 in people that the TZMBL assay was a correlate of
17 protection against the acquisition of HIV
18 infection, mediated by a neutralizing antibody.

19 And those results were further extended
20 and analyzed by the statisticians to come up with
21 what they call a PT 80, predicted titer 80 of 200.
22 This is a titer of neutralization that is required

1 to prevent transmission of the virus, the
2 acquisition. If you have a titer of 200 against a
3 virus you're exposed to, this predicts that that
4 titer would protect you. It's a very high titer
5 to achieve. It's an ID 50 titer of about 800-
6 1000. Somewhat daunting.

7 But there are some other monoclonal
8 antibody combinations, a triple combination, in
9 fact, that is expected to do much better than
10 VRC01 that's shown on the right there in the
11 purple curves on the top compared to the efficacy
12 of VRC01.

13 Those are moving forward into efficacy
14 trials that may be beginning in the next couple of
15 years.

16 I'm showing the three monoclonal
17 antibodies there at the top, the CD4 binding site
18 of V3 glycan and V2 apex antibody.

19 And these results will, if we get the
20 efficacy that we're predicting based on PT 80 that
21 is guiding the design of this trial in terms of
22 the antibodies being used and the dose and

1 schedule, that would validate the VRC1 amp results
2 and the PT 80 threshold and applicability of that
3 to being against other epitopes.

4 We're hoping that the efficacy will be
5 good enough to lead to product approval for
6 another long acting prep option.

7 And of course, we'll be generating
8 comparative data sets for next generation
9 products, and for immunobridging to permit
10 expanded access. And there will be next
11 generation BNABs that will be tested in clinical
12 studies for safety and tolerability,
13 pharmacokinetics, ADA induction.

14 But here, the thought is immunobridging
15 will replace efficacy studies because it will
16 probably no longer be possible to conduct efficacy
17 studies. And both of these, as you noticed, being
18 from the FDA, are likely to require a high
19 regulatory bar.

20 Now, HIV, as most of you know, is highly
21 genetically and antigenically diverse virus. When
22 you look at it genetically at the nucleotide

1 level, it can be broken down into multiple genetic
2 subtypes and circulating recombinant forms.

3 And they have different geographic
4 distributions. So in North and South America and
5 Europe, it's mostly claim B. Southern Africa and
6 in India, it's primarily claim C.

7 Southern Africa carries the heaviest
8 burden of HIV infections in the world, so claim C
9 is of major importance for vaccines.

10 And it's important to have reference
11 strains that represent all of this diversity to
12 understand where they are claim specific
13 neutralization phenotypes and for the evaluation
14 of candidate vaccines and candidate broadly
15 neutralizing monoclonal antibodies in combinations
16 for prevention.

17 This is now looking at the diversity of
18 SARS CoV-2. Right now, shown in the box at the
19 bottom, are the most recent JN1 lineages. And
20 looking at the amino acid diversity in spike, the
21 total diversity that we've seen in SARS CoV-2 in
22 the beginning is about .6 percent of the amino acid

1 sequences.

2 This is what HIV looks like now. This
3 isn't the total evolution from the beginning.
4 This is what it looks like now. And it continues
5 to get worse.

6 So if you compare any two variants of
7 HIV within the same subtype, they could be up to
8 20 percent different in their amino acid sequence
9 between clades or subtypes. They can be up to 35
10 percent difference. The total opposite end of the
11 spectrum.

12 We, a number of years ago, tried to
13 understand some of this diversity. And there is
14 also a need for standardized reference strains in
15 the field. And so, through our Gates consortium
16 in 2008 and 2013, we started what we called our
17 CAVD Standard Virus Panel Consortium and a
18 neutralization sera type discovery program.

19 Again, this is a five year program,
20 about \$10 million. We had a number of specimen
21 acquisition laboratories around the world. We had
22 half a dozen cloning and sequencing labs to get

1 the envelopes cloned from plasma samples that we
2 were acquiring.

3 We had three laboratories doing
4 neutralization assays in two very good groups
5 doing computational analyses of the data. We
6 created more than 500 non-pseudo type viruses of
7 all major genetic subtypes and geographic
8 diversity.

9 We used the subset of those for all of
10 the neutralization assays and came up with
11 reference strains that are still in use today.
12 There are large panels and then there are subset
13 panels.

14 So this is just a heat map of the
15 neutralization data from over 200 serum samples
16 assayed against over 200 isolates, 45,000 assays.
17 And I asked Allen de Camp, a computational
18 biologist and statistician, to tell me how many
19 and which of these strains would we need to use as
20 reference strains where the results represent the
21 overall diversity in this heat map.

22 And he used the method called LASSO, and

1 that showed that you only needed nine viruses to
2 do that. The right viruses. You see in gray up
3 there in the top right the spectrum of
4 representation, and the solid line is the best
5 selection of strains, and nine of them got us an R
6 squared value of .952. We really didn't need any
7 more than that.

8 So those are reference strains that have
9 been used for quite a few years now in the field
10 and have been very useful. And we did the same
11 thing for claim C since that is such a major
12 subtype of major importance. It was a little
13 smaller in terms of the number of serum samples
14 that we assayed, but we did have 200 Southern
15 African claim C viruses.

16 These were all transmitted founder
17 viruses. And the same type of analyses, and came
18 up with a panel of 12 viruses just for subtype C.

19 And we share these with everybody in the
20 field. They're available through reagent
21 repositories. And the whole purpose of this is
22 for standardization.

1 Now, we also have the breakthrough
2 viruses from the amp trials, and we've been
3 working with those. A number of people have.
4 We've shared these with other people who are
5 interested in them. A lot of work is being done
6 with them.

7 And we're learning that these viruses
8 are more recent in the pandemic compared to our
9 older referenced viruses, and we're seeing
10 evidence of drift.

11 So for example, the subtype C viruses
12 have greater resistance to VRC07523 and there's
13 greater resistance to PG2121 and PGDM1400 in
14 subtype B. So this is telling us that there's
15 some need for us to update our reference panels as
16 well.

17 This drift isn't happening fast, it's
18 happening slowly over decades, but it is
19 occurring. And now these viruses from the amp
20 placebo group are new reference strains that are
21 available for people to use. But we are still in
22 need of many other subtypes and for viruses from

1 breastmilk transmission.

2 Ultimately, when an HIV vaccine starts
3 generating the types of neutralizing antibodies we
4 want to see that cross neutralize primary
5 isolates, our goal is to be able to measure the
6 magnitude and breadth of neutralization and be
7 able to compare immunogens and see which ones are
8 doing better to continue to advance.

9 We don't have a vaccine right now that
10 generates antibodies that neutralize heterologous,
11 what we call heterologous tier two viruses to any
12 great extent, but we do have statistical methods
13 to actually analyze those types of data.

14 So shown on the left and the right,
15 really, the X axis is the titer of neutralization
16 and the Y axis is the number of viruses that are
17 neutralized at that titer.

18 Again, this is a type of magnitude
19 breadth plot. It's something that the
20 statisticians can analyze to look for statistical
21 differences.

22 On the right is where we applied this to

1 the very early efficacy trial of the GP120
2 immunogen where there was no efficacy.

3 But we did detect some weak heterologous
4 tier two neutralizing activity that was
5 statistically significant compared to the low-
6 level non-specific activity in the placebo group.
7 But again, this was too weak of a response to
8 amount of any efficacy. So we're looking for much
9 greater differences with future vaccines.

10 These magnitude breadth plots have also
11 been used to identify best in class broadly
12 neutralizing antibodies for passive administration
13 as prepped and to identify the best combinations
14 of those best in class.

15 And what I'm showing here in red is the
16 magnitude breadth plot of VRC01 and then in purple
17 and green and maroon color are the three
18 monoclonal antibodies I mentioned earlier that are
19 going in, are expected to be going into an
20 efficacy study.

21 Their magnitude and breadth
22 individually, and those ones in purple and green,

1 they look similar in terms of their curves, but
2 they're complimentary to one another.

3 What the green antibody neutralizes, or
4 doesn't neutralize oftentimes, the purple one
5 does, and vice versa. And so when you put those
6 three antibodies together you get that black
7 curve. And you can see that that magnitude and
8 breadth is about 50 times better than VRC01, and
9 we're really counting on that translating into
10 being that much better in the field and in people
11 as well.

12 Another very important property of HIV,
13 you've heard me talking about tier two viruses,
14 heterologous tier two viruses. What is that?

15 When you phenotype a variant of HIV with
16 serum samples from chronically infected people and
17 look at how sensitive the variants are, you can
18 actually break it down into four different tiers
19 of sensitivity.

20 You have viruses on the far right there
21 that are really sensitive to neutralization. We
22 call that Tier 1 A. And then you have the green

1 in the middle there, the majority of viruses. We
2 call that Tier 2. And then between Tier 1 A and
3 Tier 2 is this intermediate phenotype we call Tier
4 2 B. And then on the left are the least sensitive
5 viruses that we call Tier 4.

6 And so most of our focus is on those
7 viruses in the middle that are Tier 2 phenotype.
8 We stay away from Tier 1 viruses because they tend
9 to have an open confirmation where epitopes are
10 exposed that are not exposed on Tier 2 viruses,
11 which are the majority of circulating strength.

12 And Monomer TP120 immunogens will
13 generate antibodies to these epitopes, these
14 cryptic epitopes, that aren't exposed on primary
15 isolates. And those aren't good antibodies. We
16 need antibodies that will neutralize Tier 2
17 viruses.

18 And we know that the trimer, the HIV
19 trimer, breathes. It's constantly opening and
20 closing. And on Tier 2 viruses, it's in a closed
21 confirmation more of the time, whereas in Tier 1 A
22 viruses, it's in an open confirmation more of the

1 time. And in the Tier 1 B virus, it's kind of
2 somewhere in the middle.

3 And this helps to explain these
4 different neutralization tier phenotypes that we
5 see in HIV when assayed with serum samples from
6 chronically people living with HIV.

7 So just to summarize, SARS CoV-2 is a
8 new human pathogen for which much is unknown about
9 its evolutionary trajectory as it relates to
10 neutralizing antibodies.

11 I mentioned that we were required to
12 revalidate the assay for eight variants, and we
13 anticipate having to continue to do that for
14 important variants in the future.

15 And this extensive assay method
16 revalidation provides assurances of quality
17 results. But HIV is going to require a different
18 path to method validation.

19 We have done a lot of validation of the
20 TZMBL neutralization assay. But because HIV is so
21 much more complex, it's going to require a
22 different path.

1 But much more is known about HIV
2 variability and antibody mediated neutralization.
3 And this information may provide a framework for
4 decision making.

5 I'll stop there, and there are a lot of
6 people -- this is a short list of all the people
7 who are involved in this work and then the people
8 in my lab. Thank you.

9 DR. KURTZ: Thank you very much. Are
10 there any questions, please?

11 MR. WANG: Beautiful work. This is Tony
12 Wang from the Office of (inaudible). I am
13 wondering whether you have any comments regarding
14 what kind of improvement you would like to see
15 with the SARS 2 neutralization assay in general.

16 DR. MONTEFIORI: What type of
17 improvement --

18 MR. WANG: What type of improvement --

19 DR. MONTEFIORI: -- in the assay?

20 MR. WANG: Right.

21 DR. MONTEFIORI: Oh, it's a wonderful
22 assay. And coming from someone with a long

1 history of very cumbersome, extensive, time
2 consuming assays for HIV over the years, the
3 pseudo virus assay technology is wonderful, and
4 it's just really nice to see that it's working out
5 so well in terms of being a correlate.

6 It was a correlate for SARS CoV-2. The
7 pseudo virus technology is now correlate for HIV
8 as well.

9 Yes, I really -- yes, there's always
10 room for improvement, but again, from where we
11 came from, it's a really nice assay.

12 MS. KATAGIRI: Effie Strugel, Office of
13 Plasma Protein Therapeutics. So, you talked a
14 little bit about monoclonal antibodies and using
15 combination of those in clinical trials.

16 Now, we know that a lot of newer
17 monoclonals have modification in VFC to increase
18 their half-life, and as you know, that also
19 affects the bad distribution and how it goes and
20 deposit.

21 So do you have any advice on how we can
22 improve our neutralizing assays to take into

1 account this property of these antibodies?

2 DR. MONTEFIORI: Yes, I mean, that's
3 very -- those are very good points. And a good
4 question. The LS modification for improved
5 recycling of the antibody, prolonged half-life,
6 doesn't really have an impact on the neutralizing
7 activity in the assay in terms of entering
8 inhibition, which is how these antibodies work.

9 But that said, the VRC01 amp trial, that
10 VRC01 antibody, and those data that were used to
11 derive the PT80 that's being used to design the
12 next efficacy trial, the VRC01 was a non-LS
13 antibody. It was not the LS version.

14 The three antibodies going into the
15 combo amp trial are LS versions. And those
16 antibodies are likely to localize at the mucosa
17 rather than VRC01 did.

18 So there's a possibility that they'll be
19 even more protective than VRC01 being at the site
20 of exposure. And that's not something we can
21 measure in our assay.

22 But we will from that trial get a

1 revised PT80 because if there is a positive impact
2 of that localization of the antibodies at the
3 mucosa, the protective titer is likely to be
4 different than what we found in VRC01, and that
5 titer will be more useful moving forward.

6 MS. KATAGIRI: Thank you. I hope you
7 have time for another question. So as you know
8 very well, we are now moving to new platforms of
9 vaccine development, especially messenger and lay
10 vaccines, not just for Coronavirus and RSV but
11 also for HIV.

12 And there's always the question, A, what
13 is the nature of the immunogen? What is the
14 nature of the immune response? And whether the
15 current neutralization assays are going to capture
16 all the antibodies that are generated by the new
17 platform.

18 I assume this is some -- there are some
19 efforts to address this?

20 DR. MONTEFIORI: Yes, so, very good
21 question. A lot of the vaccine approaches for HIV
22 right now are focused on inducing broadly

1 neutralizing antibodies.

2 The pendulum has swung toward BNABs.
3 And we now know that one of the problems of not
4 being able to induce BNABs in the past is that we
5 didn't have immunogens that would initiate the
6 correct lineages of antibodies that give rise to
7 BNABs.

8 And now there are immunogens with
9 information that we've gained over the past ten
10 years or so, it's been possible to design
11 immunogens that will engage the germ line reverted
12 form of these BNABs.

13 So it triggers the naïve B cell receptor
14 on the right lineage of B cells, activate those
15 cells, to begin making early pre-cursors of these
16 BNABs.

17 And then the goal is to design boosting
18 immunogens that will continue to mature that
19 response, to drive the somatic hypermutation and
20 affinity maturation that's needed to become a
21 fully mature BNAB.

22 But where the field is right now, is

1 they're initiating early pre-cursors. They
2 haven't figured out how to mature them yet.

3 And I wanted to be able to use the
4 neutralization assay to monitor progress eliciting
5 early precursors of these antibodies. But the
6 early precursors don't neutralize wild type HIV.

7 And what we had to do was figure out
8 ways to engineer our pseudo viruses so that they
9 would be susceptible to neutralization by these
10 early precursors.

11 And we basically use the same
12 strategies, design strategies, that people use to
13 engineer immunogens to have high affinity for the
14 germ line reverted antibodies.

15 We engineered those features into pseudo
16 viruses and made the pseudo viruses sensitive to
17 those germ line reverted antibodies.

18 And so we are capable of monitoring for
19 certain BNAB lineages, particularly the CD4
20 binding site lineage BNABs. We're able to detect
21 those early precursors very early on. And with
22 intermediates of these engineering features that

1 we put into the pseudo viruses, we can also
2 monitor progress in terms of maturing the response
3 before the antibody is able to neutralize wild
4 type viruses.

5 So, and that's something I didn't have
6 time to describe today, but it's been very useful
7 in the field and primarily identifying interesting
8 people in clinical trials for other laboratories
9 to do their deeper interrogation for the B cells,
10 look at the molecular level, the sequence level,
11 of what those antibodies are, getting monoclonal
12 antibodies out to confirm things.

13 Our data have been fairly reliable so
14 far, pointing to the right candidates and
15 correlating with what they're finding. So that's
16 -- and we can generate these data quickly.

17 The B cell interrogations are more
18 costly and take a lot longer, and you can't do it
19 on everybody like we can.

20 DR. GOLDING: There are no questions
21 online? Well, in this case, I want to thank you
22 very much for very thoughtful and thorough talk,

1 and good luck catching your plane.

2 DR. MONTEFIORI: Thank you. Yes,
3 unfortunately, I have to run.

4 DR. GOLDING: Now we have the pleasure
5 to have Dr. Sette who is going to shift field a
6 little bit. Dr. Alessandro Sette has devoted more
7 than 35 years in biotech and academia to
8 understand and measuring mainly T-cell immune
9 responses and developing disease intervention
10 strategies against cancer, autoimmunity elegy, and
11 infectious diseases.

12 Dr. Sette has overseen the design and
13 curation efforts of National Immune Epitope
14 Database, IEDB, a freely available, widely used
15 bioinformatic resource that I think a lot of
16 scientists really are appreciating.

17 Dr. Sette's lab uses knowledge of
18 epitope to define the hallmark of the beneficial
19 immune response associated with effective vaccine
20 as opposed to immune responses that are
21 ineffective or that can actually cause harm.

22 Importantly, he founded Epimmune in

1 1997, where he serves both as the Vice President
2 of Research and Chief Scientific Counsel until
3 2002 when he joined the La Jolla institute for
4 immunology, Head of the Division of Vaccine
5 Discovery and is also the Head of Center for
6 Infection Disease at De La Jolla University.

7 And at this point, I would like to
8 invite Dr. Sette. The title of his talk is the
9 Study of Adaptive Immunity to Viruses of Pandemic
10 Concern.

11 DR. SETTE: Okay, so first, yes, okay,
12 so, the current scenario with SARS CoV-2 as we all
13 know, has been a lot of people that have been
14 vaccinated and received multiple vaccinations and
15 a lot of people have also experienced breakthrough
16 infections.

17 And so we've been curious about defining
18 the interplay between vaccinations and infection
19 in adaptive responses to SARS CoV-2.

20 And going back to early years in 2020,
21 there was a lot of confusion and concern about
22 adaptive immune responses in SARS CoV-2.

1 There was polls that said that this was
2 a Coronavirus, and the biweekly immunogenic would
3 be difficult to induce a good immune response
4 against SARS CoV-2.

5 The other end of the spectrum, people
6 were saying that the overreactive immune response
7 was killing people in the acute phase of disease
8 and infection.

9 And so we set out to measure immune
10 responses to SARS CoV-2, and we specifically
11 focused on initial status to people who have
12 experienced a mild form of infection.

13 So we wanted to have a picture of what
14 was a success story, so to speak.

15 And also, in other studies, we focused
16 on what were predictors of such a mild infection
17 versus a more severe infection. And there's a lot
18 of data that accumulated over early on over the
19 years, pointing out to an important role of T
20 cells in SARS CoV-2 immunity.

21 There's data we generated early in 2020,
22 but we demonstrated that the best predictor of

1 having a mild disease course was having early CD4
2 T-cell responses, by far. That is and has
3 remained the best predictor of mild disease.

4 Also, early CD8 response correlate.
5 Antibodies do not correlate in terms of early
6 predictors of mild outcomes.

7 And also, onset of protection in the
8 Moderna and Pfizer actually preceded the
9 appearance of neutralizing antibodies.

10 There was data from Bertoletti's group
11 in Singapore that showed that the early CD4 T-cell
12 response was actually a predictor of lower viral
13 titers later on.

14 And finally, we showed, I don't know how
15 you -- does that work to point? No. Can they see
16 it?

17 DR. KURTZ: No, sorry, only in person,
18 not online.

19 DR. SETTE: Aha. Okay, so, forget it.
20 Anyway, what you see in the righthand side is
21 another study that was eventually published later
22 in the pandemic, but was done with the early

1 samples from Italy.

2 And as you recall, Italy was hit very
3 hard early in the pandemic. And what we saw in a
4 number of collaborations with Italian research
5 centers is that a predictor of a mild disease was
6 having a broad response, not only directly against
7 spike, but also T-cell response against other
8 antigens.

9 Based on this data, Shankrote and our
10 collaborators at the La Jolla Institute came out
11 with a model in which we posed the notion that
12 protection against infection of course is driven
13 mostly by neutralizing antibodies, as David has
14 shown us a minute ago.

15 This is what you want in a vaccine
16 preventative setting, to prevent infection. You
17 want neutralizing antibodies.

18 But in the context of protection against
19 severe disease, really that is driven still by
20 antibodies, but to a large extent by cellular
21 immunity, both memory B cells but also importantly
22 by CD4 and CD8 T-cells.

1 Now, as I was mentioning in my opening
2 remarks, we are in a situation where there are two
3 important contributing factors to our immunity
4 wall.

5 By now, everybody has been vaccinated,
6 or most people have been vaccinated multiple
7 times, and most people have also been infected and
8 reinfecting multiple times.

9 And most of these factors contribute to
10 develop and maintain an immunity wall against
11 severe disease.

12 So we were interested in, as I was
13 mentioning, to understand this a little bit
14 further, and there's been conflicting reports
15 about the effect of breakthrough infections on T-
16 cell responses.

17 We have seen in collaboration, for
18 example, with a South African group and in other
19 studies in collaboration with John Wang's group,
20 an increase of T-cell responses associated with
21 breakthrough infections.

22 Other studies have shown very mild

1 increase. And other studies have shown actually a
2 decrease, which was potentially very worrisome.
3 And unfortunately, I think some of his studies got
4 a lot of press in the catastrophic thing of we're
5 all going to die because the more you get
6 breakthrough infection, the system gets exhausted
7 and apocalypse.

8 In our mind, it was important to see
9 that these studies are pointing to a decrease
10 where actually only looking at the RBD, which is a
11 small fraction of one of the proteins of SARS
12 CoV-2.

13 So we thought it was important to really
14 get a global knowledge and analysis of what's
15 really going on at the level of T-cell responses
16 in the context of breakthrough infection.

17 So we developed this assay early on to
18 dissect the impact of infection and vaccination.
19 And this is conceptually a very simple assay,
20 which is based on measuring T-cell activity and
21 plotting this T-cell activity in a two-dimensional
22 space.

1 So on the Y axis, you have activity
2 spike. And on the X axis, you have activity
3 against everything but spike, the rest of the SARS
4 CoV-2 proto.

5 And we validated this early on in the
6 pandemic when actually you could still readily
7 find people that are only vaccinated or infected
8 as opposed to now.

9 And so you see here, the blue people are
10 people who are vaccinated only. The yellow people
11 are people that are experienced both vaccination
12 and infection. The red people are people that
13 have only been infected. And the gray are people
14 that were neither infected nor vaccinated.

15 And so you see that the blue people live
16 on the Y axis. So they only see spike because
17 they've been vaccinated and they've never seen the
18 rest of a genome, while the red people live on the
19 diagonal because they've seen both spike and the
20 rest of the genome. And the yellow people have
21 seen spike twice, once when they were vaccinated
22 and the other time when they were infected. So

1 they also live on the diagonal. But they have a
2 higher content of spike, if you wish, in their
3 immune responses.

4 We more recently started a study where
5 we looked at people that had experienced
6 breakthrough infections, symptomatic breakthrough
7 infections, and were part of several hundred
8 people, longitudinal cohorts that we follow at La
9 Jolla Institute over time get blood every once in
10 a while.

11 So we had the pre- and post-symptomatic
12 breakthrough infection samples. And so, when we
13 looked at the blood, in fact we saw that about 30
14 percent of the people that, according to them,
15 never had a positive SARS CoV-2 test, nor ever
16 experienced any symptoms, nevertheless they had
17 reactivity against the SARS CoV-2 non-spike rest
18 of the genome.

19 So presumably, they have had an
20 asymptomatic infection. We show that actually
21 having these pre-symptomatic infections mattered
22 in the sense that people that had this

1 asymptomatic infection actually responded better.
2 So much for exhaustion -- responded better in the
3 context of the breakthrough infection, both in
4 terms of CD4 or antibody responses.

5 As I was saying, there was no sign that
6 we could see of this being associated with the
7 breakthrough infection being associated with T-
8 cell exhaustion.

9 In fact, the T-cell after breakthrough
10 infection seemed to have increased all the
11 functionality as defined by the capacity to
12 secrete more than one cytokine.

13 And this to me was the most cool piece
14 of data out of the study in the sense that when we
15 look at the comparing the reactivity, the T-cell
16 reactivity, before and after the breakthrough
17 infection, as we and many others have shown, in
18 reality, most of the T-cell response is not
19 impacted by limitations in the variants.

20 But we also saw both in the case of
21 Delta or Armitron, there were new epitopes
22 appearing.

1 So these T-cells actually recognized the
2 sequence of the variant, the half cause of the
3 breakthrough infection. There was no T-cell
4 activity against that peptide, that mutated
5 peptide, before a breakthrough infection, but
6 there was reactivity after infection.

7 So that means that your immune system
8 keeps up with viral evolution. So as SARS CoV-2
9 variants pick up more variants, your immune system
10 actually takes note and develops a matching piece
11 of activity, that matches this appearance of new
12 mutated sequences, which I think is pretty cool.

13 So, and this data was published earlier
14 in the year. Major points, boost in magnitude. I
15 did not show you this, but obviously, it goes --
16 it's not unexpected that people that were
17 vaccinated and then had a breakthrough infection
18 had another important maturation, if you wish, of
19 their immune response, because they started to now
20 recognize also non-spike antigens.

21 So they had a broader spectrum of
22 response, which is as you might remember from one

1 of my earliest slides, we showed with Italian
2 samples that having a broad response is actually
3 associated with milder disease after, in return
4 and published.

5 So, going back to an issue of
6 vaccination, there is a combination of keep on
7 getting boosted with SARS CoV-2, particularly with
8 now the updated vaccine, which is a good thing.

9 We were curious about some fairly basic
10 questions of what happens when you boost people
11 multiple times. And again, is that a good thing?
12 A bad thing? And what happens to, for example,
13 your magnitude, as you keep boosting? You get
14 every time same increase or eventually you get to
15 a point where you plateau out. What happens to
16 the durability of both antibody and T-cell
17 responses?

18 Do you increase the magnitude but then
19 your DK has a similar slope? Or does the slop
20 change as a functional repeated vaccination?

21 And while conceptually simple, those
22 questions are difficult to answer in the real

1 world because for example, it's very difficult to
2 find someone that was vaccinated only once, never
3 boosted, and never experienced a breakthrough
4 infection.

5 We have a few of those that we
6 affectionately refer to as the unicorns in our
7 cohort. But it's becoming increasingly difficult
8 to get any statistical power where you can compare
9 apples to apples, this kind of thing.

10 And this was actually also made more
11 difficult by real life. So this is the study
12 design of this cohort. Several hundred people, as
13 I was saying, that had the first two vaccinations
14 and the third and the fourth.

15 And obviously, this was being done at
16 the same time that Omicron and Delta variants were
17 ravaging through.

18 And so, we selected about, I think it
19 was people that had received different number of
20 boosters, and again, never tested positive nor
21 experienced any symptoms.

22 And as you can see here, if we run these

1 people that were never affected, according to
2 them, through the two-dimensional assay, we can
3 clearly see that about 30 percent or more, it
4 depends where you draw the line, had
5 experienced -- had been infected. They recognized
6 non-spike antigens.

7 And so, these are people that presumably
8 have experienced an asymptomatic infection,
9 because these are all people that when asked,
10 through the main blog, they were asked whether
11 they had any respiratory episodes.

12 So how does it look in terms of the
13 response? This is the antibody response. It's
14 actually, I think it's a cool graph.

15 You can see, I can't point, but you can
16 see, again, vaccinated, it goes up and then goes
17 down. Then the booster goes up and goes down.
18 Third injection, up and down.

19 But you can see where the slope inches
20 up. So it basically, as you keep boosting, you
21 inference not so much the magnitude but also the
22 durability response.

1 sequencing. So we sorted the antigen-specific T-
2 cells identified by the aim assay and then we did
3 single cell sequencing.

4 And you see here, we actually sequenced
5 a million, more than a million antigen specific T-
6 cells. To the best of my knowledge, this is the
7 biggest study in terms of number of cells that
8 have been sequenced that are antigen specific for
9 acute respiratory infection.

10 So, what do we see? These are the CD4
11 T- cells, and you see here, you see what you
12 expect to see. You see center of memory, TH 1, TH
13 2, TH 17, like, and you see also this TFR, very
14 prominent T-cell subset.

15 It's resemblant of T or regulatory T-
16 cells. And I'll get back to that in a minute. At
17 the level of CD 8, again, you see what you expect
18 to see, a large group of granzyme high CD8
19 particles, are your legit killers, and then other
20 effector population and so forth.

21 So, first things, what we were
22 interested in is there T-cell exhaustion as a

1 function of repeated vaccination and as a function
2 of asymptomatic infection? And there is not.

3 If you look at the CD 4 T cells, whether
4 they experienced asymptomatic infection or not,
5 they keep secreting their cytokines, CDA T cells
6 do not change in their subset of exhausted T
7 cells. Again, regardless of whether these people
8 have experienced an asymptomatic infection or not.

9 And to reiterate this point, in the
10 context of the people in red here that have had
11 asymptomatic infection, if anything, they have
12 higher effector function, defined as TH 17 like,
13 population or for the CDA T cells, the granzyme
14 positive T cells.

15 So, there is no exhaustion that we can
16 see at all as a function of multiple vaccination,
17 and having had asymptomatic breakthrough
18 infection, doesn't give you exhaustion, either.
19 Again, in terms of perhaps countering the
20 catastrophic point of view of the badness of
21 repeated exposure.

22 But what we do see instead, which is

1 very interesting to me, is this P follicular
2 regulatory T cell.

3 And what we see is that this population
4 increases over time, but only in the people that
5 have had this asymptomatic infection.

6 So we have a situation here where the
7 repeated vaccination and the breakthrough
8 infection preserves and increases the adaptive
9 immune response, both in the antibody and at the T
10 cell level.

11 But at the same time, there is a
12 regulatory T cell population that develops. And
13 actually Dunafarber (phonetic) has very similar
14 data in their data looking at tissue resident
15 memory T-cells.

16 So our hypothesis is that this is
17 actually a good population in the sense that this
18 is a population that may be linked with limiting
19 or preventing tissue damage in the context of a
20 breakthrough infection.

21 Remember, these are all asymptomatic
22 infections. This is, at this point, a

1 speculation. And the way to address this
2 properly, and the reason for doing both
3 experiments, is to show -- to look at people that
4 have had symptomatic infections.

5 So we would predict the people that have
6 had symptomatic infections maybe have less of this
7 regulatory population that prevents may be
8 involved in preventing the immunopathology of a
9 stated liver infection.

10 And I think we went through all these
11 conclusions. In the last -- how much time do I
12 have? Okay, so in the last few slides, I wanted
13 to talk about avian flu, highly pathogenic avian
14 flu, which is of course an issue that is of
15 concern right now as a potential pandemic or
16 outbreak, so forth.

17 So I just want to bring you back to some
18 basic observations made during the pandemic, and
19 the observation was that again, the T cell was
20 largely -- T cell response was largely preserved
21 at the level of different variants because the
22 variant mutation were concentrated and rapidly few

1 compared to the very large breadth of epitopes
2 recognized by the human T-cell response.

3 And also, there's data that showed that
4 people that had pre-existing immunity, presumably
5 because of recent exposure to common cold
6 Coronaviruses, we're talking about at the start of
7 the pandemic, actually did better in terms of
8 disease severity compared to people that did not.

9 And this all went way down to actually
10 different rates of mortality. So the concept that
11 I'm putting forth is that if cross reactive
12 preexisting T-cell immunity may be important and a
13 factor in planting disease severity, not
14 implementing infection, but in influencing the
15 disease severity.

16 Okay, so highly pathogenic avian
17 influenza. We all know there has been a number of
18 different pandemics, 1918 the most famous killing
19 tens of millions of people, and has been the
20 recent spillover of HPAI into not only poultry but
21 also fields or cattle and widely detected in
22 wastewater.

1 So, really of concerns. I want to point
2 out also that the label of highly pathogenic is
3 actually referring to highly pathogenic for birds.

4 So if you're a bird, the H5N1, highly
5 pathogenic is a thing, how highly pathogenic is,
6 for example, in cows and other mammals, is more
7 debatable. In fact, in most cases has been
8 rapidly mild.

9 And in humans, the pathogenicity is very
10 all over the place, in some cases in the old
11 studies were up to 50 percent recently, has been
12 no, that I know of, severe case of severe disease
13 associated with human infection with the 2, 3, 4
14 claim.

15 And it's also complicated to really know
16 what is the pathogenicity for humans, because A,
17 this can continue to evolve, but also in many
18 cases, probably infections have not been detected
19 in the first place, so you don't know what the
20 denominator is, the real number of infections.

21 So, nevertheless, it's a very concerning
22 situation that we need to monitor and follow

1 closely.

2 Now, is there immunity, pre-existing
3 immunity, to humans, in H5N1? So, we heard
4 earlier on the fact that actually neuraminates is
5 an important antigen.

6 Actually, antibodies against
7 neuraminates are consequential in terms of
8 potentially having an anti-viral effect.

9 And H1N1 is one of a currently
10 circulating influenza in humans. And H5N1 has the
11 same N1. Not exactly the same, but basically,
12 there would be some degree of potential cross-
13 activity at the antibody level against
14 neuraminates. In general, VHA does not cross
15 react.

16 But there's also -- and there is
17 evidence in the old swine origin 1957 pandemic
18 that preexisting immunity really can be
19 influential in the case of influenza.

20 And what was the observation back then
21 was that old people, for one, as an old person, I
22 can say sometimes things are good for old people,

1 so the older people in that context fared better
2 in terms of disease severity than younger people.

3 And that was correlated to a fact that
4 again, H1N1 had circulated before the 1957 in
5 humans. And so people that were old enough to
6 have had exposure to that had some degree of
7 preexisting immunity, planted presumably disease
8 severity from the swine origin H1N1.

9 So what about T cells? So there's some
10 bioinformatic analysis, and the first thing that
11 we were curious to ask was is there a fundamental
12 difference? Is H5N1 a fundamentally different
13 beast in terms of what antigens are recognized?

14 And if you do an analysis of what is
15 published overall literature of what humans and
16 animals recognize in H5N1 as opposed to the
17 currently circulating in humans influenza, the
18 hierarchy of what antigens are recognized is
19 essentially the same.

20 What degree of conservation is there, or
21 is there conservation, between the targets of T
22 cell response? And again, this is a bioinformatic

1 analysis where we went in and defined what -- not
2 defined. We curated what the scientific
3 literature had defined as dominant T cell epitopes
4 in the currently circulating influenza strains and
5 subtypes, and asked, are these epitopes which make
6 up existing T cell response in humans right now,
7 are those conserved or mutated in H5N1?

8 And as you can see in this slide, we are
9 going into the den, essentially, the epitopes are
10 conserved to a large extent in the 50-70 percent
11 range and also as the claid of H5N1 has been
12 evolving, there is no evidence that it's picking
13 up more mutations in the dominant vectors.

14 And finally, we did some experiments.
15 This is with lab. So here, it's a simple mind of
16 experiment in which you basically have the
17 dominant T cell epitope seen in humans from the
18 currently circulating influenza and you ask, if I
19 synthesize the matching peptide from H5N1, is
20 there still cross reactivity?

21 So the T cell that are elicited by the
22 currently circulating influenza strains, do they

1 also recognize H5N1? And as you can see in the
2 slide, that is absolutely very strong preservation
3 of the T cell reactivity.

4 In other words, the reactivity that you
5 get from normal donors in the San Diego region
6 that we had for this purpose, are capable to
7 recognize also the H5N1 matching thing.

8 So whether this is going to be actually
9 consequential is a matter of debate in the sense
10 that the degree of conversation is less than there
11 was between -- at the time of the swine origin
12 pandemic, but it's more than it was between common
13 cold and SARS CoV2. So by that criteria, it's
14 somewhere in the middle.

15 And so at this point, it's a very soft
16 conclusion. But we would expect that where to be
17 a widespread human transmission of H5N1, the
18 existing piece of response may be able to some
19 degree to impact disease severity.

20 And with that, I'd like to end
21 acknowledging some of the main contributors of
22 this study, all the COVID stuff, the early stuff

1 in particular, was done in collaboration with
2 Shane Crawdey lab, Al Bagrifoni, and Ricardo Silva
3 have been doing a lot of the work with the
4 breakthrough and setting up the immunophenotyping
5 studies. And Jacinta and Greg and BJ have been
6 involved in the single cell studies to a large
7 extent and collaborations and funding as well.
8 Thank you.

9 UNKNOWN MALE: I have a question about
10 the TFRs. Were they from paid for blood?

11 DR. SETTE: Yes, this is all paid for
12 blood.

13 UNKNOWN MALE: Did you have a chance to
14 look at any tissues or lymph nodes, if they're
15 also increasing?

16 DR. SETTE: No, we have not. And I was
17 mentioning, there is also study from Donafarber
18 where they have access to organ donors, material
19 from organ donors.

20 And in the tissues, they see something,
21 not exactly the same but very, very similar, but
22 also they call regulatory population.

1 UNKNOWN MALE: And I also saw a note.
2 They are not inhibitory? They don't have IL 10,
3 TGF data?

4 DR. SETTE: They do not. They do not
5 have IL 10. So they are not classic T regs, at
6 least in our hands.

7 UNKNOWN MALE: The tissue damage
8 control, then what function -- what cytokines
9 would do that tissue damage control?

10 DR. SETTE: There is a bunch of other
11 markers that are associated with regulatory
12 function. I mean, IL 10 is not the only thing
13 that can now regulate T cell response.

14 UNKNOWN MALE: IL 10 is the classical
15 TFR.

16 DR. SETTE: Right, right, right.

17 UNKNOWN MALE: It's not the same. Is
18 only facts through three posted? Are they?

19 DR. SETTE: They are.

20 UNKNOWN MALE: Okay.

21 UNKNOWN MALE 2: I'm quite intrigued
22 with the slide right before this, where you showed

1 that, if I remember correctly, like less than 6
2 percent conserved T cell epitopes, that's
3 sufficient to limit disease severity in the case
4 of SARS CoV-2? Am I right, or no?

5 DR. SETTE: So there, I was trying to be
6 conservative. In terms, if you look at the degree
7 of how frequently you find an epitope which is
8 conserved between SARS CoV-2 and common cold,
9 that's 6 percent. So that's completely different
10 --

11 UNKNOWN MALE 2: Oh, okay.

12 DR. SETTE: -- viruses. And so, that's
13 a low estimate. And nevertheless, the data was
14 very clear that that can have an impact. So I was
15 trying to sandwich between two, a low end and a
16 high end, wherein the swine flu was 70 percent or
17 so conservative.

18 UNKNOWN MALE 2: The other thing is, I
19 have this question for a long time. When you run
20 cell immunity, like those assays, I know your lab
21 will look at an NP or maybe R3A and those other
22 things.

1 How do cellular immunity really work in
2 the case that an epitope is derived from a protein
3 that is primarily found intracellularly?

4 So is it mainly through CTL, meaning
5 killing of the infected cells, or are there any
6 other magnets for cellular immunity to control the
7 disease severity if the epitope itself is derived
8 from a viral protein that is primarily
9 intracellular?

10 DR. SETTE: So, well, I guess this is a
11 very fundamental basic question.

12 UNKNOWN MALE 2: I'm trying to improve
13 my immunology.

14 DR. SETTE: Right. Yes. So, in
15 general, yes, CD8, and I don't want to be too
16 trivial, so CD8 T cells in general recognize
17 things that are inside the cell but there are
18 pathways called cross presentation where CD8 T
19 cells can actually recognize things that are
20 entering through an exogenous pathway.

21 CD8 T cells can kill directly through a
22 variety of different mechanisms, one of which is

1 to make a big hole in the plasma membrane of the
2 target cells and there's granzymes and other
3 things, as well as they secrete a bunch of other
4 cytokines, such as (inaudible) TNF but can have
5 also bystander effect.

6 CD4 T-cells, it's pretty much the same
7 thing except in very much different equilibrium,
8 in the sense that CD4 T-cells tend to see mostly
9 things that come from the outside, but also if you
10 purify class two molecules and allude to the
11 peptides that are bound to class two molecules, a
12 large fraction of them are also derived from
13 inside the cell.

14 So it's not that a CD4 T-cell can't
15 recognize things from inside the cell, but the
16 cell that they recognize with the finish, they
17 need to express class two, and class two molecules
18 are expressed by lymphoid origin cells while class
19 one is ubiquitously expressed by every single cell
20 of the body apart from red blood cells and neurons
21 and stuff like that.

22 But, so, in general, CD4 T-cells with

1 aid in the development of antibody response, but
2 there are also cytotoxic T-cells that kill and
3 secrete bystander cytokines.

4 DR. GOLDING: I think we need to move on
5 because we have another two speakers. So, Jerry,
6 would you like to --

7 MR. WARE: Jerry Ware.

8 DR. GOLDING: You'll be the last one.

9 MR. WARE: Viral Products. This is a
10 speculative question for you. Based on everything
11 you showed both for SARS CoV-2 and influenza, if
12 one believes that a broad T-cell response actually
13 aids in protection against severe disease or
14 disease, to me, that suggests that one would not
15 limit a successful vaccine design to a single
16 anagen.

17 Do you have any comment you want to make
18 on that or am I reading too much into your data?

19 DR. SETTE: Yes, and I believe actually
20 having multiple vaccines, multiple antigens in a
21 vaccine is potentially of interest.

22 And certainly, one could answer that

1 live influenza vaccines have multiple antigens or
2 pertussis vaccine has for different antigens.

3 I think one has to balance the fact that
4 one maybe beneficial developing something that has
5 multiple antigens is by definition more complex
6 for a variety of reasons.

7 You have to purify, say four different
8 things, may interfere with each other and so
9 forth. So I think it's a balance between
10 different components.

11 And also different modalities to
12 delivery may induce prevalently only CD 4 T-cells
13 or both CD 4 and CD 8 and so forth.

14 DR. GOLDING: Thank you very much. I
15 think we have to move on.

16 DR. KURTZ: Thank you, everybody, for
17 joining us. And so, we are in the final stretch
18 of our CBER symposium. And I have the privilege to
19 introduce our last two speakers for the day who
20 are colleagues of mine from the Office of Vaccines
21 here at the FDA.

22 So I'm going to introduce both of them

1 together and they will take joint questions at the
2 end of their two talks to end us out.

3 So today, we have talks from Dr.
4 Akkoyunlu, who is in the Division of Bacterial
5 Parasitic and Allergenic Products.

6 And his lab mostly focuses on the
7 development of immunity and immune needs to
8 specifically develop B cell immunity to conjugate
9 vaccines.

10 So Dr. Akkoyunlu has been here at the
11 FDA for over 20 years and he is a principal
12 investigator in DBPAP.

13 And our last speaker for the day will be
14 Dr. Weiss, who has also been at the FDA for almost
15 25 years in the Division of Viral Products. So
16 Dr. Weiss, her lab focuses on viral pathogenesis
17 as well as the development of neutralization and
18 understanding neutralizing antibody responses for
19 viruses including HIV, influenza, and most
20 recently, SARS CoV-2.

21 So with that, Dr. Akkoyunlu would like
22 to come and give his talk, we'd appreciate it.

1 DR. AKKOYUNLU: Okay, thank you for the
2 opportunity to give this talk about early age
3 immune responses to vaccines.

4 So I'm part of the Laboratory of
5 Bacterial Polysaccharides, where we regulate
6 bacterial polysaccharide vaccines.

7 So these vaccines target and capsulate
8 bacteria. Some of them are listed here. Nice, I
9 manage this, streptococcus nomania, group B
10 streptococcus, and they can cause debilitating,
11 very serious diseases such as bacteremia,
12 meningitis.

13 And another very important common
14 feature of these bacteria are that they mostly
15 target newborns and infants.

16 So they are encapsulated. The capsule
17 is composed of polysaccharides and the structure
18 of these polysaccharides dictate their serotypes
19 and serogroups.

20 And the capsule itself has very loose
21 properties. Some of them are listed here. They
22 can inhibit complement, phagocytosis, and it's

1 always true that the more the capsule, the more
2 invasive the bacteria are.

3 And then we have also contributed to
4 this while we go showing that some bacterial
5 polysaccharides can inhibit LPS-induced
6 recognition by the host. So it's veering its
7 property to evade the host's immune system.

8 And it's been a long time since anybody
9 developed against these polysaccharides was
10 recognized in 1930. It was shown in a skin test,
11 hosts have antibodies against polysaccharides.

12 And then in 1940s, based on these
13 observations, people started to think about
14 immunizing.

15 And here you see a paper from 1945 about
16 immunizing with pneumococcal polysaccharides. But
17 the problem with these polysaccharides vaccines
18 are they are T cell independent type two antigens,
19 which means that they only induce short lived
20 antibody response, composed of mainly IGM and
21 IGG3.

22 And they elicit big memory response to

1 booster immunizations. And more importantly in
2 infants, and especially those who are younger than
3 one year old, they don't induce any antibody
4 response.

5 As I said earlier, that this age group
6 is the most vulnerable to these pathogens. But
7 nevertheless, these efforts to develop vaccines
8 proceeded, and you can see a list of some of the
9 vaccines that were polysaccharides, pure
10 polysaccharide vaccines approved, and they are all
11 indicated about infancy.

12 So why are they polymerogenic? So
13 typically, this multivalent structure of the
14 polysaccharides are recognized by the B cells BCRs
15 or B cell receptors, and this recognition is not
16 sufficient to activate these B cells.

17 And sometimes, it's actually inhibitory.
18 And during an infection, though, there are a lot
19 of bacterial products that can get a ticking
20 signal, then these B cells would respond, for
21 example, they have PS.

22 But it was for a long time, it was not

1 known what was the second physiological second
2 signal. And this question was -- so by the way,
3 again, this recognition of BCR, polysaccharides of
4 BCR, doesn't lead to antibody response, but this
5 question of the second signal, physiological
6 signal, was answered in 2001 by several papers, by
7 using a TACI knockout mouse.

8 This mouse is expressed on B cells, this
9 TACI. They show that these mice didn't respond to
10 polysaccharides at all.

11 And so TACI's a receptor on most B
12 cells, as I said. It has two ligands, BAFF and
13 APRIL. They are TNF family ligands.

14 And they can then provide the second
15 signal and then allow these B cells to respond.
16 And we became interested in this system because of
17 the similarity between the TACI knockout mouse and
18 the early age newborns.

19 So then we focused on the newborn mice
20 and looked at -- measured TACI levels first. And
21 we saw that, as you can see here, they were
22 severely reduced compared to adult B cell TACI

1 levels.

2 So as a result, when you expose these
3 details to BAFF and APRIL, the ligands, there's
4 not enough TACI to induce the second signal. So
5 you cannot get antibody secretion from these B
6 cells.

7 So we believe that at this point that
8 newborns don't respond to polysaccharides because
9 they lack this expression of TACI. And then we
10 further tested this hypothesis by using CPG, which
11 we had earlier shown that CPG can upregulate TACI
12 on adult B cells. You can see here in this full
13 cytometry.

14 And we did the same thing with neonates.
15 And indeed, in neonates also we were able to
16 induce the upregulation of TACI expression, which
17 then resulted with responsiveness to BAFF and
18 APRIL.

19 Now these newborn B cells were also able
20 to respond to BAFF and APRIL. Then we moved on to
21 in vitro experiments where we immunized neonatal
22 mice. We can see here that when we add CPG to NP

1 cycle, the present type T cell in phenotype two
2 antigen, now we can induce antibodies, even in
3 newborns.

4 And this was because of the TACI
5 upregulation because the TACI knockout newborns
6 were not able to respond, even when we added CPG
7 to NP cycle.

8 So these collectively, these data then
9 showed that newborn unresponsiveness to
10 polysaccharides, the independent type two
11 antigens, was because they didn't express
12 sufficient amount of TACI, and this observation
13 was subsequently shown that in human newborns
14 also, and infants, their TACI levels are low, so
15 most likely the same scenario applies to humans
16 also.

17 But the field has moved on, and with the
18 efforts of many labs and especially the late John
19 Robbins, the polysaccharide vaccines were made
20 more immunogenic by conjugating the proteins.

21 And this conjugation led to more
22 immunogenic polysaccharide vaccines because it

1 involved T cells. So now they became -- the
2 vaccines became T cell dependent.

3 And for a long time, how T cells were
4 involved was not known, but Dennis Cappas's lab
5 then showed that these T cells actually recognize
6 the polysaccharide in the MAC2 when they are bound
7 to the peptide.

8 So there are polysaccharide specific CD
9 4 T cells which then provide this T cell help, and
10 you get T cell dependent immune response.

11 And then this led to development of many
12 vaccines including ML4 influenza and pneumococcal
13 conjugate vaccines. Now they are part of the
14 routine immunization schedule.

15 But the problem is that they need to be
16 administered multiple times, like most other
17 protein vaccines to independent response.

18 And this in the field is recognized as a
19 window of vulnerability, because during this time
20 until they complete the whole regimen, they are
21 still susceptible to a degree to infection with
22 this bacteria.

1 So this is a burden. And the need to
2 administer multiple doses also leads to compliance
3 issues.

4 There was this study in 2016 showed that
5 in the United States, 75 percent of children
6 complete all those six recommended vaccines by the
7 age of 18 months of age, and some of them are
8 undervaccinated which is also pretty significant.

9 And then if you think about developing
10 countries, this issue of course most likely is
11 much bigger.

12 So then the question is then why do
13 infants need multiple doses when one vaccine dose
14 is sufficient to elicit protective immune response
15 in adults?

16 So we became interested in this
17 question. And we started to study the mechanism
18 of dysregulated T-dependent response to vaccines
19 in early age.

20 So in order to be able to understand
21 that, we need to focus on how T-dependent response
22 happens in adults, because it's very well studied.

1 We know that in the T cell zone, the
2 antigen is captured by dendritic cells and then
3 presented to CD 4, which then relocate the
4 follicles and become T follicular helper cells.

5 And then they then help the dendritic
6 helper B cells, which leads to memory and plasma
7 cells. And this reaction is very tightly
8 regulated. And there are stimulators and
9 inhibitors.

10 For example, IL 6 is a subject cytokine.
11 It's very important in the generation of TFHs. In
12 addition to IL 6, there's IL 21. But there's also
13 the inhibitor, cytokine IL 2.

14 This is mostly likely needed because
15 excess TFH leads to autoimmune disease and also it
16 has to do with clone expansion and affinity
17 maturation.

18 And then as I said, the IL 2 inhibits --
19 and how IL 2 inhibits TFH is very well studied
20 through phosphoryl step five, which then blocks
21 BCF six, which is the transcription factor for
22 TFHs.

1 But how IL 6 improved TFHs was not known
2 until 2019, where (inaudible) lab showed that in a
3 very I think neat study, they showed that IL 6
4 signals through phosphoryl step three, and then
5 inhibits IL 2 receptor beta, which then removes
6 these receptors and the IL 2 doesn't have
7 sufficient receptors to induce the inhibitory BCR
8 inhibitory signal. And that's how it appears like
9 this IL 6 mediated stimulation of TFH generation
10 happens. And it's also very well established that
11 newborns don't generate TFHs as good as adults,
12 which then explains why that you need multiple
13 immunizations.

14 And typically TFHs are marked by PD1 and
15 CXCR 5, and many labs have shown this. And we
16 also presented the same data. You can see how
17 small the TFH population in newborn mice.

18 And this is the kinetics and this
19 dramatic difference explains why they don't
20 respond well. And since based on these findings
21 about IL 6 and IL 2, then we wanted to focus on
22 their physiology in newborns.

1 So we immunized newborn mice, five to
2 seven day old mice, and adult mice with
3 pneumococcal TT conjugate vaccine, and then
4 analyzed their cells.

5 And we first looked at IL 6 production,
6 IL 2 production, IL 2 receptor expression, and
7 then step five maturation.

8 The first thing we saw was very
9 surprising to us. We saw that after immunization
10 by day one, neonates in the spleen had a lot more
11 IL 6 than the adults.

12 So here, the IL 6, which is a signature
13 cytokine that stimulates TFHs in adults, you have
14 even more in neonates. You can see that it
15 continues until day three and then goes down.

16 You see in one example, you see the C
17 cells, they are cells, but we saw them in B cells,
18 even T cells for this IL 6 after immunization with
19 PTS 14.

20 Next, we looked at IL 2. Here, I need
21 to focus on -- present this data indicating that
22 we exclude TFRs. And these cells are PD 1 post, 6

1 CR posted, and then fox B3 negative. And you can
2 see that IL 2 actually has produced much more in
3 neonatal TFH compared to adult TFH. And not only
4 IL 2 has produced more, but also IL 2 receptors,
5 both alpha and beta produced more in neonates
6 compared to adults.

7 So now here we have a situation where in
8 adults, IL 6 inhibits IL 2 receptor beta, but
9 after immunization, not only we have more IL 6 in
10 neonates, but then also this leads to more IL 2
11 production and also more IL 2 receptor production,
12 which I didn't show the data, but there's more
13 phosphoryl step five also.

14 So this is the working hypothesis on how
15 neonates TFHs are not generated as good as adults.
16 So we wanted to do additional experiments to prove
17 if this is true or not.

18 So one way to prove it is to give excess
19 IL 6. So if IL 6 is inhibitory, so we gave the
20 vaccine again with the PTS 14 with IL 6. It's
21 like an adjuvant, or without IL 6.

22 In adults, what we saw is that IL 6

1 induces more TFH, whereas neonates, already low
2 TFH goes even lower, if we have excess IL 6.

3 And this is the quantification. And not
4 only the TFHs are affected with excess IL6, in
5 adults, we see more IGG and in neonates, already
6 low IGG response against TPS 14 decreases further.

7 And then when we look at cytokines, IL 2
8 inhibitory cytokines, IL 2, now with IL 6, we have
9 more IL 2 in neonates. We have less IL 2 in
10 adults.

11 And what about the receptors? Also
12 receptors are increased in neonates, and only IL 2
13 receptor beta is decreased, just like (inaudible)
14 lab showed, which IL 6 targets receptor beta in
15 adults.

16 So this is the summary of what I have
17 shown so far with the immunized mice and mice
18 immunized with IL 6 containing vaccine. It is
19 inhibitory for TFHs. IL 6 induces more IL 2, more
20 receptors, and more step five.

21 And then the question is that again, is
22 IL 6 responsible? Then the final experiment with

1 it was in IL 6 knockout mouse. So if IL 6 is
2 really responsible, then we should see a phenotype
3 reversing this effect.

4 And IL 6 knockout mouse has been used in
5 the field within adults in numerous labs. And
6 here is one example.

7 You can see that in IL 6 knockout mouse,
8 TFH population decreases significantly,
9 underscoring the importance of IL6 and generating
10 TFHs.

11 So in newborns, first we looked at
12 antibody response. You have more antibodies when
13 you don't have IL 6.

14 You have better TFH when you don't have
15 IL6. And you have less IL 2 when you don't have
16 IL 6 compared to wild type.

17 And then also the receptor levels go
18 down, both alpha and beta. So this is the
19 situation. Then in wild type mouse, you have the
20 lower IGG, TFH, germinal center B, germinal center
21 B cells. I didn't show the data there also.

22 Same as TFH. And that is correlated

1 with the receptor expression, IL 2 expression, and
2 also step three.

3 But when we remove IL 6, then everything
4 reverses and we improve the vaccine. And then we
5 know that IL 6 signals through step three, you can
6 see that here, ex vivo staining for step three
7 shows more step three phosphorylation when we have
8 excess IL 6.

9 But then how is it possible that in
10 adults, you have this opposite effect on IL 2 and
11 IL 2 receptors? And we are now trying to
12 understand that.

13 The challenge here is that the
14 subpopulation is very small. So we are developing
15 an in vitro system to generate TFHs, which can
16 respond to IL 6.

17 And I can say that I had a poster today.
18 We were able to succeed in that, which means that
19 in vitro, we can give IL 6 to human T cells and
20 improve TFHs in neonatal T cells. We can suppress
21 TFH with IL 6. So hopefully, we will be able to
22 interrogate those cells and try to get the answer

1 we are looking for.

2 Yes, this is the summary of what I just
3 said. But what does this mean? The implications
4 of all these studies show that in response to
5 vaccines, adults and newborns in this system
6 substantially differently.

7 And then the delineation of these
8 differences between adult and neonatal in the
9 system can help improve pediatric vaccines by
10 devising strategies tailored for neonatal immune
11 system.

12 And with that, I want to thank people
13 who did the work. The initial TACI work was done
14 by Sunita Anora and (inaudible) led the TFH work.
15 And we had great support from the Core facility.
16 And thanks to Richard Brown for TACI knockout
17 mouse. And we were generously supported by the
18 Administration and also from the Perinatal Health
19 Center of Excellence Grant. Thank you.

20 DR. KURTZ: Thanks, Moustafa. So you
21 guys are going to take questions at the end
22 together. So, if we could ask Dr. Weiss to come

1 up for the last talk of the session, and then
2 they'll take joint questions. Thank you.

3 DR. WEISS: Okay, so good afternoon,
4 everyone. And thank you to those of you in the
5 audience and online who have made it here through
6 the end of the symposium. This is the final talk.

7 So, also, I want to mention that the
8 important work that you saw earlier today by Dr.
9 Satte and Dr. Montefiori has provided a great
10 background for some of the topics that I'm going
11 to touch on in my talk, which is Antigenic
12 Assessments of Recent SARS CoV-2 Variants for
13 Guiding COVID-19 Vaccine Variant Composition
14 Updates.

15 So I'll begin my talk with a very brief
16 review of SARS CoV-2 variant evolution, and then
17 I'll go through some of our laboratory data that
18 informs variant composition updates for COVID-19
19 vaccines.

20 And this will include neutralization of
21 new variants by post-vaccination serum as well as
22 antigenic assessments of new variants using

1 primary infection sera.

2 And what I mean by primary infection
3 sera, it's convalescent sera from people that have
4 been infected by their first virus, SARS CoV-2
5 virus, the first variant, and there's no
6 background immunity from vaccination.

7 So, this graph from the CDC makes the
8 important point that there has been a divergence
9 of SARS CoV-2 test positivity, number of cases
10 shown by this orange line on the graph, and COVID-

11 Tests shown by the blue bars on this
12 graph over time.

13 And this is really primarily due to the
14 increasing population immunity. So somewhere
15 around about 2022, you can see that the test
16 positivity cases continue.

17 So you can see that the test positive
18 cases continue, but the deaths fortunately do not
19 increase at the same rate.

20 And so this is due again primarily to
21 the impact of immunity, and as you heard from Dr.
22 Sette, cellular immunity helps clear virus

1 infected cells, which mitigates disease.

2 And as you heard from Dr. Montefiori,
3 neutralizing antibodies can help prevent virus
4 infection of cells.

5 Nonetheless, we still see that these
6 waves continue, and actually our most recent wave
7 of test positive cases was high, not quite as high
8 as the first Omicron variant.

9 So why do these waves continue? Well,
10 clearly, it's because SARS CoV-2 is evolving
11 quickly, as we know too well.

12 And so what we're seeing in this graph,
13 as I'm point -- what I'm pointing out here is that
14 each of these peaks in the test positivity cases
15 shown in the orange actually is associated with
16 new variants that dominate that wave.

17 And so, what is believed then is that
18 these -- and there's data that I'll show you and
19 has already been shown, is that these new variants
20 are escaping antibody neutralizers.

21 So believe then that vaccines that can
22 match the circulating variants can improve

1 protection against those variants as well as
2 variants that are similar to them, and there is
3 plenty of data to support that.

4 So during the course of the pandemic, my
5 lab has been involved in measuring post-
6 vaccination and primary infection serum
7 neutralization of new variants as they've
8 occurred.

9 And in the context of high population
10 immunity, there's really several important
11 considerations.

12 And that is, and Dr. Montefiori pointed
13 this out as well as Dr. Sette, serum
14 neutralization titers reflect past antigenic
15 exposures from vaccinations, infections, or both.

16 And then as well, the responses to
17 COVID- vaccines may also be influenced by prior
18 SARS CoV infections and vaccinations. So for our
19 studies, our serum sources come from two large
20 prospective cohort studies. One is called the
21 PASS study, and this is our major source of
22 COVID-19 post vaccination sera.

1 This comes from healthcare workers at
2 Walter Reed National Military Medical Center and
3 this clinical study is led by Edward Mitre.

4 The EPICC study also is our primary
5 source of primary infection sera, and this comes
6 from Adult U.S. Military Healthcare Beneficiaries.
7 And that clinical trial is led by Simon Pollett.

8 And the methods that we use in our lab
9 are very similar to the ones that you heard from
10 Dr. Montefiori. We use a lentiviral pseudovirus
11 neutralization assay.

12 And I think the main difference in our
13 assays is that we've created a stable cell line
14 expressing both ACE 2 and Tempris 2.

15 We've also done antigenic analysis using
16 a variety of methods. And that's done in
17 collaboration with Leah Katzelmek at MIAID.

18 So, in this first data slide, I'm
19 showing you that new variants evade neutralization
20 by post vaccination sera.

21 So, we looked at sera from different
22 groups and have had different histories of

1 vaccinations. So this dark purple line is the
2 group that had just three vaccinations with the
3 original or prototype vaccine based on the
4 ancestral variant.

5 And what we see is that we have very
6 good titers obviously to the max strain and pretty
7 good titers against the later Delta strain.

8 But as you know in 2021, and 2022, the
9 first Omicron variant developed a very large wave
10 to be a one variant. And we saw that titers
11 dropped four fold.

12 And then a later Omicron variant, I'm
13 calling here BA4/5, those are related variants
14 that have the same spike but different internal
15 genes.

16 But you can see that that led to more
17 than tenfold drop in neutralization titers against
18 that variant.

19 People that got four doses of the
20 original vaccine had a similar drop in titers to
21 those new Omicron variants at the time. But the
22 titers were higher if you had that fourth dose.

1 So in the spring of 2022, there was
2 decisions being made about the next booster and
3 whether the variant composition should be updated.

4 And at the time, the BA 4/5 variants or
5 the BA 5 variant was replacing the first Omicron
6 variant. And so a decision was made that an
7 Omicron antigen should be included in the next
8 vaccine booster.

9 And because this was really just the
10 first time that the variant composition had been
11 updated and there was no clinical data for this
12 new change, a decision was made to make it a
13 bivalent vaccine that included both the original
14 ancestral antigen for which there was clinical
15 data as well as improved coverage over this
16 Omicron variant.

17 That vaccine contained a half dose of
18 the original ME Omicron antigens.

19 So that is what I'm showing you here now
20 in this blue line. And actually, it turns out
21 that there was really only a modest increase in
22 titers against the matched variant, BA 4/5, about

1 two-fold, if you compare it to someone who got
2 four doses of the original vaccine.

3 But the following year, when in the
4 spring when decisions were being made about again
5 whether to update the variant composition of the
6 vaccine for the fall campaign, obviously the virus
7 had continued to evolve.

8 In this case, it was a whole new
9 lineage. It was a recombinant virus called
10 XBB.1.5. And so, when we looked at post-
11 vaccination sera for those that had gotten already
12 three doses of the original and the bivalent, you
13 can still see that there was a great drop off of
14 titers of more than 13-fold.

15 So at that point, a decision was made
16 then to include -- to update the vaccine to
17 include the XBB.1.5 variant. And this is now a
18 monovalent with the full dose.

19 So this graph then shows in the green
20 bar a sera from the work then that got the booster
21 with the XBB.1.5. And what you can see is just
22 GMP titers, comparing it against all the other

1 vaccine groups.

2 They're not all highly controlled the
3 same way, but you can clearly see that those that
4 did get the XBB.1.5 booster had an increased titer
5 to all the variants.

6 So here's the match variant here, the
7 XBB.1.5, quite a bit higher. We even did four
8 doses of the original vaccine and the bivalent, so
9 they're an equal number of vaccinations, and still
10 the XBB.1 gave higher titers. I left that off
11 here to not have too many lines on the graph.

12 So, and however, the virus obviously has
13 continued to evolve. And by the time we were
14 making decisions about potential updates, a brand
15 new lineage had emerged, and this is now called
16 the JN1 lineage.

17 And then some sublineages called KP2 and
18 KP3, which are somewhat related to the JN1
19 lineage, emerged around the time that a decision
20 was being made this past spring.

21 So as you can see, there was a five-fold
22 drop in titers relative to the match strain here

1 for the JN1, more than nine-fold for the KP2, and
2 then more than tenfold for the KP3. And the KP3.1
3 actually is already evolved a little bit more.
4 It's even slightly more evasive as actually David
5 showed you in his slides.

6 So, the other important point that I
7 want to point out here is that this was a
8 monovalent against XBB.1.5, but interestingly, we
9 did get some boosting, what we call this back
10 boosting, to titers to earlier variants that were
11 seen by people in this group.

12 So it back boosted the BA, the BA 1, and
13 some of these earlier variants. And so that
14 message then said that for people that had earlier
15 exposures, there was no need to continue to
16 include some of these earlier antigens in order to
17 get the benefit of the updated vaccine.

18 So, as you know, recently, the updated
19 JN1 or KP2, that's what's in the current updated
20 vaccines, those are monovalent, it's KP2 for the
21 mRNA vaccines and JN1 for the protein based
22 vaccines, and that's just based on the

1 capabilities of the manufacturing.

2 We don't have that sera against the
3 updated vaccine, but what we do have is primary
4 infection sera made for the JN1 infection.

5 And this was done in the hamster model,
6 which is one of the preferred models for SARS CoV-
7 2. So this will give us an idea that if you're
8 exposed to a JN1 antigen, what does it look like
9 against some of the recent variants.

10 So we have very high titers against the
11 match strain here, against the JN1 variant,
12 against now the KP2 and the KP3 variants.

13 We get pretty good cross neutralization,
14 really not more than twofold drop in
15 neutralization titer.

16 And these are pretty related. You can
17 see there's just a few mutations that are
18 different between the JN1 and these KP2 and KP3.

19 And that actually most of the immune
20 evasive properties is due to this 4/5/6
21 substitution that's actually present in both of
22 them.

1 The other interesting point I want to --
2 so here is that they're unlike what I just showed
3 you with the post-vaccination sera, there's no
4 cross -- relatively no cross neutralization to the
5 earlier variant.

6 And so this is kind of a feature of
7 primary infection sera, that it tends to be very
8 focused mostly on the infecting variant or
9 variants that are somewhat related.

10 And in this next slide, I provide more
11 examples of that. So we have primary infection
12 sera here now against many different variants.

13 And what I want to point out here is
14 that for the infecting variant shown in red, the
15 highest titers tend to be against the infecting
16 variant.

17 Now, we do see some cross neutralization
18 among different variants, but they tend to be
19 variants that have the same kinds of RBD
20 mutations.

21 So for the beta infection, we see we get
22 the cross neutralization to the gamma variant, the

1 iota and the mu variance, and they all have this
2 immunodominant E484K mutation.

3 And similarly, for this epsilon
4 infection, primary infection sera, we get the
5 highest titers, but we also see pretty high titers
6 against the delta and the lambda variants, which
7 also share this L452R mutation.

8 And the final point I want to make on
9 this slide is that while we do have good cross
10 neutralization among some of these pre-Omicron
11 variants, these primary infection sera from pre-
12 Omicrons do not tend to cross neutralize the
13 Omicron variants at all.

14 And most of them are really below the
15 level of detection. And the exact opposite is
16 true. We have a few sera for people that have
17 been infected only with the BA1 variant. And we
18 do not see good cross neutralization to the pre-
19 Omicron variant.

20 So because the primary infection sera
21 tends to be more specific to the infecting variant
22 and more focused, it's really well suited to help

1 us distinguish some antigenic differences among
2 the variants.

3 And so one of the visualization tools
4 that we use now is called antigenic cartography,
5 where we generate an antigenic map and we think
6 primary infection sera is going to give us the
7 best discrimination among these variants.

8 So antigenic cartography is simply a
9 visualization tool that shows the antigenic
10 relatedness among the variants. And this is done
11 by applying two-dimensional scaling to the
12 neutralization titers that I just showed you. So
13 it's not new data, it's just a new analysis of the
14 same titers that I just showed you.

15 And these squares, I'm not sure if they
16 show up in the projection, there's little grid
17 squares here, that corresponds to two-fold
18 dilutions and the serum titer for neutralization,
19 and that translate into antigenic distances.

20 And so the main point I want to show
21 here is that we do have a clustering of these pre-
22 Omicron variants forming one sort of broad

1 antigenic cluster.

2 But then as you can see, the Omicron,
3 especially this first one, and then that's the
4 only one we had true sera against, forming a
5 completely separate kind of antigenic cluster.

6 So, if we want to continue making
7 antigenic maps, we're running into a problem
8 because it's very hard now to get primary
9 infection sera from humans because of the high
10 population immunity due to people having repeated
11 vaccinations and infections.

12 So the obvious question then is can we
13 use animal primary infection sera as a suitable
14 alternative to human primary infection sera for
15 generating these antigenic maps.

16 So we looked into that question, and the
17 serum sources we used were from hamster primary
18 infection sera. And these were generously
19 provided by some collaborators that includes
20 Michael Holbrook's lab at NIH.

21 He gave us primary infection for the
22 early variants. And Tony Wang here in NDDP. His

1 lab provided us primary infection sera for some of
2 the more recent variants.

3 So obviously, we simply compared the
4 neutralization titers to hamster and human primary
5 infection sera using our same assays and then
6 applied antigenic cartography and an additional
7 analysis.

8 So this shows the comparison of the
9 hamster and the human GMTs against the panel of
10 variants that we tested. We just looked at the
11 variants that we had match set for in both
12 hamsters and humans.

13 The red trace is the GMTs for the
14 hamster titers against the different variants, and
15 the black is against the humans. And sorry for
16 the colorblind out there. I'm just realizing.

17 But anyway, you can see that the traces
18 are very similar in both titers and specificity,
19 and they correlate quite well.

20 So that gave us confidence then to go
21 ahead and generate an antigenic map using the
22 hamster sera. And when we compare it to the human

1 map that we made, and I showed you earlier, is
2 they're pretty similar.

3 So we're getting a cluster, pre-Omicron
4 cluster, where the beta variant is a little bit of
5 an outlier in the upper part of the antigenic
6 space and the delta is in the opposite direction,
7 and then the BA 1 forms a separate cluster.

8 But now with the hamster sera, we have
9 additional primary infection sera for some of
10 these newer variants.

11 And what we're seeing is now a new
12 antigenic cluster up here with a lot of the XBB
13 variants as well.

14 So we think this is going to be helpful
15 and just doing some of these kinds of antigenic
16 analyses going forward.

17 So, with more complex sera from humans
18 that have been a result of multiple antigen
19 exposures from vaccines or infections or both, we
20 use a different kind of visualization tool, and
21 that's called a landscape analysis.

22 So landscapes are generated by plotting

1 the neutralization titer of this complex sera
2 corresponding to the primary antigenic map that I
3 just showed you.

4 So the antigenic map, this X/Y plain,
5 kind of gives you the whole antigenic space of the
6 different viruses and then the landscape shows you
7 roughly the antibody coverage across that space.

8 So you can see that the serum group that
9 had the XBB.1.5 booster has a more flat landscape
10 corresponding to higher titers to all the variants
11 and these happen to be the Omicron and the XBB
12 variants in this part of the space where the pre-
13 Omicron variants are here, but the groups that did
14 not see that XBB.1.5 booster have low titers.

15 This can also be useful if your primary
16 antigenic map has a lot more variants and you
17 haven't really tested your complex sera.

18 You might be able to interpolate what
19 the titers and coverage might be. So these are
20 just tools again to help us think about the
21 antigenic differences in coverage across the
22 antigenic space.

1 So in summary, continued variant
2 surveillance and assessments of post-vaccination
3 sera against new variants help inform COVID-19
4 vaccine composition updates.

5 Hamster primary infection sera may be a
6 good substitute for human primary infection sera
7 for assessing antigenic differences among new
8 variants.

9 Antigenic cartography aids the
10 visualization of antigenic differences among the
11 variants. And the landscape analysis model serum
12 neutralization coverage of the variants across the
13 antigenic space.

14 And so efforts are now underway by many
15 public health groups to establish more of a
16 coordinated network, framework for assessing
17 antigenic changes in new variants to aid decisions
18 about the updates.

19 And with that, all credit goes to my
20 dedicated, hardworking lab, including Russell Way,
21 Sabrina, Sabri, Rachel, Richard, Stephanie, and
22 Brittany.

1 Several of these people have moved on to
2 medical school. And my collaborators, I mentioned.
3 And all of the investigators over at the Uniformed
4 Services University and Military Facilities have
5 really made the collection of these valuable serum
6 possible.

7 And with that, I'm done.

8 DR. KURTZ: I'm going to turn over the
9 table here to our two final speakers, and they can
10 take any questions and we can see if there's any
11 online.

12 I'll start off with a question for
13 Carol. So I'm assuming this is knowable. Has
14 mutation rate changed in SARS CoV-2 between the
15 introduction of the vaccination pre and post? So
16 has vaccination actually ramped up the mutation
17 rate or selecting for or against it?

18 DR. WEISS: I can't quote you any
19 references for that, but I don't think so. I
20 mean, I think most of the world is not vaccinated,
21 probably, and we get these big global sweeps.

22 There's some geographic variation, but,

1 yes, I think -- early on, pre-Omicron, I mean,
2 there was some sampling with these mutations going
3 on where the virus was trying to -- was clearly
4 escaping.

5 But early on, it was just a lot of
6 adaptation as well. But now with the highly
7 immune population, there's a lot of selection.

8 DR. KURTZ: So actually as one other
9 quick follow up, so it seems like there's, with
10 all these new lineages, there's a pretty quick
11 expansion and then contraction or evolutionary
12 death.

13 And so, and then on to the next lineage
14 and the next lineage, and some are related and
15 some aren't. But in terms of thinking about a
16 timeline between the expansion and contraction of
17 a lineage that's so different you're losing
18 neutralization, antibody potential.

19 What does that also mean in terms of
20 sort of the timeline of the expansion and
21 contraction of the average lineage and the escape
22 variants and the timeline of how we can pivot the

1 manufacturers to picking a new strain?

2 I mean, it seems like these strains are
3 coming and going within a few months. Maybe the
4 average lifespan of one of these lineages is maybe
5 on the order of three to six months, and that
6 seems like a really quick timeframe to be able to
7 pivot to a new variant for a vaccine.

8 DR. WEISS: Yes, so there's a
9 complicated answer. I mean, there are many
10 considerations going into whether vaccines get
11 updated.

12 Market demand, infection capability,
13 pathogenesis, epidemiology and all those things.
14 So it's true that it seems that the virus is
15 evolving, in my opinion, about three times faster
16 than flu, where we're trying to do an update every
17 year.

18 And we may never get there. If you want
19 a perfect match, obviously, what's important is
20 this less severe disease over time, obviously.

21 And so a lot of these updates may only
22 be taken by people at high risk, for example, or

1 by choice or whatever.

2 But there's a limit to what the market
3 will bear for how many times things are going to
4 get updated to be quite honest about it.

5 But if we can increase durability, and
6 maybe as people get more and more immune, that
7 durability is going to be better, different types
8 of vaccines.

9 So I think we're still trying to figure
10 out the variant waves, if they're going to
11 continue at the same rate or not. They might, but
12 certainly, hopefully the disease will not be as
13 great.

14 DR. RAJASAGI: One message to follow up
15 on the mutation rates, whatever, how much has been
16 driven by vaccine or not, and I don't know the
17 answer, that's why I'm asking.

18 Has there been an increase on non-spike
19 mutations as a function of the evolution or not?
20 Do you know? I mean --

21 DR. WEISS: I would ask you that for
22 this order. I think there's selection going on in

1 some of the internal teams a little bit in terms
2 of escape maybe from host innate responses and
3 things like that. But that's more adaptation, not
4 really escape.

5 So ask your question again. I just got
6 distracted there.

7 DR. RAJASAGI: Other antigens. Whether
8 there has been a change over time in how
9 frequently we see mutations outside of spike.

10 DR. WEISS: Yes, okay. So I'm not an
11 expert on that. It's not changing as much. Spike
12 is clearly changing the most.

13 But I think most of those changes you
14 see going on is probably not necessarily immune
15 pressure, per se, but the cat and mouse of the
16 virus adapting to maybe innate responses and
17 things going on.

18 Because we do see some recurrent changes
19 in like the morph state and some other interesting
20 genes that I know a little bit less about.

21 But I would really be interested in what
22 you're seeing from this pressure from the cellular

1 level. Okay.

2 DR. RAJASAGI: And one question for him.
3 Again, highlighting my ignorance. I think that
4 there are examples of vaccines that, like BCG, my
5 recollection is works better in preventing in
6 childhood than in adults.

7 And I was wondering, is there any
8 difference with IL 6 business that you were
9 highlighting in terms of BCG or not?

10 DR. AKKOYUNLU: No, we haven't studied
11 BCG. But I think this is only valid for antibody
12 responses, CD 4. So BCG, we have, yes, it's
13 another mechanism.

14 So it's worth looking at it. I mean, we
15 are expanding it to look at other components of an
16 active immune system to see if there are other
17 differences.

18 So it's just like scratching the surface
19 so far. And just to remind you, when you're
20 talking about mRNA vaccines, there is a very nice
21 paper showing that its immunogenicity highly
22 dependent on the lipid particles inducing IL 6.

1 So as we know that mRNA vaccines are
2 only approved about six months. So we don't know.
3 Maybe that's why there was some observation that
4 it's not working very well. But I don't know,
5 just speculation.

6 DR. GOLDING: Yes, thanks. I have a
7 question for each. So, what I'm learning from
8 you, neonatal immune response is significantly
9 different, not only against polysaccharides in
10 terms of the need for inability to recognize the
11 independent antigens.

12 But even the response to the dependent
13 is not the same. How do you think it should
14 impact our regulation of vaccines for children and
15 design of -- because normally we -- even vaccines
16 that are trying to target childhood diseases, we
17 test in young adults and then we do de-escalation.

18 And the assumption is that it will show
19 safety and immunogenicity. But would you suggest
20 from your studies, based on either the different
21 role of IL 6 and germinal center, that you need to
22 have a totally different approach to generating

1 vaccines for the very young?

2 DR. AKKOYUNLU: Yes, I think that's the
3 take home message from our studies so far.
4 There's still much to do.

5 But I also would like to emphasize that
6 current vaccines have mostly have all of them,
7 right? So the addition of adjuvants has been a
8 bit slow so far trying different adjuvants.

9 Experimentally, there were a lot of
10 trials. But what people do is trial and error.
11 It's empirical. You just add certain adjuvant and
12 see if it's working or not, rather than designing
13 an adjuvant that would target those pathways that
14 are beneficial.

15 For in this case, if you're inducing IL
16 6, it wouldn't be good. Right? So I think our
17 data clearly shows that.

18 But it needs to be seen if it's also
19 with different adjuvants, which adjuvants induce
20 IL 6, which adjuvants don't induce IL 6, in vivo
21 after immunization.

22 So those are the I think take home

1 messages. And as I showed the vaccination
2 schedule for all the T-dependent, you have to get
3 three to four vaccines in order to elicit
4 protective response.

5 Otherwise, people try to truncate that
6 and it doesn't work with the current formulations.
7 In our field for the pneumococcal, we know there's
8 another phenomenon. Maybe we're diverting from the
9 thing, but immunological creeping in pneumococcal
10 vaccines where it started with 7 valiant and then
11 13 valiant and now we have 20 and then there are
12 even up to 30 coming.

13 And we know that with every vaccine,
14 antibody responses and (inaudible) activity
15 against the common sero types are decreasing. And
16 that's called immunological creeping.

17 So, now the companies are coming up with
18 strategies to improve their vaccines by adding
19 adjuvants for the first time.

20 All of them had alum or nothing before.
21 So I think the field really needs more knowledge
22 to be able to tailor vaccines for different age

1 groups.

2 Older age is another issue that
3 Letterman from U.K. has done really nice work on
4 showing that there are differences there also.

5 And most vaccines of course are tested
6 in adults. And the mechanisms are also revealed
7 in adults. So I think there's a gap there.

8 DR. GOLDING: Thank you. So, Carol, I'm
9 thinking again about all the last couple of slides
10 that you showed.

11 So what struck me is when you showed the
12 cartography. You really emphasized the fact that
13 after XBB.1.5, it sort of was latent, which
14 suggested there is a decent cross reactivity
15 against new variants.

16 When you showed the data from the
17 hamster that was just infected let's say with
18 JN.1, there was very little cross reactivity
19 against XBB.1.5.

20 So I'm starting to get a sense that what
21 the hamster tells us and what the human post-
22 vaccination tells us may not be the same, even

1 though when you do the cartography they look sort
2 of similar.

3 What do you think really should be the
4 more relevant measurement to decide on strain
5 change? And it's a little bit like what happened
6 with influenza, right, when we take the ferrets
7 they give you sometimes for differences and human
8 panels give you less.

9 So I think we are at the same crossroads
10 now with COVID. And just your own personal --

11 DR. WEISS: Yes, maybe to frame it
12 optimally. So, I mean, I think we use data from
13 many different sources.

14 We are interested in the
15 post-vaccination sera which reflects the human
16 population. But when you have to -- if you want
17 to find out whether some of the new variants --
18 you want to optimize your ability to distinguish
19 how different they are, if you're going to update
20 it, the vaccine, right now, why not making it
21 closer to one that is circulating.

22 But how different is it from something

1 else? So I guess what I'm trying to say is, the
2 primary infection sera gives you more antigenic
3 discrimination. It's just another piece of
4 information.

5 And then if you kind of do that
6 landscape analysis, it kind of lets you sort of
7 see what your complex sera looks like over this
8 antigenic space.

9 But both are important. And I guess the
10 last thing I would say is, we still have to worry
11 about the pediatric population that hasn't seen
12 anything.

13 So it's not completely irrelevant
14 looking at this primary infection sera as it
15 pertains to the pediatric population that hasn't
16 seen it.

17 But, so it's not one or the other. I
18 think the post-vaccination sera is clearly the
19 most important, actually.

20 But there's quite a bit of value in
21 helping to distinguish these variants using the
22 primary infection sera. So, that's -- I didn't

1 mean to say one is better than the other. They're
2 all useful.

3 DR. GOLDING: Yes, the last comment that
4 I wanted to make is that this point, we really
5 don't know what fall decrease in cross reactivity
6 predict major breakthrough infections.

7 What struck me again, based on your
8 slides, that after the XBB.1.5, you only see maybe
9 one or twofold reduction towards later Omicron,
10 yet we know right now that we have lots of
11 infections.

12 So maybe we should pay attention for
13 just twofold decrease in cross reactivity. Is it
14 the fall decrease from last year or is it the --
15 or do you think we will identify a GMP threshold?

16 DR. WEISS: Yes, we don't know. Again,
17 why keep with the old one if you're going to boost
18 again? But, yes, so we don't know that threshold.
19 And I think it could change over time, too, again,
20 based on sort of like the durability of the
21 responses.

22 So part of it is what I showed you, and

1 I forgot to point it out, but those were samples
2 taken at the peak time post-immunization.

3 So six months later, not everyone's
4 titers are going to be at that level, and they may
5 be well below what could be enough to protect
6 against infection.

7 So durability is a big question. A lot
8 of people are looking into that now as we get more
9 and more exposures, you're getting better
10 durability.

11 DR. GOLDING: Thanks. Beautiful talk.

12 DR. ELKINS: We want to do justice to
13 the people online. So for Moustafa, I think
14 you've already addressed this, but the question
15 has to do with the state of the situation with
16 adjuvants to make polysaccharide vaccines
17 effective in infants. Is there anything more you
18 want to say about that?

19 DR. AKKOYUNLU: For polysaccharides, if
20 I understand correctly, yes, I mean, that should
21 be tried, but at the same time, the conjugate
22 vaccines are working pretty good if you give them

1 repetitively.

2 So, I think one has to try and see if
3 it's better or not. But I doubt that it will be
4 better because the memory part still be, you need
5 T-cells I think.

6 DR. ELKINS: And then the other one asks
7 about your thoughts about whether those higher IL
8 levels that you see in neonates are serving
9 another functional purpose, such that if you
10 manipulated that, you may have some unintended
11 consequences, and maybe you learn something about
12 that from the IL 6 knockout mice.

13 DR. AKKOYUNLU: Yes. No, that's
14 important. I'm sure there is a function for the
15 development of the babies.

16 And then if you elevate that, would
17 there be consequences adverse? I doubt it,
18 because it would be temporary during vaccination
19 that there will be long-term consequences, but
20 that needs to be bear in mind so that -- yes, I
21 agree, that's a good point.

22 DR. BURTS YOUNG: Coach from the back

1 row here. So I think we'll just thank our
2 speakers now as we wrap up. So let's give
3 everybody a round of applause.

4 And our ADR receiver, Karen Elkins, is
5 going to give us just a few wrap up comments.

6 DR. ELKINS: So I know it's late, but
7 some attention must be paid to a few important
8 points. First, I really want to thank all of our
9 external speakers who joined us for our first
10 hybrid meeting post-pandemic and who took the
11 trouble and the time to come and to enrich us with
12 their science and with their presence. We are
13 really very grateful.

14 And we thank everybody here and online.
15 And there have been hundreds and hundreds for
16 joining and making this symposium what it is.

17 I hope you all learned something. I
18 hope you all made a connection or a collaboration.
19 And I hope you enjoyed some of the wonder and
20 magic of biological science in the last couple of
21 days.

22 But the meeting itself is not a product

1 of magic. It happens by incredibly hard work.
2 And I particularly want to call out the planning
3 committee who has worked for the better part of a
4 year on the scientific content and the format.

5 Ronna, David, Muhamma, Marisabella, Yen,
6 Ronit, Daniel, Hana, Daron, Katie, Alex, Emily,
7 thank you ever so much.

8 Please, when you see these people, give
9 them your appreciation. Not listed here are a
10 cast of thousands who were involved in the
11 logistics who got our speakers traveled, who got
12 our rooms arranged, who did our publicity, and our
13 AV team who made it all work, which I find
14 borderline miraculous. They all deserve a debt of
15 thanks.

16 And not listed on this slide, because I
17 really have to call out Monica. Monica has done
18 thousands and thousands of details and hours of
19 work on this, not only kept track of those
20 thousands of details but integrated them all.

21 The neural networks involved are
22 remarkable. Thank you, Monica. And, yes, right,

1 she's back there somewhere. And we are in your
2 debt, as ever.

3 And with that, thank you all for coming,
4 and we are adjourned.

5 (Whereupon, at 4:33 p.m., the
6 PROCEEDINGS were adjourned.)

7 * * * * *

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF NOTARY PUBLIC

DISTRICT OF COLUMBIA

I, Michelle Begley, notary public in and for the District of Columbia, do hereby certify that the forgoing PROCEEDING was duly recorded and thereafter reduced to print under my direction; that the witnesses were sworn to tell the truth under penalty of perjury; that said transcript is a true record of the testimony given by witnesses; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this proceeding was called; and, furthermore, that I am not a relative or employee of any attorney or counsel employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

(Signature and Seal on File)

Notary Public, in and for the District of Columbia

