

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Morning Session

Thursday, September 26, 2024

8:00 a.m. to 1:15 p.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Joyce Frimpong, PharmD**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

**William J. Gradishar, MD**

Professor of Medicine/Betsy Bramsen  
Professor of Breast Oncology  
Chief, Hematology/Oncology  
Robert H. Lurie Comprehensive Cancer Center  
Feinberg School of Medicine at  
Northwestern University  
Chicago, Illinois

1     **Ravi A. Madan, MD**

2     Senior Clinician

3     Head, Prostate Cancer Clinical Research Section

4     Genitourinary Malignancies Branch

5     Center for Cancer Research

6     National Cancer Institute

7     National Institutes of Health (NIH)

8     Bethesda, Maryland

9

10    **Daniel Spratt, MD**

11    Vincent K Smith Chair, Department of

12    Radiation Oncology

13    Professor of Radiation Oncology and Urology

14    University Hospitals Seidman Cancer Center

15    Case Western Reserve University

16    Cleveland, Ohio

17

18

19

20

21

22

1     **Neil Vasan, MD, PhD**

2     Assistant Professor

3     Division of Hematology & Oncology

4     Department of Medicine

5     Herbert Irving Comprehensive Cancer Center

6     Columbia University Medical Center

7     New York, New York

8

9     **TEMPORARY MEMBERS (Voting)**

10    **Lori Dodd, PhD**

11    Mathematical Statistician

12    Biostatistics Research Branch

13    National Institute of Allergy and

14    Infectious Diseases

15    NIH

16    Bethesda, Maryland

17

18

19

20

21

22

1     **Michael K. Gibson MD PhD FACP**

2     Associate Professor of Medicine

3     Director of Translational Research for

4     Esophagogastric Cancer

5     Vanderbilt-Ingram Cancer Center

6     Vanderbilt University Medical Center

7     Nashville, Tennessee

8

9     **Randy W. Hawkins MD**

10    *(Acting Consumer Representative)*

11    Assistant Professor

12    Internal Medicine

13    Charles R. Drew University of Medicine & Science

14    Los Angeles, California

15

16    **James Randolph Hillard, MD**

17    *(Patient Representative; Morning Session Only)*

18    Ann Arbor, Michigan

19

20

21

22

1     **Christopher Lieu, MD**

2     *(Acting Chairperson)*

3     Professor of Medicine

4     Associate Director for Clinical Research

5     University of Colorado

6     Aurora, Colorado

7

8     **Heidi McKean, MD**

9     Medical Oncologist

10    Avera Medical Group

11    GI Medical Oncology Program Director

12    Avera Cancer Institute

13    Sioux Falls, South Dakota

14

15    **Jeffrey A. Meyerhardt, MD, MPH**

16    Chief Clinical Research Officer

17    Dana-Farber Cancer Institute

18    Professor of Medicine, Harvard Medical School

19    Boston, Massachusetts

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Hanna Sanoff, MD, MPH**

Professor of Medicine  
Associate Chief for Clinical Operations  
Division of Oncology  
University of North Carolina at Chapel Hill  
Chapel Hill, North Carolina

**Katherine Van Loon, MD, MPH**

*(via video conferencing platform)*  
Professor of Clinical Medicine  
Gastrointestinal Oncology  
University of California, San Francisco  
San Francisco, California

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Richard Pazdur, MD**

3       Director

4       Oncology Center of Excellence (OCE), FDA

5       Director (Acting)

6       Office of Oncologic Diseases (OOD)

7       Office of New Drugs (OND), CDER, FDA

8

9       **Paul Kluetz, MD**

10      Deputy Center Director

11      OCE, FDA

12      Supervisory Associate Director (Acting)

13      OOD, OND, CDER, FDA

14

15      **Steven Lemery, MD, MHS**

16      Director

17      Division of Oncology 3 (DO3)

18      OOD, OND, CDER, FDA

19

20      **Sandra Casak, MD**

21      Clinical Team Leader (Acting) Gastrointestinal

22      Malignancies DO3, OOD, OND, CDER, FDA



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Vaibhav Kumar, MD, MS**

*(Morning Session Only)*

Clinical Reviewer

DO3, OOD, OND, CDER, FDA

**Yiming Zhang, PhD**

*(Morning Session Only)*

Statistical Reviewer

Division of Biometrics V (DBV)

Office of Biostatistics (OB)

Office of Translational Sciences (OTS)

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Christopher Lieu, MD	13
5	Conflict of Interest Statement	
6	Joyce Frimpong, PharmD	17
7	FDA Introductory Remarks	
8	Steven Lemery, MD, MHS	21
9	<b>Applicant Presentations - Bristol-Myers Squibb</b>	
10	Introduction	
11	Ian Waxman, MD	38
12	Benefit Risk Profile in PD-L1 Subgroups	
13	Dana Walker, MD, MSCE	44
14	PD-L1 Testing in Clinical Practice	
15	Robert A. Anders, MD, PhD	48
16	Conclusion	
17	Ian Waxman, MD	53
18	<b>Applicant Presentations - Merck Sharp &amp; Dohme</b>	
19	<b>A subsidiary of Merck &amp; Co., Inc.</b>	
20	Overview of Pembrolizumab and PD-L1	
21	22C3 PharmDx	
22	M. Catherine Pietanza, MD	56

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	KEYNOTE-859 Results in HER2-Negative	
4	Gastric Cancer	
5	Pooja Bhagia, MD	62
6	Clinical Management of Gastric Cancer	
7	Yelena Y. Janjigian, MD	67
8	Concluding Remarks	
9	M. Catherine Pietanza, MD	72
10	<b>Applicant Presentations - BeiGene USA, Inc.</b>	
11	Tislelizumab Background	
12	Mark Lanasa, MD, PhD	73
13	Rationale 305 Results	
14	Mark Lanasa, MD, PhD	76
15	PD-L1 Subgroup Analyses	
16	Mark Lanasa, MD, PhD	83
17	Clinical Perspective	
18	Nataliya Uboha, MD, PhD	88
19		
20		
21		
22		

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
<b>FDA Presentation</b>	
PD-L1 Expression and Immune Checkpoint Inhibitors for the Treatment of Patients with HER2 Negative Advanced Gastric or Gastroesophageal Junction (GEJ) Adenocarcinoma	
Vaibhav Kumar, MD, MS	92
Clarifying Questions	112
<b>Open Public Hearing</b>	151
Questions to the Committee and Discussion	177
Adjournment	216

P R O C E E D I N G S

(9:00 a.m.)

**Call to Order**

**Introduction of Committee**

DR. LIEU: Good morning, and welcome. I would first like to remind everybody to please mute your line or microphone when you're not speaking. Also, a reminder to everyone to please silence your cell phones, smartphones, and any other devices if you have not already done so. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her e-mail is currently displayed.

My name is Dr. Christopher Lieu, and I'll be chairing this meeting. I will now call the morning session of the September 26, 2024 Oncologic Drugs Advisory Committee meeting to order. We'll start by going around the table and introducing ourselves by stating our names and affiliations. We'll start with the FDA to my left and go around the table.

DR. PAZDUR: Richard Pazdur, Director of the Oncology Center of Excellence, FDA.

DR. LEMERY: Steven Lemery, Director of the

1 Division of Oncology 3.

2 DR. CASAK: Sandra Casak, team leader,  
3 Division of Oncology 3.

4 DR. KUMAR: Vaibhav Kumar, clinical  
5 reviewer, Division of Oncology 3.

6 DR. ZHANG: Yiming Zhang, statistical  
7 reviewer, Division of Biometrics V.

8 DR. LIEU: Dr. Van Loon?

9 DR. VAN LOON: Katherine Van Loon,  
10 gastrointestinal oncologist, Professor of Medicine  
11 at UCSF.

12 DR. GRADISHAR: Bill Gradishar, Professor of  
13 Medicine, breast oncologist, Northwestern.

14 DR. SPRATT: Dan Spratt, Professor and Chair  
15 of Radiation Oncology at UH Seidman and Case  
16 Western Reserve University.

17 DR. MADAN: Ravi Madan, medical oncologist,  
18 National Cancer Institute.

19 DR. LIEU: Chris Lieu, GI medical oncology,  
20 University of Colorado.

21 DR. FRIMPONG: Joyce Frimpong, Designated  
22 Federal Officer, FDA.

1 DR. VASAN: Neil Vasan, breast oncologist at  
2 Columbia University.

3 DR. DODD: Lori Dodd, Chief of the Clinical  
4 Trials Research and Statistics Branch at the  
5 National Institute of Allergy and Infectious  
6 Diseases.

7 DR. HILLARD: James Randolph Hillard,  
8 patient representative, survivor of metastatic  
9 stomach cancer due to trastuzumab.

10 DR. HAWKINS: Randy Hawkins, internal  
11 medicine, pulmonary medicine, Charles University,  
12 consumer representative.

13 DR. GIBSON: Michael Gibson, aerodigestive  
14 and upper GI medical oncologist at the  
15 Vanderbilt-Ingram Cancer Center.

16 DR. McKEAN: Heidi McKean, community  
17 oncologist at Avera Cancer Institute, Sioux Falls,  
18 South Dakota.

19 DR. MEYERHARDT: Jeff Meyerhardt, GI medical  
20 oncologist, Dana-Farber Cancer Institute.

21 DR. SANOFF: Hanna Sanoff, GI medical  
22 oncologist, University of North Carolina.

1 DR. LIEU: Thank you.

2 For topics such as those being discussed at  
3 this meeting, there are often a variety of  
4 opinions, some of which are quite strongly held.  
5 Our goal is that this meeting will be a fair and  
6 open forum for discussion of these issues, and that  
7 individuals can express their views without  
8 interruption. Thus, as a gentle reminder,  
9 individuals will be allowed to speak into the  
10 record only if recognized by the chairperson.  
11 We're looking forward to a productive meeting.

12 In the spirit of the Federal Advisory  
13 Committee Act and the Government in the Sunshine  
14 Act, we ask that the advisory committee members  
15 take care that their conversations about the topic  
16 at hand take place in the open forum of the  
17 meeting. We are aware that members of the media  
18 are anxious to speak with the FDA about these  
19 proceedings; however, FDA will refrain from  
20 discussing the details of this meeting with the  
21 media until its conclusion. Also, the committee is  
22 reminded to please refrain from discussing the



1 meeting topic during breaks or lunch. Thank you.

2 Dr. Frimpong will read the Conflict of  
3 Interest Statement for the meeting.

4 **Conflict of Interest Statement**

5 DR. FRIMPONG: Thank you.

6 The Food and Drug Administration is  
7 convening today's meeting of the Oncologic Drugs  
8 Advisory Committee under the authority of the  
9 Federal Advisory Committee Act of 1972. All  
10 members and temporary voting members are special  
11 government employees, SGEs, or regular federal  
12 employees from other agencies and are subject to  
13 federal conflict of interest laws and regulations.

14 The following information on the status of  
15 this committee's compliance with federal ethics and  
16 conflict of interest laws, covered by but not  
17 limited to those found at 18 U.S.C. Section 208, is  
18 being provided to participants in today's meeting  
19 and to the public.

20 FDA has determined that members and  
21 temporary voting members of this committee are in  
22 compliance with federal ethics and conflict of

1 interest laws. Under 18 U.S.C. Section 208,  
2 Congress has authorized FDA to grant waivers to  
3 special government employees and regular federal  
4 employees who have potential financial conflicts  
5 when it is determined that the agency's need for a  
6 special government employee's services outweighs  
7 their potential financial conflict of interest, or  
8 when the interest of a regular federal employee is  
9 not so substantial as to be deemed likely to affect  
10 the integrity of the services which the government  
11 may expect from the employee.

12 Related to the discussion of today's  
13 meeting, members and temporary voting members of  
14 this committee have been screened for potential  
15 financial conflicts of interests of their own as  
16 well as those imputed to them, including those of  
17 their spouses or minor children and, for purposes  
18 of 18 U.S.C. Section 208, their employers. These  
19 interests may include investments; consulting;  
20 expert witness testimony; contracts, grants,  
21 CRADAs; teaching, speaking, writing; patents and  
22 royalties; and primary employment.

1 Today's agenda involves a discussion of the  
2 use of immune checkpoint inhibitors in patients  
3 with unresectable or metastatic gastric and  
4 gastroesophageal junction adenocarcinoma. The  
5 current labeling for approved checkpoint inhibitors  
6 in this indication reflects broad approvals in the  
7 intent-to-treat populations agnostic of programmed  
8 death cell ligand-1, PD-L1, expression. Cumulative  
9 data have shown that PD-L1 expression appears to be  
10 a predictive biomarker of treatment efficacy in  
11 this patient population; however, clinical trials  
12 have used different approaches to assess PD-L1  
13 expression and different thresholds to define PD-L1  
14 positivity.

15 FDA would like the committee's opinion on  
16 the following: adequacy of PD-L1 expression as a  
17 predictive biomarker for patient selection in this  
18 patient population; differing risk-benefit  
19 assessments in different subpopulations defined by  
20 PD-L1 expression; and adequacy of the cumulative  
21 data to restrict the approvals of immune checkpoint  
22 inhibitors based on PD-L1 expression.

1           The committee will discuss the existing  
2 supplemental biologics applications, sBLA, which  
3 were approved for patients with previously  
4 untreated HER2-negative unresectable or metastatic  
5 gastric or gastroesophageal adenocarcinoma:  
6 sBLA 125554/S-091 for Opdivo, nivolumab, injection,  
7 submitted by Bristol-Myers Squibb Company; and  
8 sBLA 125514/S-143 for Keytruda, pembrolizumab,  
9 injection submitted by Merck Sharp & Dome, LLC, a  
10 subsidiary of Merck & Company, Incorporated. The  
11 committee will also discuss BLA 761417 for  
12 tislelizumab injection submitted by BeiGene USA,  
13 Incorporated, for the same proposed indication.

14           This is a particular matters meeting during  
15 which specific matters related to Bristol-Myers  
16 Squibb's sBLA, Merck's sBLA, and BeiGene's NDA will  
17 be discussed. Based on the agenda for today's  
18 meeting and all financial interests reported by the  
19 committee members and temporary voting members, no  
20 conflict of interest waivers have been issued in  
21 connection with this meeting.

22           To ensure transparency, we encourage all

1 standing committee members and temporary voting  
2 members to disclose any public statements that they  
3 have made concerning the product at issue. We  
4 would like to remind members and temporary voting  
5 members that if discussions involve any other  
6 products or firms not already on the agenda for  
7 which an FDA participant has a personal or imputed  
8 financial interest, the participants need to  
9 exclude themselves from such involvement, and their  
10 exclusion will be noted for the record. FDA  
11 encourages all other participants to advise the  
12 committee of any financial relationships that they  
13 may have with the firm at issue. Thank you.

14 DR. LIEU: Thank you, Dr. Frimpong.

15 We will now proceed with FDA introductory  
16 remarks, starting with Dr. Steven Lemery.

17 **FDA Introductory Remarks - Steven Lemery**

18 DR. LEMERY: Good morning. My name is  
19 Steven Lemery. I'm a medical oncologist and  
20 Director of the Division of Oncology 3. I'm here  
21 today to set the stage for what will be an  
22 important discussion regarding the optimization of

1 treatment using PD-L1 inhibitors for the treatment  
2 of patients with gastric or gastroesophageal  
3 junction adenocarcinoma. I would like to  
4 acknowledge the herculean efforts by the FDA review  
5 teams involved in both of today's meetings. We  
6 wanted to look at the data with fresh eyes when we  
7 embarked on the need for these advisory committees  
8 with the intent of making the most scientifically  
9 and appropriate decisions for patients.

10 We're holding this meeting today in an  
11 attempt to bring order to a confusing situation.  
12 PD-L1 expression by IHC in gastric cancer is not a  
13 perfect biomarker; however, we would like to  
14 optimize the risk-benefit for patients and foster  
15 consistency in the treatment of gastric cancer, as  
16 well as the developmental landscape of new drugs  
17 studied for patients with gastric cancer.

18 PD-L1 appears to have utility in identifying  
19 which patients are more likely to benefit; however,  
20 because different studies have used different tests  
21 and different cutoffs, it can be difficult to  
22 assign a clinical effect to different PD-L1 levels,

1 particularly as PD-L1 expression increases above 1.  
2 Nevertheless, patients who are PD-L1 intermediate,  
3 between 1 and 10 using CPS or TAP, appear to  
4 benefit to a lesser extent, and there's uncertainty  
5 regarding these treatment effects.

6 At the conclusion of this meeting, we will  
7 ask the committee to consider whether class  
8 labeling at a PD-L1 level of less than 1 would be  
9 appropriate. We acknowledge, however, that  
10 arguments can be made for the selection using  
11 different cutoffs, and we're open to hearing the  
12 committee's opinions on this matter.

13 FDA has approved two PD-L1 inhibitors,  
14 pembrolizumab and nivolumab, in combination with  
15 chemotherapy for the first-line treatment of  
16 patients with gastric cancer. An application has  
17 also been submitted for a third drug, tislelizumab,  
18 for a similar indication. Although FDA has granted  
19 approvals to PD-L1 inhibitors in patients with  
20 previously-treated gastric cancer, we will focus  
21 the discussion today on the first-line setting,  
22 which is most relevant to current practice.

1 All three drugs demonstrated improvements in  
2 overall survival in the first-line setting, both in  
3 the intent-to-treat patient populations,  
4 highlighted by the red box, as well as in  
5 prespecified subgroups of patients based on PD-L1  
6 expression, highlighted by the purple boxes.

7 Although FDA granted approvals in the  
8 intent-to-treat populations for nivolumab and  
9 pembrolizumab, data in the PD-L1 low groups, shown  
10 in the more heavily shaded columns, were included  
11 in product labeling to facilitate decision making.  
12 The data appeared to show a smaller treatment  
13 effect when compared to patients with higher PD-L1  
14 expression, which is shown in the lighter shaded  
15 columns. Although not approved, the data for  
16 tislelizumab are also provided.

17 Although FDA granted the gastric cancer  
18 approvals in the ITT patient populations,  
19 professional society guidelines have recommended  
20 using PD-L1 to select patients for treatment with  
21 pembrolizumab and nivolumab. Likewise,  
22 international regulators have also taken such an



1 approach. Of note, the ASCO and NCCN Category 1  
2 recommendations were based on the tests and  
3 statistical designs used in each of the individual  
4 studies; nevertheless, the guidelines do not  
5 specifically describe or require the use of the  
6 individual test kits that were used in the clinical  
7 trials.

8 To illustrate how we got here from the  
9 agency's perspective, I will highlight the  
10 challenge of the subgroup analyses with the  
11 original approval of nivolumab in 2021. Although  
12 clearly the largest treatment effect was in  
13 patients with CPS PD-L1 in the 5 or greater, which  
14 is circled in red, the CPS low data were less  
15 clear-cut, with a questionable intermediate effect  
16 in patients with CPS less than 1 as compared to the  
17 CPS less than 5 group, which is highlighted by the  
18 purple box. Additionally, the CPS low groups were  
19 not powered to demonstrate a treatment effect,  
20 leading to more uncertainty in these groups of  
21 patients.

22 About 16 years ago, the FDA held an advisory

1 committee meeting to discuss the use of subgroup  
2 analyses to support decision making. At the time,  
3 accumulating data across at least seven trials in  
4 patients with KRAS-mutant colorectal cancer  
5 appeared to show no benefit for EGFR inhibitors  
6 panitumumab or cetuximab.

7           During that advisory committee meeting, when  
8 considering retrospective subgroup analyses,  
9 members found that replication of results across  
10 multiple trials strengthened inferences.

11 Furthermore, sample ascertainment was deemed  
12 important to ensure analyses represented the  
13 populations enrolled in the trials. Biological  
14 plausibility was another factor when considering  
15 these subgroup analyses. Study design  
16 considerations could include stratification and  
17 prespecification.

18           In each of the three trials of anti-PD-1  
19 inhibitors under discussion today, there was  
20 prespecification of certain PD-L1 high subgroups,  
21 but not prespecification of the converse PD-L1 low  
22 groups. Although one could argue that the subgroup

1 effects in each of the clinical studies were  
2 underpowered, now we have the results of at least  
3 three trials with generally consistent effects.  
4 Results in PD-L1 subgroups from the three  
5 applications in the first-line, HER2-negative  
6 metastatic setting consistently showed that the  
7 largest treatment effect appears to be in patients  
8 with CPS or TAP PD-L1 greater than 10. These  
9 results will be shown in subsequent presentations.

10           Conversely, there's less convincing evidence  
11 of a treatment effect in patients with PD-L1 CPS or  
12 TAP less than 1, which is highlighted by the red  
13 box. Modest or more inconsistent effects have been  
14 observed in patients with PD-L1 intermediate  
15 disease.

16           In addition to the three trials to be  
17 discussed today, an external trial-level  
18 meta-analysis of the literature has been published  
19 by Harry Yoon, et al., that included 10 gastro or  
20 esophageal adenocarcinoma studies, including  
21 studies in both the first- and second-line  
22 settings, negative trials, and trials conducted

1 solely in Asia. In this analysis, CPS high was  
2 based on the different levels prespecified in each  
3 trial with trial-specific PD-L1 testing, and PD-L1  
4 appeared to designate patients as more likely to  
5 benefit.

6 Of note, I'm also highlighting MSI high on  
7 this slide. Like our own analyses, patients with  
8 MSI high tumors appear to have a very high  
9 likelihood of benefit following treatment with  
10 checkpoint inhibitors. For the purposes of this  
11 ODAC, we will be limiting the discussion to  
12 patients with microsatellite stable disease, as we  
13 would not propose to modify the indication for  
14 patients with MSI high tumors irrespective of PD-L1  
15 status.

16 When we talk about lack of benefit, it's  
17 important not to forget about safety. If a drug is  
18 not effective, patients may be exposed to  
19 life-altering toxicity. The table on the left is a  
20 summary of the incidence of select immune-related  
21 adverse events, or IMARs, across four clinical  
22 trials that assessed pembrolizumab or nivolumab.

1 In general, grade 3 or greater IMARs occurred at a  
2 rate of 3 to 11 percent, depending on the clinical  
3 trial.

4 Although many IMARs are treatable, IMRs can  
5 become chronic, particularly for endocrine, lung,  
6 neurologic, cardiac, or arthritis. These adverse  
7 events, or even steroids used to treat IMARs of  
8 less severity, can greatly compromise the patient's  
9 quality of life, which is important to patients  
10 with end-stage gastric cancer.

11 I would like to transition to how we move  
12 forward if the right thing to do is to limit the  
13 indication at a specific PD-L1 cutoff. One  
14 approach to take would be to limit the indications  
15 based on PD-L1 positive cutoffs used in each  
16 clinical trial. Although this may be a reasonable  
17 approach statistically, it's not necessarily  
18 biologically based. In other words, what would be  
19 the optimal cutoff to maximize benefit and reduce  
20 risk? Such an approach may also unnecessarily  
21 exclude patients from treatment if we selected a  
22 cutpoint that was too high. We now have data from

1 multiple clinical trials that can be considered to  
2 assess whether a class-wide approach would be more  
3 appropriate.

4 In practice, oncologists do not necessarily  
5 use the specific CPS or TAP diagnostic tests that  
6 were developed for each individual monoclonal  
7 antibody, so populations in the clinic may differ  
8 as compared to clinical trials. Perhaps more  
9 importantly, companies wanting to study dual  
10 checkpoint inhibitors, other add-on drugs, or drugs  
11 intended to target other biomarkers may have to  
12 link their clinical trial to PD-L1 levels related  
13 to a checkpoint inhibitor rather than a biological  
14 principle, which doesn't seem to be the most  
15 rational approach.

16 One more important consideration, however,  
17 is that all three studies used different diagnostic  
18 tests to assess PD-L1 status. As a purely  
19 hypothetical example, if a cutoff of either 5 or 10  
20 were selected, any of the tests theoretically could  
21 be used; however, the number of eligible patients  
22 would greatly be affected depending on the test.

1       Importantly, irrespective of the test used,  
2       patients who were PD-L1 negative or less than 1  
3       were less likely to benefit.

4                Designating a patient as PD-L1 negative may  
5       be less variable from test to test as compared with  
6       the challenges of designating the specific score;  
7       for example, PD-L1 4, 8, or 10. Of note, only a  
8       minority of patients are PD-L1 negative regardless  
9       of the assay used. If a cutoff of 1 were  
10      recommended, depending on the test, 80 to  
11      90 percent of patients with gastric cancer would  
12      still be eligible to receive a checkpoint  
13      inhibitor.

14               I will summarize by providing a snapshot of  
15      the data in the PD-L1 less than 1 in 10 subgroups.  
16      Dr. Kumar will provide a much more complete review  
17      of the data, including data above and below  
18      different PD-L1 cutoff levels. Dr. Kumar will also  
19      provide FDA exploratory pooled analyses limited to  
20      patients with microsatellite stable disease to  
21      provide additional context regarding each clinical  
22      trial.

1           It is not shown here, but the data in  
2 patients with CPS or TAP PD-L1 greater than 10 show  
3 clear benefit. In patients with PD-L1 of less than  
4 10 or between 1 and 10, there are more inconsistent  
5 effects; however, there may be a hint of a plateau  
6 in two of the Kaplan-Meier curves as shown by the  
7 purple arrows, and the upper bound of the  
8 95 percent confidence intervals in the purple ovals  
9 are below 1 or close to 1. Nevertheless, this  
10 should not be considered as definitive evidence of  
11 either benefit or lack of benefit.

12           When one views either the median overall  
13 survival, hazard ratio, or Kaplan-Meier curves at  
14 the PD-L1 less than 1 cutoff in the red boxes,  
15 there appears to be less convincing evidence for  
16 benefit, and not even a hint of a possible plateau  
17 in the Kaplan-Meier curves when compared to the  
18 control arms. Dr. Kumar's presentation will show a  
19 stark contrast of these Kaplan-Meier curves  
20 compared to those in patients with PD-L1 tumors  
21 greater than 10 for each drug. It is important to  
22 remember that although a minority of patients will



1 develop severe or life-threatening toxicity, for  
2 those who do, the quality of their lives can be  
3 greatly altered.

4 I would like to point out the available  
5 results for KEYNOTE-811 to further support the  
6 biological plausibility with respect to PD-L1 in  
7 gastric cancer. KEYNOTE-811 is a first-line study  
8 in patients with HER2-positive gastric cancer,  
9 where pembrolizumab was administered in combination  
10 with trastuzumab in chemotherapy. Please note that  
11 I'm only providing this information for context for  
12 the committee.

13 Pembrolizumab received accelerated approval  
14 for this indication based on the prespecified  
15 interim analysis and response rate that  
16 demonstrated statistical significance. In a  
17 subsequent prespecified interim analysis, however,  
18 there appeared to be a potential for detriment in  
19 survival in patients with PD-L1 CPS tumors less  
20 than 1, and current labeling limits pembrolizumab  
21 to patients who are PD-L1 greater than 1.

22 Based on the data that I've shown so far,

1       there does appear to be a general replication of  
2       results across clinical trials, which is consistent  
3       with a retrospective approach used for EGFR  
4       inhibitors based on RAS mutations in colorectal  
5       cancer. This replication was seen both in the  
6       first-line gastric cancer trials in combination  
7       with chemotherapy, as well as additional trials in  
8       the Harry Yoon meta-analysis. Sample ascertainment  
9       for CPS or TAP was high in each of the three trials  
10      to be discussed today, with the results available  
11      from the vast majority of patients.

12               With respect to biological plausibility,  
13      although PD-L1 had variable utility as a biomarker  
14      in different tumor types, it does appear useful in  
15      select disease settings. Finally, all studies  
16      designated specific PD-L1 high populations at  
17      different thresholds; however, none of the studies  
18      were specifically designed to test for the PD-L1  
19      negative groups. Again, all three studies used  
20      different PD-L1 testing methodology.

21               I would like to summarize my interpretation  
22      of the available data. Clearly, there's an

1 important benefit of checkpoint inhibitors in  
2 patients with gastric cancer, and their tumor is a  
3 PD-L1 score of 10 or greater, and in patients who  
4 are microsatellite instability high. Again, we're  
5 excluding MSI high from our assessment of risk and  
6 benefit. In patients with PD-L1 intermediate  
7 gastric cancer, for example 1 to 10, there may be a  
8 modest benefit; however, it's difficult to  
9 convincingly demonstrate or exclude such an effect.  
10 An additional consideration regarding uncertainty  
11 in this group may involve the accuracy of  
12 classification of PD-L1, for example,  
13 differentiating 9 from 10.

14 In patients with PD-L1 negative disease,  
15 although there may be some uncertainty based on a  
16 smaller number of patients, irrespective of the  
17 assay used there, there does not appear evidence of  
18 benefit, and patients may be at risk for harm.  
19 Additionally, uncertainties regarding testing may  
20 be mitigated, as PD-L1 staining will either be  
21 present and positive, absent or negative. Again,  
22 selection of PD-L1 cutoff of 1 would result in

1 80 to 90 percent of patients being eligible for  
2 checkpoint inhibitors and would allow consistent  
3 approach to treatment in the clinic and in clinical  
4 trials going forward.

5           Following all the presentations, we will ask  
6 the committee to discuss the use of PD-L1 as a  
7 predictive biomarker for the selection of patients  
8 with gastric and gastroesophageal adenocarcinoma.  
9 We welcome the viewpoints of the committee on this  
10 challenging topic. Following the discussions,  
11 we'll ask the committee to vote on whether the  
12 risk-benefit assessment is favorable in patients  
13 with gastric cancer who have PD-L1 expression less  
14 than 1.

15           Please note that the FDA review staff has  
16 had extensive internal discussions following the  
17 review of the totality of data prior to finalizing  
18 this question. FDA reviewers considered not just  
19 the data, but the landscape of testing and the  
20 landscape of treatment of gastric cancer. Although  
21 we are specifically asking about the cutoff of 1,  
22 we invite you to express your opinion if you

1 believe a different cutoff would be more  
2 appropriate. Thank you.

3 DR. LIEU: Thank you, Dr. Lemery.

4 Both the Food and Drug Administration and  
5 the public believe in a transparent process for  
6 information gathering and decision making. To  
7 ensure such transparency at the advisory committee  
8 meeting, FDA believes that it is important to  
9 understand the context of an individual's  
10 presentation.

11 For this reason, FDA encourages all  
12 participants, including industry's non-employee  
13 presenters, to advise the committee of any  
14 financial relationships that they may have with  
15 industry, such as consulting fees, travel expenses,  
16 honoraria, and interest in the sponsor, including  
17 equity interests and those based upon the outcome  
18 of the meeting.

19 Likewise, FDA encourages you at the  
20 beginning of your presentation to advise the  
21 committee if you do not have such financial  
22 relationships. If you choose not to address this

1 issue of financial relationships at the beginning  
2 of your presentation, it will not preclude you from  
3 speaking.

4 We will now proceed with our first  
5 presentation from Bristol-Myers Squibb.

6 **Applicant Presentation - Ian Waxman**

7 DR. WAXMAN: Good morning. My name is Ian  
8 Waxman, and I'm part of the Late Development  
9 Oncology organization at Bristol-Myers Squibb. I'd  
10 first like to thank the advisory committee members  
11 and the FDA staff for this opportunity to discuss  
12 the data for Opdivo in combination with  
13 chemotherapy in first-line gastric cancer.

14 These data come from the CHECKMATE-649 study  
15 and resulted in FDA approval for this indication in  
16 April of 2021. This marked the first approval of a  
17 new treatment for first-line HER2-negative gastric  
18 cancer since chemotherapy became the standard of  
19 care. By way of background, Opdivo was first  
20 approved in the U.S. in 2014 for the treatment of  
21 melanoma and is now approved in 11 cancer types as  
22 shown here.

1           Here is the indication for Opdivo in  
2 combination with chemotherapy for first-line  
3 patients with gastric cancer, GE junction cancer,  
4 or esophageal adenocarcinoma, and it's important to  
5 highlight two things: first, the approval was  
6 granted regardless of PD-L1 status; and second,  
7 since the initial approval, our interpretation of  
8 the study results has not changed with longer  
9 follow-up.

10           Although the indication is not limited to a  
11 PD-L1 positive population, clinical data by PD-L1  
12 expression level are included in Section 14 of the  
13 USPI. These data are included to ensure that  
14 treating physicians have sufficient information  
15 regarding the impact of PD-L1 positivity when  
16 discussing treatment options with their patients.

17           Since approval, results from additional  
18 gastric cancer studies have been reported with  
19 different sponsors incorporating different methods  
20 for measurement of PD-L1, as well as different  
21 cutoffs to determine positivity. NCCN has managed  
22 the situation by giving a Category 1 recommendation

1 for patients with higher PD-L1 expression while  
2 also getting a Category 2B recommendation for  
3 patients with lower PD-L1 expression. ASCO and  
4 ESMO guidelines have taken a similar approach.

5 For nivolumab, NCCN guidelines are  
6 consistent with the current FDA label with no  
7 restriction on treatment but with information  
8 provided to highlight the importance of PD-L1  
9 expression level in determining likelihood of  
10 clinical benefit.

11 Given the current USPI and NCCN  
12 recommendation, it's important to understand what  
13 physicians are doing about testing in the real  
14 world. When we look at testing patterns in the  
15 U.S. based on Flatiron data, we see that physicians  
16 have indeed received the message around the  
17 importance and impact of PD-L1 expression in this  
18 disease. What we see on the left is that  
19 approximately 60 percent of all patients treated  
20 with any regimen in the first-line setting are  
21 already being tested for PD-L1 expression even  
22 without a requirement to do so; and when we look at



1 the middle pie chart, over 70 percent of those  
2 treated with nivolumab are being tested.

3           Regarding treatment patterns, also based on  
4 Flatiron data, we see that the PD-L1 test result is  
5 influencing treatment decisions in the real world  
6 today. Among patients known to be PD-L1 positive  
7 on the left, about 50 percent receive an IO  
8 regimen. In contrast, among the much smaller  
9 proportion of patients known to be PD-L1 negative,  
10 in the middle pie chart, fewer than one-third  
11 receive an IO regimen. Another way to think about  
12 this is that among all treated patients, less than  
13 5 percent are treated with IO and known to be PD-L1  
14 negative.

15           On the far right-hand side, we see that many  
16 patients are not tested or have an unknown test  
17 result and about one-third of these patients are  
18 treated with an IO regimen. This high percentage  
19 of patients without a test result is not surprising  
20 when we consider testing rates for HER2, which is  
21 another established biomarker in gastric cancer.  
22 Approximately one-third of first-line patients are

1 still not tested for HER2 in clinical practice  
2 despite the long standing availability of  
3 HER2-directed therapy.

4           Given the high prevalence of PD-L1  
5 positivity, most patients without a test result  
6 would be considered positive if tested. We believe  
7 these patients should remain eligible for an  
8 IO-containing regimen in the absence of a  
9 comorbidity precluding its use.

10           With this information in hand, we're here to  
11 discuss whether any label changes for Opdivo in  
12 gastric cancer are needed. Our goal is to ensure  
13 that each first-line gastric cancer patient has  
14 every appropriate therapy available to them, along  
15 with clear guidance to inform choice of treatment.  
16 A review of subgroup analyses by PD-L1 expression  
17 level from CHECKMATE-649 and a summary of  
18 challenges associated with interpretation of a  
19 PD-L1 test result are important topics to discuss  
20 when considering this goal.

21           Once we have covered these additional areas,  
22 I'll turn to a summary of potential options for

1 labeling, also briefly described here. One option  
2 is to modify the indication to only include  
3 patients with any level of PD-L1 positivity. This  
4 would limit treatment to patients more likely to  
5 benefit based on the clinical trial data but could  
6 leave some patients without a potentially important  
7 treatment choice.

8 The second option is to leave the indication  
9 as is so that physicians can continue to make  
10 treatment decisions informed by the data as  
11 currently described in the USPI and consistent with  
12 NCCN guidelines. Additional considerations for  
13 each of these approaches are shown here and will be  
14 discussed in more detail in the next parts of this  
15 presentation.

16 Here's the agenda for the remainder of our  
17 time. First, Dr. Dana Walker from the drug  
18 development organization at BMS will review the  
19 relevant efficacy and safety data from  
20 CHECKMATE-649; then Dr. Robert Anders, an expert  
21 pathologist from the Johns Hopkins University, will  
22 discuss the realities of PD-L1 testing in clinical

1 practice; and then finally, I'll return to review  
2 the proposed options for labeling.

3 Thank you, and I'll now turn it over to  
4 Dr. Walker.

5 **Applicant Presentation - Dana Walker**

6 DR. WALKER: Thank you. My name is Dana  
7 Walker, and I'm the Global Program Lead for Opdivo  
8 and Yervoy for GI and GU cancers. I'll be  
9 presenting efficacy and safety data that will  
10 highlight the benefit-risk profile of nivolumab  
11 plus chemotherapy in the CHECKMATE-649 study across  
12 PD-L1 subgroups.

13 CHECKMATE-649 is a randomized, phase 3 study  
14 that included patients with previously untreated,  
15 unresectable, advanced or metastatic, gastric or  
16 esophageal adenocarcinoma, regardless of PD-L1  
17 expression. Randomization was stratified according  
18 to tumor cell PD-L1 expression. This study had  
19 dual primary endpoints of overall survival and  
20 progression-free survival in CPS 5 or higher.

21 1,581 patients were concurrently randomized  
22 to the nivolumab plus chemotherapy versus

1 chemotherapy arms, of which 60 percent had PD-L1  
2 CPS of 5 or higher. With a minimum of 12.1 months  
3 of follow-up, CHECKMATE-649 demonstrated both a  
4 statistically significant and clinically meaningful  
5 overall survival benefit in the primary and  
6 secondary analysis populations. The primary  
7 endpoint was in patients whose tumors expressed  
8 PD-L1 CPS of 5 or higher, which demonstrated a  
9 hazard ratio of 0.71 and a 3.3 month improvement in  
10 median overall survival versus chemotherapy. Of  
11 note, there was an early and sustained separation  
12 of the overall survival curves. A similar overall  
13 survival benefit was observed with nivo plus chemo  
14 in the CPS 1 or higher and the all randomized  
15 populations.

16 Shown here is the overall survival data in  
17 PD-L1 subgroups that was available at the time of  
18 the initial approval. The data in the purple boxes  
19 highlight the prespecified primary and secondary  
20 analysis populations. The other CPS subgroup  
21 analyses were exploratory. There was an increased  
22 overall survival benefit observed with nivo plus

1 chemo at higher PD-L1 cutoffs. Patients with CPS  
2 less than 1 did not derive an overall survival  
3 benefit; however, in the subgroup with CPS greater  
4 than or equal to 1, the hazard ratio was 0.76 with  
5 a 95 percent confidence interval of 0.67 to 0.87.

6 Now, let's take a look at 4-year follow-up  
7 data, which are presented in our briefing document.  
8 Shown here are all of the subgroups requested by  
9 FDA. Keeping in mind that these are exploratory  
10 subgroup analyses and should be interpreted with  
11 caution, these data are consistent, if not  
12 continuing to improve across subgroups relative to  
13 the initial clinical trial data.

14 In particular, I would like to point out  
15 that the subgroup with CPS greater than or equal to  
16 1 to less than 10 has a hazard ratio 0.88, improved  
17 from a hazard ratio 0.95 at the initial database  
18 lock. Of note, the FDA meta-analysis does not  
19 include these updated data.

20 The safety profile of nivolumab plus  
21 chemotherapy was consistent with the known safety  
22 profile of the individual drug components, with no

1 new safety signals identified. As expected, the  
2 addition of nivolumab to standard chemotherapy was  
3 associated with ototoxicity. Grade 3-4  
4 treatment-related adverse events and those leading  
5 to discontinuation of any treatment component were  
6 numerically higher in patients receiving nivolumab  
7 plus chemotherapy. Of note, in the nivo plus chemo  
8 arm, the majority of immune-mediated events were  
9 low grade, manageable with established treatment  
10 algorithms, and reversible. Importantly, the  
11 safety profile of nivo plus chemo did not differ  
12 based on PD-L1 expression and was consistent across  
13 all PD-L1 subgroups evaluated.

14 In summary, based on the data from  
15 CHECKMATE-649, nivo plus chemo demonstrated both a  
16 statistically significant and clinically meaningful  
17 overall survival benefit in the CPS greater than or  
18 equal to 5, CPS greater than or equal to 1, in all  
19 randomized populations. Exploratory analyses  
20 showed a higher likelihood of overall survival  
21 benefit in all PD-L1 positive subgroups, and the  
22 long-term follow-up data are consistent with the

1 data available at the time of approval and provide  
2 a clearer picture of the overall survival benefit  
3 in the CPS greater than or equal to 1 population.

4 The safety profile was consistent with the  
5 known safety profile of the individual drug  
6 components and similar regardless of PD-L1 status.  
7 Overall, nivo plus chemo demonstrated a positive  
8 benefit-risk profile in all PD-L1 positive  
9 subgroups. Thank you. I will now turn it over to  
10 Dr. Anders.

11 **Applicant Presentation - Robert Anders**

12 DR. ANDERS: Thank you, Dr. Walker.

13 My name is Robert Anders. I'm a Professor  
14 of Pathology at Johns Hopkins University and a paid  
15 consultant for BMS. Today, I'll be sharing with  
16 you my 17 years of experience with the technical  
17 aspects of PD-L1 testing. As you heard, about 60  
18 percent of patients with gastric cancer are tested  
19 for PD-L1 expression, and at my institution, most  
20 patients with gastric cancer are tested. It's  
21 worth noting that most gastric cancer patients with  
22 a test are PD-L1 positive as defined by a CPS of



1 greater than or equal to 1.

2 The graph on the left shows data from  
3 CHECKMATE-649, where 82 percent of patients were  
4 PD-L1 positive. Multiple variables can affect the  
5 results of PD-L1 scoring, such as the type of tumor  
6 tissue, spatial heterogeneity, and temporal changes  
7 in PD-L1 expression. Shown here is PD-L1 staining  
8 of a full thickness gastric cancer resection from  
9 my practice. PD-L1 positive areas are stained  
10 brown and are circled with solid black lines, while  
11 PD-L1 negative areas are circled with dashed lines,  
12 and you can clearly see there's heterogeneity of  
13 PD-L1 expression.

14 On top of that, I've superimposed an H&E  
15 stained mucosal biopsy to give you an idea of the  
16 depth of a typical endoscopic biopsy. These are  
17 the most common types of tissue samples I see.  
18 They're more amenable to PD-L1 scoring because they  
19 have fewer cells to count, but they may  
20 underrepresent the tumor and may lead to false  
21 negatives. A surgical resection better represents  
22 the entire tumor but presents a challenge because

1 the heart of CPS is counting cells, both immune and  
2 tumor cells, and frankly, resections have too many  
3 cells to count. We also know that PD-L1 expression  
4 varies as a function of time, and both biopsies and  
5 resections are one moment in time.

6 Let's move to analytical consideration.  
7 There are three approved antibodies that recognize  
8 PD-L1. What you see here from a recent publication  
9 are serial sections of the same tumor stained with  
10 the three antibodies. The staining is similar but  
11 not identical. As a result, pathologists may count  
12 fewer or more positive cells depending on which  
13 antibody is used.

14 This is relevant at the patient level  
15 because differences of a few cells changes the CPS,  
16 and this could be a change across a critical  
17 threshold. So minute differences could mean the  
18 difference between a patient receiving first-line  
19 IO therapy or not, and it's worth noting that  
20 registrational trials each use different  
21 antibodies, which has implications for  
22 harmonization.

1           As a result of these variables I just  
2 described, CPS is a highly subjective test with  
3 high interobserver variability. I recently  
4 published a paper with Marie Robert from Yale on  
5 the level of agreement between 12 expert  
6 pathologists from around the globe. Shown here are  
7 the CPS scores for 100 gastric cancer biopsies  
8 using 22C3.

9           The score from each of the pathologists is  
10 represented by a different color dashed line. We  
11 report an interclass correlation coefficient, a  
12 measure of statistical observer agreement, of about  
13 0.5, which is fair to poor agreement. For  
14 reference, the ICC for HER2 testing in breast  
15 cancer ranges from 0.8 to 1.

16           Here, we've taken a closer look at the  
17 individual scores from 30 of those gastric cancer  
18 biopsies. Scores are indicated by a dot for each  
19 pathologist, and we've marked the CPS cutoffs at 1,  
20 5, and 10 as horizontal dashed lines. It's easy to  
21 see not only high variation in scores, but  
22 tremendous variation across the cutpoints,

1 oftentimes with just as many dots above the line as  
2 below. The implications are significant because  
3 even these world-class experts would disagree on  
4 whether a patient should receive therapy.

5 Simultaneously, Dr. Rimm from Yale did an identical  
6 study with US-based pathologists and came to  
7 identical conclusion that CPS is a highly  
8 subjective test.

9 Our conclusion from these studies is that  
10 PD-L1 CPS may be a useful biomarker at the  
11 population level, but it's an imperfect biomarker  
12 at the individual patient level. In conclusion, in  
13 my experience, PD-L1 expression by CPS is  
14 complicated by spatial heterogeneity of expression,  
15 endoscopic biopsies that have the potential for  
16 false negatives.

17 We also have different antibodies with  
18 different assays and unacceptably high  
19 interobserver variability. I believe pathologists  
20 can reliably determine if there is PD-L1 expression  
21 or not; however, it's much more difficult to  
22 precisely quantify PD-L1 expression, which results

1 in the high variability we see in CPS scores.

2 Thank you, and I'll turn it back now to  
3 Dr. Waxman.

4 **Applicant Presentation - Ian Waxman**

5 DR. WAXMAN: Thank you, Dr. Anders.

6 As I summarize the data just presented, I'd  
7 first like to acknowledge that this is an important  
8 issue without one clear-cut solution. The FDA has  
9 asked you to consider whether the data support the  
10 use of PD-L1 expression as a predictive biomarker.  
11 The clinical trial data show that there is an  
12 overall survival benefit in patients who express  
13 PD-L1, including at the level of CPS of greater  
14 than or equal to 1.

15 Further enrichment for OS improvement at  
16 cutoffs higher than CPS 1 is also likely, but we  
17 must consider some practical challenges when  
18 choosing to restrict the indication to a specific  
19 higher PD-L1 cutoff. As you heard from Dr. Anders,  
20 PD-L1 is a dynamic biomarker that exhibits  
21 significant temporal and spatial heterogeneity, and  
22 PD-L1 scoring is challenging for pathologists and

1 subject to a high degree of variability.

2 The quality and availability of tissue can  
3 also be a barrier to testing. We do support  
4 testing for PD-L1 whenever possible since this  
5 result is important in informing benefit-risk, and  
6 we're reassured that such testing is already  
7 occurring in the majority of patients today, but we  
8 recognize there's a downside to requiring a test  
9 result given the numerous testing challenges just  
10 described. Therefore, we still support a broad  
11 indication; however, if the label were to be  
12 restricted, a cutoff based on CPS 1 is the most  
13 reasonable choice based on the totality of clinical  
14 data and testing considerations.

15 To summarize, the first option is to modify  
16 the indication and require CPS 1. A higher cutoff  
17 would not be optimal given the challenges with  
18 precise quantification of CPS in individual  
19 patients; however, this option would leave some  
20 patients with potential to benefit untreated.

21 The second option is to keep the existing  
22 indication given that details regarding the impact

1 of PD-L1 expression are already captured in the  
2 label. This approach accounts for the  
3 uncertainties associated with PD-L1 testing and  
4 leaves informed decision making in the hands of the  
5 treating physician where it lies today. This  
6 approach also provides greater opportunity for  
7 patients without a test result to benefit from  
8 immunotherapy. Although both proposals are  
9 reasonable, we consider the option that provides  
10 flexibility for patients regardless of their PD-L1  
11 test result to be most appropriate, and thank you  
12 once again for your time and attention.

13 DR. LIEU: Thank you so much.

14 We'll take a very brief 5-minute break to  
15 allow for the next presentation to set up. Panel  
16 members, please remember that there should be no  
17 discussion of the meeting topic during the break  
18 amongst yourselves or with any member of the  
19 audience. We will resume at 8:50 a.m.

20 (Whereupon, at 8:45 a.m., a recess was taken,  
21 and meeting resumed at 8:50 a.m.)

22 DR. LIEU: Welcome back. We will now

1 proceed with our second presentation from  
2 Merck Sharp & Dohme, Incorporated.

3 **Applicant Presentation - Catherine Pietanza**

4 DR. PIETANZA: Good morning. I am Cathy  
5 Pietanza, Vice President of Clinical Research in  
6 Late Stage Oncology. I'm a medical oncologist, and  
7 prior to joining Merck, I was an attending  
8 physician at Memorial Sloan Kettering Cancer  
9 Center. Thank you for the opportunity to share  
10 evidence supporting the positive benefit-risk  
11 profile of Keytruda in patients with HER2-negative  
12 gastric and gastroesophageal junction cancer, which  
13 we will refer to as gastric cancer. After my  
14 introductory comments, Dr. Pooja Bhagia will share  
15 data from KEYNOTE-859 and Dr. Yelena Janjigian will  
16 share her clinical perspective.

17 Keytruda helped fulfill a critical need for  
18 the treatment of patients with metastatic gastric  
19 cancer who have a poor prognosis, with only  
20 7 percent surviving 5 years. Before immunotherapy,  
21 the only treatment for first-line metastatic  
22 disease was chemotherapy. With few biomarkers or



1 targetable molecular aberrations, we face a dearth  
2 of therapeutic options.

3 Rigorous study design and conduct gives  
4 confidence in the positive results of KEYNOTE-859,  
5 which met success criteria for all primary and key  
6 secondary endpoints in the intention-to-treat  
7 population. The Keytruda label includes  
8 information about PD-L1 subgroups, empowering  
9 physicians to work with patients to make the best  
10 choice for therapy.

11 The indication for Keytruda in HER2-negative  
12 gastric cancer should be retained based on the  
13 efficacy and safety data for pembrolizumab. The  
14 mechanism of action of pembrolizumab is well known.  
15 Increased expression of PD-L1 enriches for response  
16 with pembrolizumab when given as monotherapy in  
17 many tumor types. PD-L1 expression is tumor type  
18 specific and interpretation is dependent on the  
19 assay and scoring method used.

20 We know that chemotherapy has pleiotropic  
21 immunomodulatory effects, both promoting and  
22 impairing the anti-tumor response. Adding an

1 anti-PD-1 inhibitor to chemotherapy can enhance the  
2 positive and reduce the negative immune effects.  
3 The complementary effects of the combination of  
4 pembrolizumab and chemotherapy can benefit patients  
5 with tumors across a broad range of PD-L1  
6 expression, as observed in numerous indications.

7 I will now describe the comprehensive  
8 training and validation methodology used for PD-L1  
9 testing in KEYNOTE-859. Clinical samples are  
10 processed using the PD-L1 IHC 22C3 pharmDx assay  
11 and interpreted using combined positive score known  
12 as CPS, which captures PD-L1 expression on tumor  
13 cells, lymphocytes, and macrophages. This is  
14 clinically important in gastric cancer, as it has a  
15 significant immune infiltration.

16 Merck clinical studies are used as training  
17 sets to determine cutpoints for each tumor type.  
18 Once these cutpoints are identified, we collaborate  
19 with our diagnostic partner and testing laboratory  
20 to validate these cutpoints. Pathologists were  
21 trained to use the prespecified cutpoints during  
22 patient screening for KEYNOTE-859, the validation

1 test set for assessing PD-L1 expression in the  
2 study. All PD-L1 expression was performed in a  
3 central laboratory. This rigor allows for  
4 informative prespecified PD-L1 subgroup analyses.

5           Importantly, while higher PD-L1 cutpoints  
6 can enrich for pembrolizumab's monotherapy efficacy  
7 in gastric cancer, we cannot predict who will  
8 benefit, especially when chemotherapy is added to  
9 pembrolizumab. Robust PD-L1 evaluation in  
10 KEYNOTE-859 supports the indication under  
11 discussion.

12           We acknowledge that PD-L1 testing outside of  
13 clinical trials is variable. The biology of the  
14 disease, the treatment mechanism of action, and the  
15 possible impact of combination established the  
16 foundation of Merck's phase 3 trials. Even when we  
17 anticipate benefit across a broad range of PD-L1  
18 expression, we design our studies with the  
19 potential for biomarker enrichment to increase the  
20 likelihood of successful outcomes. Insights from  
21 Merck clinical trials and emerging knowledge from  
22 external sources further inform the design of

1 registrational studies. Of course, labeling  
2 reflects the results, as well as the statistical  
3 rigor and methodologies of phase 3 studies. When  
4 considering labeling changes, the same statistical  
5 principles apply.

6 Post hoc subgroup analyses at cutpoints that  
7 are neither carefully assessed nor prespecified  
8 with type 1 error control may lead to spurious  
9 findings. Additionally, evaluating numerous  
10 subgroups may demonstrate randomly high or low  
11 treatment effect estimates.

12 The FDA's pooled analysis also has inherent  
13 limitations. It assumes that the different immune  
14 checkpoint inhibitors have an identical treatment  
15 effect. It ignores differences between the  
16 therapies, trials, PD-L1 assays, and defined  
17 cutpoints. Most important, patient selection  
18 reflects three different tests whose  
19 interchangeability has not been established. Using  
20 the same numeric value across different tests does  
21 not mean that the values are equivalent. Combining  
22 potentially different populations treated by

1 different drugs estimates a quantity that does not  
2 represent any actual drug in combination with any  
3 test. Such an analysis does not meet FDA's  
4 standards for labeling.

5 Post hoc subgroup and pooled analyses should  
6 not supersede the findings of the phase 3  
7 randomized trial with a diagnostic specifically  
8 developed for use with pembrolizumab. Since the  
9 approval of KEYNOTE-859, there have not been any  
10 new efficacy or safety data that changed the  
11 benefit-risk profile for pembrolizumab in gastric  
12 cancer.

13 The PD-L1 IHC 22C3 pharmDx assay is  
14 specifically studied for pembrolizumab in the  
15 approved indication. There are key differences in  
16 considering a restriction of this indication by  
17 PD-L1 cutpoint compared to those for cetuximab or  
18 panitumumab and olaparib. Molecular alterations  
19 such as KRAS and BRCA mutations strongly predict  
20 response, whereas PD-L1 expression is a continuum.  
21 It can be modulated by other therapies like  
22 chemotherapy and is not always predictive of

1 immunotherapy response.

2 For cetuximab, panitumumab, and olaparib,  
3 the outcome of each study was individually  
4 evaluated as opposed to a pooled analysis across  
5 three different studies. NCCN, ASCO, and ESMO  
6 guidelines specify granularity around the strength  
7 of efficacy at various cutpoints. Physicians use  
8 these clinical guidelines, the label, and  
9 importantly the individual patient's  
10 characteristics to determine appropriate treatment.

11 Dr. Pooja Bhagia will now share data from  
12 the phase 3 study in HER2-negative gastric cancer  
13 that led to the approved indication.

14 **Applicant Presentation - Pooja Bhagia**

15 DR. BHAGIA: Thank you, Dr. Pietanza.

16 My name is Pooja Bhagia. I am the upper GI  
17 cancer clinical lead at Merck, and I will present  
18 safety and efficacy data from KEYNOTE-859.

19 KEYNOTE-859 supported the full approval of Keytruda  
20 for the first-line treatment of adults with  
21 HER2-negative gastric cancer. In KEYNOTE-859,  
22 patients had HER2-negative metastatic or locally

1 advanced unresectable gastric cancer regardless of  
2 PD-L1 status. PD-L1 CPS less than 1 versus greater  
3 than or equal to 1 was one of the stratification  
4 factors.

5 KEYNOTE-859 was designed based on  
6 pembrolizumab monotherapy studies, which  
7 demonstrated activity across all levels of PD-L1  
8 expression with potential for increased efficacy in  
9 the CPS greater than equal to 1 population. The  
10 statistical plan was designed to test both the ITT  
11 and the CPS greater than equal to 1 populations.  
12 Seventy-eight percent of the population was CPS  
13 greater than equal to 1.

14 Following initiation of KEYNOTE-859, results  
15 from another phase 3 study in first-line gastric  
16 cancer indicated a potential for further enrichment  
17 at CPS greater than equal to 10. We adjusted the  
18 statistical plan to formally test endpoints in this  
19 population as well. Thirty-five percent of the  
20 population was CPS greater than equal to 10.

21 Now, let's review the data supporting full  
22 approval in the intention-to-treat population.

1 KEYNOTE-859 met statistical success criteria for  
2 all its endpoints: overall survival,  
3 progression-free survival, and objective response  
4 rate. The overall survival curve favors  
5 pembrolizumab with a 22 percent reduction in the  
6 risk of death. Progression-free survival curve  
7 also favors pembrolizumab, reducing the risk of  
8 progression or death by 24 percent. At 2 years,  
9 28 percent of patients in the pembrolizumab plus  
10 chemotherapy arm remained alive versus 19 percent  
11 in the chemotherapy arm. Notice the tail of the  
12 curve, which is characteristic of pembrolizumab.

13 The safety profile of the investigational  
14 arm is consistent with the established safety  
15 profiles of pembrolizumab and chemotherapy. The  
16 addition of pembrolizumab adds immune-mediated AEs  
17 and infusion reactions, which were mostly low grade  
18 and manageable. It is known that some  
19 immune-mediated AEs such as endocrinopathies will  
20 require long-term hormone replacement. These data  
21 highlight the favorable benefit-risk profile of  
22 pembrolizumab plus chemotherapy for all patients.



1           To address the FDA's questions, we will now  
2 look at different PD-L1 cutpoints. In all PD-L1  
3 subgroups, patients experienced a benefit with  
4 hazard ratios below 1 for both OS and PFS. A  
5 higher magnitude of benefit is seen with increasing  
6 PD-L1 expression. The CPS greater than equal to 1  
7 subgroup was formally tested with alpha control.  
8 The point estimate of hazard ratio for OS and PFS  
9 is 0.73 and 0.72, respectively, with a  
10 statistically significant and clinically meaningful  
11 benefit in this group.

12           The CPS greater than equal to 10 subgroup  
13 also shows a clinically meaningful benefit. The  
14 CPS less than 1 subgroup was not prespecified with  
15 formal statistical testing. The magnitude of  
16 benefit is less in the CPS less than 1 subgroup,  
17 with the point estimate of the OS hazard ratio  
18 being directionally consistent with the ITT.  
19 Importantly, the median PFS was approximately  
20 1.5 months longer with increased ORR and longer  
21 duration of response, suggesting that some patients  
22 do derive benefit in this subgroup.

1           In patients with CPS between 1 and less than  
2           10, we see benefit with an OS hazard ratio of 0.83  
3           with narrow confidence intervals overlapped with  
4           the ITT and the upper bound is less than 1. This  
5           indicates that the benefit is not driven by CPS  
6           greater than 10.

7           At a three-year follow-up, the benefit of  
8           pembrolizumab remained consistent with the primary  
9           analyses, underscoring a tenet of immunotherapy.  
10          The safety profile is, in general, similar across  
11          CPS cutpoints, and there is no biological rationale  
12          to suggest that the safety profile of pembrolizumab  
13          would change based on PD-L1 expression.

14          In conclusion, there is a high unmet need in  
15          first-line metastatic gastric cancer.

16          Pembrolizumab added to chemotherapy provided a  
17          statistically significant and clinically meaningful  
18          improvement in OS, PFS, and ORR in all patients,  
19          and the magnitude of benefit increases with higher  
20          PD-L1 expression. Some patients with lower CPS  
21          scores also experienced benefit, highlighting that  
22          CPS expression alone cannot predict which patients

1 will benefit from the combination of pembrolizumab  
2 and chemotherapy.

3 Health-related quality of life remains  
4 stable during treatment, was similar between arms,  
5 and consistent across CPS subgroups. The  
6 manageable safety profile reflects the known safety  
7 profiles of the components and is generally similar  
8 across CPS subgroups. Moreover, the label includes  
9 information on efficacy by PD-L1 cutpoints and  
10 supports a benefit-risk discussion between  
11 physicians and patients.

12 Thank you, and I will now invite  
13 Dr. Janjigian to the podium.

14 **Applicant Presentation - Yelena Janjigian**

15 DR. JANJIGIAN: Good morning. Thanks,  
16 Pooja, and it's such an honor to address this  
17 audience. I'm a medical oncologist, and I'm here  
18 on behalf of clinicians treating this disease,  
19 certainly our patients, and caregivers. These are  
20 my disclosures.

21 I'm an expert in the field. Yesterday in  
22 clinic, I saw 30 patients with this disease, and my

1 research practice is focused on this. Most  
2 patients in the United States are treated outside  
3 of tertiary cancer centers, so we need to really be  
4 there in the clinic with our practitioners to  
5 understand what they're facing. Most patients  
6 present with stage 4 disease. It's an orphan  
7 illness in the United States, so an oncologist sees  
8 maybe, at best, 5 gastric cancer patients a year,  
9 so they need to act fast.

10 We know that there's a narrow window of  
11 improvement of their quality of life. Also, the  
12 likelihood that these patients will respond to  
13 therapy will predict the likelihood they will get  
14 done second line, third line, and so forth, so  
15 we're in it now for the long game, so the response  
16 is important.

17 These patients tend to come in from centers  
18 that they're not getting the initial diagnosis in,  
19 so they may or may not bring unstained slides, and  
20 the therapeutic options are really driven by the  
21 clinician. As you saw by ASCO/NCCN guidelines,  
22 there is some restriction, but often we start

1 treatment before these biomarker testing results  
2 come back.

3 Immune checkpoint blockade has its  
4 downsides, and some of these adverse events can be  
5 long term, but we need to remember that most of the  
6 side effects actually come from the 5-FU  
7 oxaliplatin-based therapy, and the clinicians  
8 really know how to use immunotherapy because, as I  
9 said, most clinicians treat also lung cancer,  
10 breast cancer, renal cell cancer, so they know  
11 these agents well.

12 So how do we advance practice for this  
13 disease? We do biomarker testing. HER2 is seen in  
14 20 percent, MSI is a rare but important subset, and  
15 most of the patients do have some tumor  
16 overexpression of PD-L1. So in patients, we see  
17 80 percent plus for CPS testing if the testing is  
18 done, but is the testing done? What are the  
19 practical implications? Having the unstained  
20 slides, choosing the right assay, and also the  
21 pathology interpretation you heard is excellent  
22 earlier in the talk.

1           We do have difficulty obtaining slides, so  
2           the subset of patients I'm particularly worried  
3           about are the people who are not getting tested for  
4           various reasons because of the sample quality or  
5           availability. There is a huge variability in which  
6           assay is used depending on the center, and there's  
7           a learning curve. Gastric epithelium is tricky.  
8           Some of these biopsies are quite superficial, so  
9           you don't have the full tumor content. And if  
10          you're used to looking at lung cancer and grading  
11          TPS, CPS may be a challenge and there's a steep  
12          learning curve.

13                 How are the real-world practices doing?  
14           Well, it turns out, with all of the research that  
15           we're doing in our gastric cancer world, it's not  
16           translating directly into all of our patients. A  
17           quarter of our patients never even get the PD-L1  
18           testing or any biomarker testing, and only about  
19           50 percent get immunotherapy, suggesting there's  
20           really no overuse of these agents in the practice.  
21           And there is a variability. For example, MSK  
22           doesn't even use any of these; we use our own

1 lab-developed tests, and there is a huge learning  
2 curve for CPS scoring, so TAP and different CPS  
3 cutoffs are not interchangeable.

4           The stakes are high here because we've  
5 changed. We've bent the curve, the survival curve,  
6 for these immunotherapies, and it's amazing to be  
7 able to sit in the clinic and tell a patient you  
8 have about a quarter chance of living long term  
9 with this disease, even if it's metastatic disease.  
10 But we only have that chance if we use the drugs in  
11 first-line setting. In the United States, we don't  
12 have approval of immune checkpoint blockade in  
13 later lines, unlike Asia where gastric cancer is  
14 very common and you still use immunotherapy in  
15 later lines.

16           In conclusion, we really need to push the  
17 envelope, improve long-term survival, and choice of  
18 first-line therapy really matters for our patients,  
19 so clinicians need to have these tools in the  
20 clinic. ASCO and CCN guidelines do provide really  
21 good guidelines as to which agents to use. I'm  
22 worried about the patients with unavailable testing

1 or testing that never gets done because,  
2 statistically speaking, 80 percent of patients  
3 would benefit from getting immune checkpoint  
4 blockade if we end up restricting.

5 So I think it's important to let physicians  
6 take care of the patients and to make decisions  
7 individually and not just on a population level  
8 basis. That would be my two cents on this, but I'm  
9 happy to take any questions. Thanks for your  
10 attention.

11 **Applicant Presentation - Catherine Pietanza**

12 DR. PIETANZA: Thank you, Dr. Janjigian.

13 In summary, KEYNOTE-859 met success criteria  
14 for all its primary and key secondary endpoints in  
15 the intention-to-treat population. The label  
16 reflects the study outcome. Metastatic gastric  
17 cancer is a fatal disease, where survival is  
18 measured in months. Pembrolizumab combined with  
19 chemotherapy is one of the only treatment options  
20 for these patients. While higher PD-L1 expression  
21 enriches for benefit, data show that efficacy can  
22 occur across a range of expression, including in



1 those with no or low expression.

2 As we heard, restricting the indication to  
3 PD-L1 CPS greater than or equal to 1 will leave  
4 approximately 25 percent of these patients with no  
5 other option besides chemotherapy. The current  
6 indication allows physicians to make the best  
7 possible choice for patients with gastric cancer.  
8 Thank you, and we look forward to a productive  
9 discussion.

10 DR. LIEU: Thank you so much.

11 We will take a brief 10-minute break to  
12 allow for the next presentation to set up. Panel  
13 members, please remember that there should be no  
14 discussion of the meeting topic during the break  
15 amongst yourselves or with any member of the  
16 audience. We'll resume at 9:20 Eastern Time.

17 (Whereupon, at 9:10 a.m., a recess was taken,  
18 and meeting resumed at 9:20 a.m.)

19 DR. LIEU: Welcome back. We will now proceed  
20 with our third presentation from BeiGene.

21 **Applicant Presentation - Mark Lanasa**

22 DR. LANASA: Good morning. My name is Mark

1 Lanasa, and I'm the Chief Medical Officer for Solid  
2 Tumors at BeiGene. BeiGene is a mid-size  
3 pharmaceutical company developing innovative  
4 medicines for patients with cancer around the  
5 globe. BeiGene was founded 14 years ago and has  
6 grown into a fully integrated global company with  
7 offices around the world. We have a broad  
8 portfolio of cancer therapies with two internally  
9 discovered globally approved medicines, including  
10 tislelizumab, which is the focus of today's  
11 presentation.

12           Additionally, we have over 2,000 employees  
13 in the United States and recently opened a  
14 state-of-the-art manufacturing facility in  
15 Hopewell, New Jersey. I want to thank the FDA, the  
16 chair, and the members of the committee for the  
17 opportunity to share our results with tislelizumab,  
18 and to provide our interpretation for this  
19 important discussion.

20           During this morning's session, I will  
21 briefly provide background information about  
22 tislelizumab, and will then spend the bulk of my

1 time reviewing the results from our pivotal study,  
2 RATIONALE-305, along with efficacy analyses across  
3 PD-L1 expression subgroups. I will then turn it  
4 over to Dr. Uboha to provide background on gastric  
5 adenocarcinoma and her perspective on the use of  
6 tislelizumab in patients with gastric or  
7 gastroesophageal junction adenocarcinoma, which we  
8 will refer to as gastric cancer or GEJ. We also  
9 have additional functional area experts with us  
10 today to help to address your questions.

11 Tislelizumab is a unique anti-PD-1 designed  
12 for potent PD-1 binding in robust CD8-positive  
13 T-cell activation. Tislelizumab is an Fc  
14 engineered humanized IgG4 antibody. Tislelizumab  
15 binds to the extracellular domain of human PD-1  
16 with high specificity and affinity. It binds PD-1  
17 at a unique epitope that competitively blocks the  
18 binding of both PD-L1 and PDL-2. Tislelizumab does  
19 not bind to Fc gamma receptors, and therefore does  
20 not induce antibody-dependent cellular cytotoxicity  
21 or complement dependent cytotoxicity. These  
22 differentiating features enhance the functional

1 activity of T cells in in vitro cell-based assays.

2 Our pivotal global phase 3 RATIONALE-305  
3 study, evaluating the efficacy and safety of  
4 tislelizumab, combined with standard chemotherapy  
5 versus placebo plus chemotherapy, in the first-line  
6 setting in patients with locally advanced,  
7 unresectable or metastatic gastric and GEJ cancers,  
8 was initiated in 2018, and the BLA was submitted to  
9 the FDA on December 28, 2023 and is currently under  
10 review.

11 Please note that the primary endpoint of  
12 overall survival was tested hierarchically with the  
13 primary analysis of the PD-L1 positive group  
14 occurring at an interim analysis in October of 2021  
15 and a final analysis of the ITT population in  
16 February of 2023. Overall, results from our  
17 pivotal study show that first-line treatment with  
18 tislelizumab in combination with chemotherapy  
19 improved overall survival in patients with locally  
20 advanced unresectable or metastatic GEJ  
21 adenocarcinoma, and therefore can offer an  
22 important treatment option for these patients.

1           The benefit-risk of tislelizumab in  
2 first-line treatment for locally advanced  
3 unresectable or metastatic gastric cancer is  
4 favorable and is overall consistent with that of  
5 currently approved PD-1 inhibitors. We do find  
6 that PD-L1 is a predictive biomarker in gastric  
7 cancer. Based upon our primary endpoint, the  
8 benefit-risk is most reliably established in the  
9 subgroup with PD-L1 expression greater than or  
10 equal to 5 percent; however, we also observe modest  
11 but consistent benefit in patients with expression  
12 between 1 and 10 percent. Tislelizumab plus  
13 chemotherapy has a tolerable and acceptable safety  
14 profile, which is similar to other approved PD-1  
15 inhibitors.

16           First, I will share with you the design and  
17 key efficacy results from Study 305. RATIONALE-305  
18 is a randomized, double-blind, placebo-controlled  
19 phase 3 study in 997 patients with histologically  
20 confirmed gastric cancer. Overall, the design of  
21 the study parallel those of the other approved  
22 products in this indication. The study excluded

1 patients with either HER2-positive tumors or with  
2 prior therapy for unresectable, locally advanced or  
3 metastatic gastric cancer.

4 Stratification factors included geographic  
5 region of enrollment, PD-L1 expression above or  
6 below 5 percent, the presence of peritoneal  
7 metastasis, and the investigator's choice of  
8 chemotherapy. All patients were required to have  
9 at least one evaluable lesion according to  
10 RECIST version 1.1, ECOG performance status score  
11 of 0 or 1, an adequate organ function and  
12 nutritional status.

13 Patients were randomized 1 to 1 to receive  
14 either tislelizumab 200 milligrams or matching  
15 placebo administered by intravenous infusion every  
16 3 weeks until disease progression or unacceptable  
17 toxicity. Both treatment arms were administered in  
18 combination with physician's choice of standard  
19 chemotherapy, either oxaliplatin and capecitabine,  
20 or cisplatin and 5-FU.

21 The primary endpoint was overall survival  
22 hierarchically tested first in the PD-L1 greater

1 than or equal to 5 percent group, followed by  
2 testing in the ITT population. Multiple secondary  
3 endpoints, including progression-free survival,  
4 objective response rate, duration of response, and  
5 safety, were also evaluated. Because the primary  
6 endpoint was sequentially tested first in the  
7 greater than or equal to 5 percent group followed  
8 by the ITT population, I will share the data that  
9 informed our decision to use 5 percent to define  
10 the PD-L1 positive subgroup.

11 This cutoff was derived from a post hoc  
12 analysis of an early-phase, single-arm study of  
13 tislelizumab monotherapy administered as second  
14 line or later treatment to patients with advanced  
15 gastric or esophageal adenocarcinoma. An initial  
16 analysis of 46 patients led to the selection of  
17 5 percent in the study protocol. Subsequently, a  
18 receiver operating characteristic analysis, based  
19 upon objective response rate, confirmed the  
20 potential predictive value of 5 percent. In this  
21 analysis of 77 patients, which included the initial  
22 46 patients, a PD-L1 value of 5 percent maximize

1 sensitivity and specificity for ORR.

2 Next, I'd like to briefly explain the assay  
3 used for PD-L1 determination in RATIONALE-305.

4 PD-L1 status for all analyses was assessed using  
5 the SP263 assay and scored following the tumor area  
6 of positivity or TAP algorithm. Both the TAP and  
7 the combined positive score are designed to measure  
8 the same biology. The TAP score was developed by  
9 Roche Tissue Diagnostics, our companion diagnostic  
10 partner.

11 Key differences between TAP and CPS are that  
12 TAP includes tumor-associated immune cells, and the  
13 TAP is visually estimated rather than based on cell  
14 counting. As a result, TAP can be performed more  
15 quickly by the pathologist while retaining  
16 interobserver concordance of 95 percent at the  
17 proposed cutoff value of 5 percent.

18 Importantly, although we have confidence in  
19 the technical operating characteristics of this  
20 validated assay at 5 percent, we also acknowledge  
21 the practical challenge that prescribers face due  
22 to various assays being utilized by different PD-1



1 inhibitors, as well as different assays being used  
2 at different clinical sites. For this reason, we  
3 fully support efforts towards assay harmonization  
4 within the class.

5           Transitioning back to RATIONALE-305 study  
6 overview, I will now share the patient demographics  
7 and disease characteristics. Baseline demographics  
8 were overall well balanced and representative of  
9 the target patient population. The median age was  
10 60 years and the majority of enrolled patients were  
11 male. Seventy-five percent of the patients were  
12 from East Asia, consistent with the global  
13 epidemiology of gastric cancer. The remaining  
14 25 percent of patients were enrolled in Europe and  
15 the United States.

16           Our intent was to enroll a larger proportion  
17 of patients in the United States, but enrollment in  
18 the U.S. became infeasible once the top-line  
19 results from the nivolumab study presented in this  
20 session became available. Similarly, baseline  
21 disease characteristics were balanced and are  
22 consistent with the patient population here in the

1 United States.

2 The median time from initial diagnosis was  
3 less than 2 months. Almost all patients had  
4 metastatic disease and approximately 27 percent of  
5 patients had prior gastrectomy. Most patients had  
6 an ECOG score of 1. Approximately half of all  
7 patients had tumors with a PD-L1 score greater than  
8 or equal to 5 percent based on the SP263 TAP score.  
9 The disease characteristics of this group, while  
10 not shown here, were consistent with the ITT  
11 analysis set.

12 Now, let's move on to the primary endpoint  
13 analysis. The primary endpoint of overall survival  
14 was met in both the PD-L1 greater than or equal to  
15 5 percent population at the interim analysis and  
16 subsequently in the ITT population at the final  
17 analysis. This is the Kaplan-Meier for the PD-L1  
18 positive population at the interim analysis. The  
19 investigational and control arm show increasing  
20 benefit over time during the period of survival  
21 follow-up.

22 In the PD-1 greater than or equal to

1 5 percent population, tislelizumab plus  
2 chemotherapy demonstrated a statistically  
3 significant and clinically meaningful 26 percent  
4 reduction in the risk of death over chemotherapy  
5 alone, with a median improvement in overall  
6 survival of 4.6 months. In the ITT population,  
7 tislelizumab plus chemotherapy demonstrated a  
8 statistically significant 20 percent reduction in  
9 the risk of death over chemotherapy alone, with a  
10 median improvement in OS of 2.1 months.

11           Importantly, the overall survival with  
12 tislelizumab plus chemotherapy in the PD-L1  
13 positive population is supported by improvements in  
14 the secondary endpoints. Compared to chemotherapy  
15 alone, patients treated with tislelizumab plus  
16 chemotherapy had statistically significant  
17 prolonged progression-free survival with a  
18 33 percent reduction in the risk of progression or  
19 death; a higher objective response rate with an  
20 absolute improvement in response rate of  
21 approximately 7 percent; and a 1.9-month  
22 improvement in median duration of response.

1           Next, and at the FDA's request to better  
2 understand the potential association of PD-L1  
3 expression with survival, we conducted a number of  
4 exploratory analyses evaluating subgroups across a  
5 range of PD-L1 expression. Here we are showing a  
6 forest plot of overall survival across various  
7 PD-L1 subgroups using the final analysis cutoff  
8 date.

9           Recall that the analysis of patients with  
10 PD-L1 greater than or equal to 5 percent was a  
11 prespecified alpha-controlled analysis, so I am  
12 showing that subgroup first. The 5 percent cutoff  
13 did predict efficacy with an approximate 3-fold  
14 increase in overall survival effect among patients  
15 with a PD-L1 score greater than or equal to  
16 5 percent when compared to patients with a score of  
17 less than 5 percent.

18           The additional subgroups presented provide  
19 additional support for an association between PD-L1  
20 score and magnitude of overall survival benefit as  
21 measured by the Cox proportional hazards model.  
22 While we propose a PD-L1 score of greater than or

1 equal to 5 percent based on the treatment effect  
2 observed in the prespecified primary endpoint  
3 analysis, we also believe that the clinical data  
4 across the studies presented today are quite  
5 similar, and therefore consistency of labeling  
6 within the PD-L1 class is appropriate.

7 Finally, because TAP and CPS are designed to  
8 describe the same biology, we conducted an  
9 exploratory analysis to evaluate the agreement  
10 between TAP and CPS for overall survival. In this  
11 analysis, the same tumor section slides that were  
12 stained with the SP263 antibody were scored using  
13 TAP and then rescored using CPS. We observed a  
14 high level of agreement between TAP and CPS,  
15 particularly among lower PD-L1 scores, which are  
16 the expression levels most relevant to today's  
17 discussion.

18 Last, I'll briefly review the safety  
19 results. Overall, the adverse event profile for  
20 tislelizumab plus chemotherapy is consistent with  
21 the known safety profile of tislelizumab and other  
22 approved checkpoint inhibitors when administered in

1 combination with chemotherapy for advanced or  
2 metastatic gastric cancer. The frequency of  
3 treatment-emergent adverse events of any grade, as  
4 well as grade 3 and greater, was similar. As  
5 expected, a higher rate of immune-mediated AEs was  
6 observed in the tislelizumab arm, and the majority  
7 of these events were skin or thyroid function AEs.  
8 Though not shown here, there was not an association  
9 between PD-L1 score and the frequency or severity  
10 of IM AEs.

11 AEs leading to treatment modification were  
12 similar between the groups, which indicate these  
13 treatment modifications were largely driven by the  
14 chemotherapy component. SAEs and AEs leading to  
15 treatment discontinuation were more common in the  
16 tislelizumab plus chemotherapy group. At this  
17 tornado plot, we are showing the most frequent  
18 treatment-emergent adverse events of any grade in  
19 both the ITT and PD-L1 positive subgroup. The  
20 majority of adverse events are commonly observed in  
21 this disease and with the chemotherapy component.  
22 There is no clear trend of increase of individual

1 AEs or of AE severity with the addition of  
2 tislelizumab.

3 In conclusion, RATIONALE-305 met the primary  
4 endpoint of OS in both the PD-L1 positive and ITT  
5 populations. Evaluation of our data suggests that  
6 the benefit-risk profile is most reliably  
7 established in the PD-L1 greater than or equal to  
8 5 percent group, which was assessed using a  
9 prespecified analysis and a validated assay.  
10 Multiple secondary endpoints support clinically  
11 meaningful improvement within this subgroup.

12 Additionally, we observed modest but  
13 consistent benefit in patients with PD-L1  
14 expression between 1 and 10 percent. BeiGene  
15 supports consistency in labeling and in  
16 harmonization of PD-L1 testing across the class of  
17 PD-1 agents, as it would help provide clarity among  
18 prescribers and better support treatment decisions  
19 in clinical practice. Overall, the totality of  
20 data supports tislelizumab in combination with  
21 chemotherapy as an effective first-line treatment  
22 option for patients with locally advanced or

1 unresectable metastatic gastric cancer.

2 Thank you, and I would like to ask Dr. Uboha  
3 to provide her comments regarding gastric cancer  
4 and the potential use of tislelizumab in this  
5 indication.

6 **Applicant Presentation - Nataliya Uboha**

7 DR. UBOHA: Thank you, Dr. Lanasa, and good  
8 morning. I'm Nataliya Uboha, Associate Professor  
9 of Medical Oncology at the University of Wisconsin  
10 School of Medicine. My expertise is in upper GI  
11 cancer, specifically gastric and esophageal. I am  
12 also a member of NCCN for gastric and esophageal  
13 cancers and Co-Chair of the NCI Esophagogastric  
14 Task Force. I'm here today to provide some  
15 background on these cancers, the challenge in  
16 treating these patients, and my clinical views on  
17 the questions posed before you. I have been  
18 compensated for my travel but not for my time  
19 preparing for today's meeting.

20 Gastric cancer is an aggressive solid tumor  
21 cancer and carries a poor prognosis. Because it is  
22 asymptomatic in its early stages, initial diagnosis



1 commonly occurs when the tumor is already advanced.  
2 In the U.S. alone, there are approximately 26,000  
3 new cases of gastric cancer each year and 10,000  
4 deaths. Overall, the 5-year relative survival is  
5 only 7 percent for patients with advanced  
6 metastatic disease, and, unfortunately, we are  
7 seeing an increase in the incidence of gastric and  
8 G-junction cancers in young adults, similar to the  
9 trends observed in colorectal cancer. Effective  
10 frontline treatment is critical to improve the  
11 outcomes of patients with gastric and G-junction  
12 cancers, and many patients are not able to receive  
13 subsequent therapies because of rapid clinical  
14 decline from symptoms related to their disease  
15 progression.

16 Anti-PD-1 antibodies are now part of  
17 standard treatment for patients with advanced  
18 gastric and G-junction cancers, and additional PD-1  
19 inhibitors to chemotherapy in the first-line  
20 setting prolongs overall survival in HER2-negative  
21 gastric cancer patients and results in more  
22 frequent and more durable tumor responses. This

1 was confirmed in the RATIONALE-305 study, as well  
2 as supported by the data from the other sponsors  
3 you have seen today. Collectively, data  
4 demonstrates that addition of immunotherapy to  
5 chemotherapy results in significant improvement in  
6 overall survival.

7 Today, you're being asked whether we can use  
8 immunotherapy plus chemotherapy for all patients,  
9 or whether we should limit immunotherapy use to  
10 those with certain PD-L1 cutoffs. As a clinician  
11 who treats these patients every day, hear my  
12 thoughts. In RATIONALE-305, there was a clear  
13 benefit from adding tislelizumab to chemotherapy.  
14 This was greater in patients whose tumors have  
15 higher PD-L1 score, but the benefit was also  
16 observed in the intent-to-treat population.

17 In addition, in the subgroup of patients  
18 with PD-L1 of 1 percent or greater tumors, we also  
19 saw improvement of overall survival, with a hazard  
20 ratio of 0.78 in this trial. At the same time,  
21 across several studies, we see a consistent lack of  
22 benefit from the addition of immunotherapy in

1 patients with tumors who have PD-L1 less than 1  
2 score.

3 In clinics, we frequently face the question  
4 of how we should approach patients whose tumors  
5 have a low PD-L1 score. For example, should  
6 patients with PD-L1 CPS 4 be treated differently  
7 than those who have a CPS score of 6? For me, in a  
8 patient population facing high mortality, this is  
9 too fine of a line to draw.

10 I, along with my colleagues, want to be able  
11 to offer immunotherapy to any patient who can  
12 potentially benefit. Importantly, from a  
13 practicing perspective, a PD-L1 cutoff of 1 or  
14 greater should be unified across PD-1 inhibitors,  
15 including their use in HER2-positive upper GI  
16 tumors. This approach would allow appropriate  
17 access to therapy and will preclude offering  
18 suboptimal treatments to other patients. Thank you  
19 for your attention.

20 DR. LIEU: Thank you.

21 We will now proceed with FDA's presentation,  
22 starting with Dr. Vaibhav Kumar.

1 **FDA Presentation - Vaibhav Kumar**

2 DR. KUMAR: Good morning. My name is  
3 Vaibhav Kumar. I'm a medical oncologist and  
4 clinical reviewer at FDA. The purpose of my talk  
5 this morning is to consolidate FDA's perspectives  
6 on the use of PD-L1 expression as a predictive  
7 biomarker when using immune checkpoint inhibitors  
8 in patients with HER2-negative gastric or  
9 gastroesophageal junction adenocarcinoma. The  
10 members of the FDA review team are listed on this  
11 slide.

12 My presentation is structured to outline the  
13 data from the three pivotal trials that support the  
14 use of PD-L1 expression as a predictive biomarker  
15 when deciding to use PD-1 inhibitors in first-line,  
16 HER2-negative gastric adenocarcinoma. Importantly  
17 for the discussion and consistent across all three  
18 trials, despite the use of different biomarker  
19 assays and cutoffs, is that there is uncertainty  
20 with regards to efficacy in patients who are  
21 biomarker negative, especially at a PD-L1  
22 expression of less than 1. We know that all

1 patients are exposed to the added risk and toxicity  
2 from the addition of a PD-1 inhibitor; hence, the  
3 need for a contemporary risk-benefit discussion  
4 that we're having today.

5 Throughout today's discussion, the term  
6 "PD-L1 expression" or "PD-L1 status" has been  
7 repeated on several occasions. This slide does  
8 provide a broad overview of the methodologies used  
9 for assessing PD-L1 expression by  
10 immunohistochemistry across the three studies being  
11 discussed. All three methodologies consider cell  
12 membrane staining at any intensity as positive for  
13 scoring purposes.

14 Tumor proportion score, or TPS for short, is  
15 calculated by taking the number of PD-L1 positive  
16 tumor cells divided by the number of viable tumor  
17 cells, multiplied by 100. Combined positive score,  
18 or CPS, is calculated by taking the number of PD-L1  
19 positive cells, which includes tumor cells,  
20 lymphocytes, and macrophages, dividing by the  
21 number of viable tumor cells, multiplied by 100.  
22 And tumor area positivity, or TAP, is based on

1 visual estimation of the tumor area, which consists  
2 of tumor and any desmoplastic stroma occupied by  
3 the PD-L1 positive tumor and immune cells, divided  
4 by the tumor area and multiplied by 100.

5           When we discuss PD-L1 expression as a  
6 biomarker, it is important to put into context the  
7 timeline for the three studies that are subject of  
8 today's ODAC. Using data from clinicaltrials.gov  
9 to provide the trial start date, CHECKMATE-649 was  
10 the first of these studies to be initiated nearly  
11 eight years ago in October 2016 and the initial  
12 approval of nivolumab for this indication was in  
13 April 2021; KEYNOTE-859 and RATIONALE-305 were  
14 initiated in 2018; and the subsequent approval for  
15 pembrolizumab and the sBLA submission for  
16 tislelizumab occurring last year.

17           As the data from these and other studies  
18 have matured, US-based societies and global  
19 regulatory agencies now make recommendation for  
20 patient selection based on PD-L1 status. There is  
21 heterogeneity in PD-L1-based approaches between FDA  
22 and US-based guidelines, which not only poses

1 difficulties for patients and providers, but also  
2 for future drug development in this disease area.

3 The current FDA label and approved  
4 indications for nivolumab and pembrolizumab is  
5 agnostic of PD-L1 status. That is to say the  
6 approval was based on broader intent-to-treat  
7 populations enrolled in the respective studies,  
8 CHECKMATE-649 for the nivolumab approval and  
9 KEYNOTE-859 for the pembrolizumab approval.  
10 Details on efficacy on biomarker defined  
11 subpopulations according to PD-L1 expression were  
12 made available to healthcare providers in  
13 Section 14 of the respective labels.

14 BeiGene has submitted their supplementary  
15 BLA for the use of tislelizumab in combination with  
16 chemotherapy for this indication. The verbiage  
17 reflects the draft label that was submitted as part  
18 of the sBLA. This application is currently under  
19 review and the supportive data here are from the  
20 pivotal study, RATIONALE-305.

21 In 2023, the American Society of Clinical  
22 Oncology has provided recommendations based on

1 histology and anatomic location. For patients with  
2 HER2-negative gastric adenocarcinoma and PD-L1  
3 combined positive score of 0, ASCO recommendations  
4 are for the use of chemotherapy only, without the  
5 addition of nivolumab. They recommend that  
6 nivolumab be added to chemotherapy with a CPS value  
7 of 5 or greater, and for patients who are in  
8 between, i.e., CPS greater than or equal to 1 and  
9 less than 5, the recommendations for the addition  
10 of nivolumab to chemotherapy is to be made on a  
11 case-by-case basis; just a note that these  
12 guidelines were published prior to the approval of  
13 pembrolizumab based on KEYNOTE-859.

14 The NCCN guidelines do not specifically  
15 advocate for the use of chemotherapy alone in  
16 patients with a CPS of 0; however, they do provide  
17 a Category 1 recommendation for addition of  
18 nivolumab to chemotherapy in patients with CPS  
19 greater than or equal to 5 and a Category 2B  
20 recommendation for patients with a CPS less than 5.  
21 For pembrolizumab, the recommendations are that it  
22 be added to chemotherapy for patients with a CPS



1 greater than or equal to 1, with a Category 1  
2 recommendation for a CPS greater than or equal to  
3 10, and a Category 2B recommendation for patients  
4 with CPS values between 1 and 10. Another  
5 important point to note is that the guidance for  
6 patients with mismatch repair deficient and  
7 microsatellite instability high tumors are  
8 independent of PD-L1 status.

9 The study designs have already been outlined  
10 today and the schema is presented within the FDA  
11 briefing document. All of these global  
12 randomized-controlled studies share many common  
13 elements. I do want to outline some of the key  
14 differences between the studies that are relevant  
15 to today's discussion.

16 CHECKMATE-649 was the only study to include  
17 patients with esophageal adenocarcinoma and did  
18 allow patients with undetermined HER2 status to be  
19 enrolled. All three studies included patients  
20 irrespective of PD-L1 cutoff, and all three  
21 determined PD-L1 expressions and assay centrally,  
22 but the assay and algorithm differed for each

1 study. All three studies stratified randomized  
2 patients by PD-L1 status; however, the algorithms  
3 TPS, CPS, and TAP, and cutpoints did differ.  
4 CHECKMATE-649 and KEYNOTE-859 used a cutpoint at 1,  
5 whereas RATIONALE-305 used a cutoff of 5 for  
6 stratification.

7 OS was the primary endpoint in all; however,  
8 the hierarchical testing strategy and the primary  
9 efficacy populations did differ. CHECKMATE-649  
10 initially compared overall survival in patients  
11 with CPS greater than or equal to 5, then 1, and  
12 then ITT. KEYNOTE-859 evaluated OS in patients  
13 with CPS greater than or equal to 10 and ITT in  
14 parallel, then in CPS greater than or equal to 1  
15 and ITT sequentially. RATIONALE-305 prespecified  
16 evaluation of OS in patients with TAP greater than  
17 or equal to 5 and subsequently ITT.

18 Before I delve into the study populations of  
19 the three studies, I want to point out that further  
20 details that include differences in race,  
21 ethnicity, and region of enrollment have been  
22 outlined in the FDA briefing document. Here, I'll

1 focus on key proportions that are important to  
2 note, especially when we discuss patients,  
3 including the pooled analyses that will be  
4 presented later.

5 Approximately 14 percent of patients in  
6 CHECKMATE-649 had esophageal adenocarcinoma. The  
7 HER2 stages were unknown or not reported in  
8 approximately 40 percent of patients in  
9 CHECKMATE-649. As mentioned on the previous slide,  
10 the prespecified PD-L1 defined patient populations  
11 differed in the three studies. For CHECKMATE-649,  
12 approximately 60 percent of patients had a CPS  
13 greater than or equal to 5. For KEYNOTE-859,  
14 35 percent had a CPS value greater than or equal to  
15 10, and RATIONALE-305, approximately 55 percent had  
16 PD-L1 expression levels greater than or equal to a  
17 TAP of 5.

18 Approximately 3 to 5 percent of patients  
19 across these studies were known to have  
20 microsatellite instability high or mismatch repair  
21 deficient tumors. Over the course of the conduct  
22 of these studies, we do know that immune checkpoint

1 inhibitors are highly efficacious in this patient  
2 population, and just to reiterate, the discussion  
3 from today's ODAC on PD-L1 expression would be  
4 focused on patients with microsatellite stable  
5 disease, which is the predominant population  
6 evaluated across the three studies. The MSI status  
7 was undetermined anywhere from 7 to 15 percent of  
8 patients across the three studies.

9 This FDA analysis outlines a different  
10 composition of the intent-to-treat populations  
11 according to various PD-L1 strata. For this  
12 analysis, the raw CPS or TAP score was used to  
13 provide patient classification if a particular  
14 cutoff was not prespecified in that study, which  
15 has analytic limitations.

16 Patients were all in mutually exclusive  
17 strata. Focusing on the dark blue at the bottom,  
18 one notes that CHECKMATE-649 enrolled the greatest  
19 proportion of patients with PD-L1 expression level  
20 greater than or equal to 10, which comprised 49  
21 percent of the intent-to-treat population, whereas  
22 focusing on the light gray at the top, KEYNOTE-859

1 enrolled the greatest proportion of the  
2 intent-to-treat population, where the CPS was less  
3 than 1, at 22 percent, and RATIONALE-305 had the  
4 greatest proportion of patients at the intermediate  
5 TAP values between 1 and 10.

6 Now that we have a sense of similarities and  
7 differences in study design and populations across  
8 the studies, I'll provide details of efficacy and  
9 overall survival in each study, clearly delineating  
10 the efficacy findings that were prespecified  
11 populations and the exploratory subgroups defined  
12 by PD-L1 status.

13 All three studies demonstrated an  
14 improvement in overall survival in the  
15 intent-to-treat population with the corresponding  
16 hazard ratios for overall survival ranging between  
17 0.78 and 0.8 across the three studies. Now, if you  
18 focus on what was the prespecified analysis in the  
19 PD-L1 high subpopulations at a cutoff of CPS 5 and  
20 TAP 5 for CHECKMATE-649 and RATIONALE-305, CPS 10  
21 for KEYNOTE-859, one can note that it is this  
22 population that appeared to derive the greatest

1 overall survival benefit; and to note also that the  
2 prespecified analyses at the lower CPS threshold of  
3 1 in CHECKMATE-649 and KEYNOTE-859 also  
4 demonstrated a statistically significant overall  
5 survival benefit. This benefit was attenuated when  
6 compared to the PD-L1 high analyses specified in  
7 that particular study.

8 The next series of slides will focus on  
9 overall survival benefit in PD-L1 defined  
10 subpopulations, starting with CHECKMATE-649. Just  
11 focusing on patients who would be biomarker  
12 positive at a particular PD-L1 threshold, the  
13 population with a CPS value greater than or equal  
14 to 10 appear to derive the greatest benefit from  
15 the addition of immune checkpoint inhibitor with a  
16 corresponding hazard ratio of 0.65. This benefit  
17 in overall survival appears to attenuate at lower  
18 PD-L1 thresholds as we go from 5, then 1, and then  
19 ITT population.

20 Now, if we look at subpopulations who would  
21 be biomarker negative at a particular threshold,  
22 there is neither convincing evidence of benefit,

1 nor detriment, in these patient populations, with  
2 the point estimates for the hazard ratios being  
3 over 0.9. Now, these observations in patients with  
4 biomarker negative are similar to the findings in  
5 the populations with CPS between 1 and 10; again,  
6 the point estimates for the hazard ratios being  
7 between 0.9 and 1 with broad confidence intervals.

8 Visually, the Kaplan-Meier curves  
9 demonstrate nicely the observations from the forest  
10 plots in the biomarker positive outlined in the top  
11 row and the biomarker negative populations in the  
12 bottom row. We see the separation in curves in the  
13 intent-to-treat population on the left; however,  
14 the separation is most marked in patients who have  
15 a CPS 10 or greater as seen in the top right, with  
16 less pronounced separation of the curves as we work  
17 down from our CPS thresholds of 5, then 1. When  
18 looking at the biomarker negative population, there  
19 is, again, no convincing evidence of either benefit  
20 or harm in these patient populations.

21 Now, focusing on subpopulations in  
22 KEYNOTE-859, I want to reiterate that a CPS

1 threshold of 5 was not prespecified in this study  
2 and this population was identified using raw CPS  
3 values, which does have analytic limitations.  
4 Focusing on the population who would be biomarker  
5 positive, consistent with CHECKMATE-649, the  
6 greatest benefit appears to be in patients with  
7 PD-L1 CPS value of 10 or higher with a  
8 corresponding hazard ratio of 0.64. Also  
9 consistent is that the benefit appears to attenuate  
10 as we go to the lower biomarker positive thresholds  
11 and the ITT population.

12 In terms of the biomarker negative  
13 subgroups, patients with a CPS less than 1 have a  
14 hazard ratio of 0.92 with broad confidence  
15 intervals, once again not providing a strong  
16 argument for either efficacy or detriment. The  
17 observations for patients with CPS values less than  
18 5 and less than 10 is a little more uncertain,  
19 where the observation is of modest benefit, where  
20 the hazard ratio is 0.85 and 0.86, respectively,  
21 with narrow confidence intervals given the larger  
22 sample sizes. The subpopulations in the



1 intermediate subgroups for KEYNOTE-859, focusing on  
2 the 1 to 10 row at the bottom, similar to the  
3 biomarker negative populations at less than 5 and  
4 less than 10, there is a stronger case for modest  
5 overall survival benefit in this patient  
6 population.

7 As one would anticipate, the Kaplan-Meier  
8 curves once again demonstrate that the greatest  
9 separation in curves are in those patients with  
10 CPS 10 or higher on the top-right of the screen,  
11 and the separation attenuates as you move left  
12 across cutoffs towards the intent-to-treat  
13 population. There does not appear to be any  
14 separation of the curves in patients with CPS less  
15 than 1, whereas that's not the case when we look at  
16 patients with CPS less than 5 and less than 10,  
17 where there is some separation, especially at the  
18 tail.

19 Now, focusing on RATIONALE-305, consistent  
20 with the other two studies is that patients with a  
21 TAP value of 10 or greater appear to derive the  
22 greatest benefit with a corresponding hazard ratio

1 of 0.57, and this benefit attenuates if we look at  
2 patients with lower TAP values. Focusing attention  
3 on the biomarker negative subgroups, at each of the  
4 TAP 10, 5, and 1 thresholds, there is no convincing  
5 argument, once more, for either efficacy or  
6 detriment in these subpopulations, similar to the  
7 findings that I discussed for CHECKMATE-649. In  
8 patients with intermediate PD-L1 expression between  
9 1 to 10, there is, again, no clear evidence of  
10 benefit or detriment in these exploratory  
11 subgroups.

12 The Kaplan-Meier plots graphically  
13 demonstrate the consistent theme that I presented  
14 with the other two studies, where in the top row we  
15 see the greatest separation of the curves in  
16 patients with TAP 10 or greater and with less  
17 pronounced separation as we get to the ITT  
18 population on the left; and in the bottom row in  
19 the biomarker negative population, there really  
20 does not appear to be any true separation of the  
21 curves, especially for patients with TAP values of  
22 less than 1.

1           In order to anchor this risk-benefit  
2 discussion, all patients are exposed to the risks  
3 of added toxicity from the PD-1 inhibitor, and the  
4 safety of the addition of the immune checkpoint  
5 inhibitors is not known to differ across PD-L1  
6 strata. Across the three studies, what we note is  
7 that the addition of a PD-L1 inhibitor to  
8 chemotherapy will add anywhere from 3 to 11 percent  
9 increase in the proportion of patients who  
10 experience a grade 3 or 4 treatment-emergent  
11 adverse event.

12           The incidence of immune-mediated adverse  
13 events was approximately 30 percent, and we know  
14 that the majority of these are low grade and  
15 endocrine; however, we know that up to 10 percent  
16 of patients will experience grade 3 or 4  
17 immune-mediated adverse events, and these are  
18 predominantly non-endocrine events of dermatitis,  
19 pneumonitis, colitis and hepatitis. Unfortunately,  
20 there were also fatal immune-mediated adverse  
21 events, and although the incidence is thankfully  
22 low, we would not want to expose patients to these

1 notable risks if they're not expected to have the  
2 benefit gains from an immune checkpoint inhibitor.

3 Also, to help facilitate the global  
4 risk-benefit discussion across PD-L1 strata, we  
5 conducted a pooled patient-level efficacy analysis  
6 in patients with known microsatellite stable  
7 gastric or GEJ adenocarcinoma, excluding patients  
8 with MSI high disease and those with esophageal  
9 adenocarcinoma. This analysis was stratified by  
10 study.

11 Now, before I go over the findings, I would  
12 want to acknowledge the notable caveats of a pooled  
13 analysis such as this. Firstly, the acceptability  
14 of combining data from patients defined using  
15 different assays and the interoperability of this  
16 approach has not been determined. Similarly, the  
17 studies use different PD-L1 cutoffs, so the  
18 analytic validity of presenting these uniform PD-L1  
19 strata has also not been determined. Additionally,  
20 the data is limited to the pooled populations that  
21 FDA has access to and excludes global studies that  
22 are being conducted, which risks the introduction

1 of bias.

2 With those caveats in mind, our pooled  
3 population excluded 211 patients from CHECKMATE-649  
4 that had esophageal adenocarcinoma; 8 patients who  
5 were HER2 positive, including one patient from  
6 RATIONALE-305; 155 patients with known MSI high or  
7 mismatch repair deficient tumors; and 435 patients  
8 with unknown MSI status were excluded. Ultimately,  
9 this gives us 3,348 patients that were pooled,  
10 approximately 35 percent from CHECKMATE-649,  
11 38 percent from KEYNOTE-859, and 27 percent from  
12 RATIONALE-305.

13 This is the forest plot of the PD-L1  
14 subpopulations from the pooled analyses.  
15 Consistent with a theme when presenting the study  
16 results individually, we note that patients with  
17 PD-L1 expression of 10 or greater derived the  
18 greatest benefit with a hazard ratio of 0.64, and  
19 that this estimate of benefit attenuates at the  
20 lower thresholds of 5, 1 in the overall population.

21 When we discuss efficacy findings in the  
22 biomarker negative populations, I do want to point

1 out that 17 percent of patients would be classified  
2 as biomarker negative at a PD-L1 expression of 1,  
3 45 percent at a PD-L1 expression of 5, and  
4 62 percent at a PD-1 cutoff of 10 in this pooled  
5 data. In terms of estimates of benefit, the hazard  
6 ratio for PD-L1 less than 1 is 0.91, similar to the  
7 observations of PD-L1 less than 5 and 10, where the  
8 confidence intervals are narrower given the larger  
9 patient populations.

10 When we discuss patient population with  
11 PD-L1 expressions between 1 and 10, comprising  
12 44 percent of the pooled patients, the estimates  
13 for efficacy in this patient population are similar  
14 to that of the biomarker negative subgroups, with  
15 the point estimates for the hazard ratio for the  
16 1 to 10 subgroup being 0.93.

17 In my presentation, I provided an overview  
18 of FDA perspectives and analyses of three pivotal  
19 first-line studies submitted to FDA that argue for  
20 PD-L1 expression being a predictive biomarker when  
21 deciding to utilize an immune checkpoint inhibitor  
22 in patients with HER2-negative advanced gastric

1 adenocarcinoma. I've outlined concerns at the  
2 agency of modest estimates of efficacy, especially  
3 in patients who have PD-L1 expression of less than  
4 1. These patients are, of course, exposed to the  
5 added toxicity of PD-1 inhibitors, and it is this  
6 patient population that is of predominant focus of  
7 our risk-benefit discussion.

8 FDA would like the committee to discuss  
9 whether in patients with HER2-negative  
10 microsatellite stable gastric/GEJ adenocarcinoma,  
11 does the cumulative data support the use of PD-L1  
12 expression as a predictive biomarker when selecting  
13 patients for treatment with PD-1 inhibitor? And  
14 for the voting question, is a risk-benefit  
15 assessment favorable for the use of PD-1 inhibitors  
16 in patients with advanced HER2-negative  
17 microsatellite stable gastric/GEJ adenocarcinoma in  
18 patients with PD-L1 expression less than 1?

19 Now, although we're specifically asking  
20 about the cutoff of 1, we invite you to express  
21 your opinions if you believe another cutoff would  
22 be more important. Thank you.

1 DR. LIEU: Thank you.

2 We will take a 15-minute break. Panel  
3 members, please remember that there will be no  
4 discussion of the meeting topic during the break  
5 amongst yourselves or with any member of the  
6 audience. We will resume at 10:15 Eastern Time.

7 (Whereupon, at 10:02 a.m., a recess was  
8 taken, and meeting resumed at 10:15 a.m.)

9 **Clarifying Questions**

10 DR. LIEU: We will now take clarifying  
11 questions to the presenters. When acknowledged,  
12 please remember to state your name for the record  
13 before you speak and direct your question to a  
14 specific presenter, if you can. Just some notes  
15 for those in the room, if you have a question,  
16 please turn your name placard sideways so that we  
17 can see that; and also, because we have three  
18 applicants in the room, please direct your specific  
19 question to a specific applicant. If you wish for  
20 a specific slide to be displayed, please let us  
21 know the slide number, if possible. Finally, it  
22 would be helpful to acknowledge the end of your



1 question with a thank you and end of your follow-up  
2 question with, "That is all for my questions," so  
3 we can move on to the next panel member.

4 For our panel member joining us virtually,  
5 please use the raised-hand icon in Zoom to indicate  
6 that you have a question, and we'll acknowledge  
7 you. Please remember to lower your hand by  
8 clicking the raised-hand icon again after you have  
9 asked your question.

10 Are there any clarifying questions for the  
11 presenters?

12 Dr. Hawkins?

13 DR. HAWKINS: Thank you very much. I have  
14 two questions at this time. The first one has to  
15 do with better testing for PD-L expression, and  
16 this is directed to Dr. Anders and Dr. Janjigian.

17 It's a bit concerning about the variability  
18 in testing, so my question to Dr. Anders, or both  
19 of you, is whether we can be optimistic about  
20 better testing. Is there an ability to think about  
21 artificial intelligence helping us in some way,  
22 given the the statistics you showed us about the

1 pathologist? Then, I noticed when you talked about  
2 different testing, Dr. Janjigian talked about how  
3 they create their own PD-L assay question. Thank  
4 you.

5 DR. ANDERS: Thank you. Robert Anders,  
6 Johns Hopkins pathology. Thank you for your  
7 question.

8 Can I have slide 1, please? My  
9 understanding is you're asking is there hope in the  
10 future for better methodologies? Well, those  
11 methodologies are trained by a gold standard, and  
12 the gold standard is the pathologist read, so it's  
13 a little bit of a back and forth where we're only  
14 as good as how we can train. As you can see from  
15 the graph there, a patient's eligibility at one of  
16 those cutoffs at 5 and 10 may be determined by  
17 which pathologist looks at it.

18 DR. HAWKINS: Does that mean that we should  
19 not be optimistic?

20 (Laughter.)

21 DR. ANDERS: We need a better gold standard;  
22 response.

1 DR. HAWKINS: Any studies on artificial  
2 intelligence helping us?

3 DR. ANDERS: They're trying, and there's a  
4 huge push on it. We're working on it.

5 DR. HAWKINS: Thank you.

6 DR. JANJIGIAN: Hi. Yelena Janjigian.  
7 Thanks for that question. I guess we can bring up  
8 slide 22, CG-22. I'm glad you picked up on this.  
9 Yes, lab-developed tests means that a clear  
10 approved laboratory can validate in a small cohort  
11 of samples another antibody to use, and as long as  
12 they prove a certain level of concordance, most  
13 institutions are allowed to decide what IT tests  
14 they will use. Some of these -- for example, 22C3,  
15 Dako technologies -- are considered relatively  
16 outdated, I guess, and the readers and the  
17 standards, most institutions won't invest in buying  
18 these older machines; at least that's what the  
19 pathologists tell me, and we have a pathologist  
20 here to comment on that.

21 There is a learning curve, and even at an  
22 institution like ours, we use our own lab-developed

1 tests, and I can tell you, we expect, for example,  
2 to have a certain rate of PD-L1 CPS score of 5 or  
3 greater, or 10 or greater, and 1 or greater. There  
4 was a steep learning curve in our testing. When I  
5 did the analysis review of our clinical samples  
6 initially when the testing first began, the rate of  
7 positivity was significantly lower than we would  
8 have expected from several phase 3 studies. Some  
9 of the studies you saw here, but also other studies  
10 coming out, such as with other agents, PD-1. Each  
11 company has a PD-1 inhibitor. But that's my big  
12 concern, is that there is variability in testing.

13 In terms of your question about AI, AI is  
14 excellent in certain things. These type of samples  
15 are quite heterogeneous, and I honestly don't think  
16 in my lifetime as an oncologist, we will be able to  
17 replace experts and pathologists with machines  
18 because they're able to tell us how good the  
19 quality of the sample is and where to look.

20 I don't want to undermine the quality of the  
21 biomarker work that's been done by these companies,  
22 but we don't have a controlled environment with two

1 trained pathologists sitting in the same room  
2 looking at a tumor block that was an entry  
3 criteria. The trials mandate that tumor quality  
4 has to be a certain level for them to even enter  
5 into a clinical trial. In clinical practice,  
6 anyone with one slide that says cancer can come in  
7 and start therapy, and we do start therapy because  
8 it's urgent. So it's a different situation.

9 DR. HAWKINS: Thank you both.

10 I can circle back around. I have another  
11 question, but I don't want to hog the mic.

12 DR. LIEU: Thank you, Dr. Hawkins.

13 Dr. Spratt?

14 DR. SPRATT: Thank you so much. Dan Spratt,  
15 Case Western. A couple questions, and I made them  
16 very direct. To the FDA, did you perform any  
17 interaction tests given the question at hand; is  
18 this a predictive biomarker?

19 DR. ZHANG: Thanks. Yiming Zhang, the  
20 statistical reviewer of FDA. Yes, we did do the  
21 interaction effect test. We added one treatment by  
22 continuous interaction term to the stratified model

1 in the pooled analysis, and the interaction effect  
2 is statistically significant. But here I would  
3 like to acknowledge this is the unprespecified  
4 analysis, and we caution to interpret the  
5 statistical significance here and the direction of  
6 effect showing increasing treatment benefit in the  
7 PD-L1 high subgroups.

8 DR. SPRATT: Appreciate it. Thank you so  
9 much, and a question for BMS. This can go to  
10 Dr. Anders as well.

11 It's understandable why you showed your  
12 study. There's a study that just came out in June  
13 of 2024, first authors, Dr. Klempner who leads the  
14 MGH program, and the pathologist from MSKCC is on  
15 this paper. They show that across all three  
16 approved assays, there is moderate to almost  
17 perfect intra-assay kappas, as well as substantial  
18 almost perfect intra-assay agreement in gastric  
19 cancer.

20 So can you please explain this, especially  
21 focusing on outcome is what matters? And we are  
22 seeing the outcomes vary by PD-L1 expression level,

1 so clearly the pathologists can't be that wrong  
2 here given the interaction effect is statistically  
3 significant.

4 DR. ANDERS: Sure. Again, Robert Anders,  
5 Hopkins pathology. Thank you for the question,  
6 and, indeed, one of my slides, I showed data from  
7 the Klempner paper with the staining, so I am aware  
8 that they showed good concordance. Now, we stepped  
9 back in our study and took the people who are  
10 scoring this every day, and we consider those to be  
11 experts, and we just had poor agreement. Now, what  
12 I do think we can agree on is if there's any PD-L1  
13 expression. When we start to move above the  
14 thresholds, that's when the agreement begins to  
15 really fall apart.

16 DR. SPRATT: The agreement in that study for  
17 greater than 5 or greater than 10 was around 0.75  
18 to 0.8, just putting it out there, but I appreciate  
19 it.

20 DR. ANDERS: And again, there was a  
21 concomitant study done by Dr. Rimm, and we came to  
22 the same conclusions.

1 DR. SPRATT: Thank you so much.

2 The final question is going to be whoever  
3 from Merck feels it's appropriate to answer. You  
4 put in the briefing documents Q-TWiST analysis that  
5 wasn't presented here, but this basically is an  
6 analysis that's trying to weigh toxicity versus  
7 progression and benefit. You show the intention to  
8 treat, and the cutoffs are greater than 1 and  
9 greater than 10 staining; however, do you have  
10 these analyses for less than 10 or less than 1,  
11 given that most of the patients in greater than 1  
12 in the intention to treat are enriched for the high  
13 PD-L1 expressing levels?

14 DR. PIETANZA: Cathy Pietanza from Merck.  
15 We have done Q-TWiST analyses in all CPS cutpoints.  
16 Slide 1 up, please. This shows the entire  
17 population in the greater than 1 and greater than  
18 10. So for others that didn't read the background  
19 package, Q-TWiST combines efficacy, safety, and  
20 quality of life in single measure. We performed  
21 Q-TWiST analysis to evaluate the quality and the  
22 quantity of overall survival, classifying time



1 spent for toxicity and without toxicity before  
2 progression, as well as time after progression.

3 You can see here that in the ITT, the 20.9,  
4 the relative Q-TWiST shows an improvement. In the  
5 literature, a relative Q-TWiST improvement of  
6 10 percent or greater is clinically important and a  
7 gain of at least 15 percent is clearly clinically  
8 important.

9 DR. SPRATT: Thank you. But, again, given  
10 almost all these are just enriched for high PD-L1  
11 expression, do you have it for less than 10 or less  
12 than 1?

13 DR. PIETANZA: Yes. We have it. Slide 2  
14 up, please. I will actually have Senaka Peter  
15 explain this a little bit further.

16 MS. PETER: Senaka Peter, Merck,  
17 epidemiology. I think we had a nice explanation of  
18 what Q-TWiST is. So as you can see here, based off  
19 of the clinically important cutpoints, we do see  
20 that relative Q-TWiST gain in ITT and other  
21 cutpoints that are of interest. Of course, in  
22 those that are less than 1 and less than 10,

1 there's a small sample size for these subgroup  
2 analyses. So while there's relative gain, they are  
3 not clinically important.

4 DR. SPRATT: Thank you so much. That  
5 concludes my questions.

6 DR. LIEU: Thank you.

7 Dr. Vasan?

8 DR. VASAN: Hi. Neil Vasan. This is  
9 another question for Dr. Anders. I wanted to  
10 pressure test this idea of the dichotomous variable  
11 versus the continuous variable here. You had said  
12 that you think the pathologists have good  
13 concordance as to whether PD-L1 is positive or not.  
14 If you could please pull up slide CG-27, again,  
15 this high in terms of variability.

16 Are you able to quantify what percent of  
17 pathologists, let's say, would have scored patients  
18 as greater than 1 based on your data here? And  
19 also, how does that compare to other cancer types,  
20 just as a gestalt?

21 DR. ANDERS: Yes. Thank you again. Robert  
22 Anders, Hopkins pathology. We have a distribution

1 curve of the positivity rate. I will say that a  
2 majority, about at least 50 percent of the  
3 patients, the 100 samples that we've looked at,  
4 fell within the 1 or greater, So I would estimate  
5 maybe 20 or 30 percent were below. I don't  
6 have -- but I guess we could pull that number for  
7 you -- what percentage of pathologists called  
8 something positive.

9 DR. VASAN: Because it seems that there's  
10 clearly a spread greater than 1 just from looking  
11 at the entire individual data points, certainly the  
12 number between zero and 1 for each individual  
13 patient I think is a smaller number.

14 DR. ANDERS: Yes, that's a very important  
15 observation. If there's absolutely no staining,  
16 there's no brown color, that's easy; that's zero.  
17 What happens is when there's some staining, but it  
18 might be just a little bit where it's not nearly  
19 enough to count for 1 percent, we're left in this  
20 limbo saying, "Well, we can't say it's nothing," so  
21 we sort of compromise and have that, let's call it  
22 a half, as saying, "Well, there is staining, but it

1 doesn't meet this 1 for 1 threshold."

2 DR. VASAN: Okay. Thank you.

3 DR. ANDERS: You're welcome.

4 DR. PIETANZA: I'd like to invite  
5 Dr. Janjigian, as she has another comment.

6 DR. JANJIGIAN: Yes. I just wanted to  
7 comment on the Klempner question. The purpose of  
8 that paper was to really look at resected surgical  
9 samples, so it's 100 surgical samples, not what we  
10 see in the clinic. And also, the purpose of it was  
11 to look at 22C3 28-8 with SP263 antibody, compare  
12 those, and also to see if CPS and TAP is  
13 comparable.

14 So it's very different to what we see in the  
15 clinic. Yes, you can tell if something is  
16 completely zero, but again, often when that  
17 happens, if I call the pathologist and I clarify  
18 with them how many cancer cells, or any stroma  
19 even, in that sample, often the answer is, "Well,  
20 very few cells." So we can't look at the surgical  
21 database and extrapolate that to clinical practice  
22 because most of our patients don't have surgeries.

1 They present with small endoscopy samples with  
2 stage 4 de novo diagnosis.

3 DR. LIEU: Dr. Meyerhardt, do you still have  
4 a question or is your question already answered?

5 DR. MEYERHARDT: Yes, it was already  
6 answered.

7 DR. LIEU: Okay.

8 Dr. Dodd?

9 DR. DODD: Yes. This is Lori Dodd. My  
10 question is for Dr. Anders. There's been a lot of  
11 discussion and data presented about the  
12 inter-observer variability. You also mentioned the  
13 spatial variability, spatial heterogeneity, and I  
14 didn't see any data that really speaks to the  
15 degree of spatial heterogeneity and the factors  
16 driving that heterogeneity.

17 Are there data that can support that, and is  
18 the heterogeneity driven, or does it result in  
19 differences in the quantitative assessment of the  
20 PD-L1 expression; or is there also heterogeneity in  
21 the evaluation of presence or lack of expression of  
22 PD-L1?

1 DR. ANDERS: Okay. Great. Bob Anders,  
2 Johns Hopkins pathology. Can I have slide 1?

3 So there was no quantification. I have not  
4 seen quantification of heterogeneity. That's part  
5 of the reason I wanted to show this particular  
6 slide. The expectation for a CPS score on that  
7 particular slide would need to count all of the  
8 cells, whether they're positive or negative. So  
9 the CPS doesn't really have any leeway for  
10 heterogeneity or a way to account for it.

11 Dr. Waxman?

12 DR. DODD: And just to clarify, though, if  
13 there were multiple samples taken, multiple  
14 biopsies taken, do we have any information about  
15 the degree of variability across multiple samples  
16 taken from the same patient?

17 DR. ANDERS: Right. Most of our biopsy  
18 samples are just like the pink area up there and  
19 would be endoscopic, very superficial. It's  
20 typical in my reports that I will give feedback to  
21 the endoscopist and say, "Two of the 5 endoscopic  
22 biopsies contain invasive gastric carcinoma." So

1 there is tremendous variability and largely  
2 undersampling in those samples.

3 DR. WAXMAN: Ian Waxman, BMS. If I could  
4 just add, to answer your question, Dr. Dodd, about  
5 spatial heterogeneity, we do have data for  
6 metastatic versus primary sites, and there are  
7 differences. I can call Sarah Hersey from our  
8 precision medicine group just to take you through a  
9 little bit of those data to support that.

10 MS. HERSEY: Sarah Hersey, Bristol-Myers  
11 Squibb, precision medicine. I'll start off first  
12 by sharing with you slide 2, which shows PD-L1  
13 expression is dynamic. And in this publication,  
14 what you'll see is within the same patient that was  
15 sampled, they took both primary and metastatic  
16 sampling, and what they found was that the  
17 agreement was only 61 percent. That was regardless  
18 of if it was a CPS 1 or CPS 10 cutoff. In our own  
19 clinical trial, we did an exploratory ad hoc  
20 analysis of the data, and I would caution that the  
21 sample numbers were small, but we did see  
22 differences there, as well, between primary

1 metastatic sampling. Thank you.

2 DR. LIEU: Dr. Dodd, does that answer your  
3 question?

4 DR. DODD: Yes. Thank you.

5 DR. LIEU: Dr. Hawkins?

6 DR. HAWKINS: Thanks again. This is a  
7 question about the quality of life, and it's  
8 directed towards Drs. Bhagia and Janjigian, Merck.  
9 And I apologize; a person from BeiGene also  
10 mentioned quality of life, but I missed that name  
11 because I came back in a little late.

12 So my question is, folks want to live;  
13 that's why they're involved in these trials, and  
14 they want to live as long as they can. We saw  
15 information about adverse events. My question is  
16 not about adverse events. My question is about,  
17 did you do any quality-of-life objective  
18 assessments, and if you did, what tools did you  
19 use? Can you share some of that with us? That's  
20 my question.

21 DR. LANASA: Mark Lanasa, BeiGene. We did  
22 collect quality-of-life data in the RATIONALE-305



1 study, and I'll share those data. We collected a  
2 battery of general quality-of-life assessments  
3 through QLQ-C30 scores, as well as disease-specific  
4 data in QLQ-STO22 domains for gastric cancer. What  
5 I'm showing here are the C30 scores. This is the  
6 time to detriment hazard ratio. You can see that  
7 there's a slight favoring of the combination of  
8 tislelizumab with chemotherapy across these  
9 domains.

10 DR. PIETANZA: From Merck, we'll have  
11 Dr. Yanfen Guan actually respond to this question,  
12 followed by Dr. Janjigian.

13 MS. GUAN: Yanfen Guan, patient center  
14 endpoints and strategy for Merck. At Merck, for  
15 KEYNOTE-859, we also use the EORTC QLQ measures for  
16 quality-of-life assessment, and these are  
17 well-established cancer-specific, validated PRO  
18 measurements. We can show the calculated quality  
19 of life over time for the GHS/QoL.

20 For our study, the patients completed the  
21 questionnaire during treatment, and at baseline,  
22 the scores of the health-related quality of life

1 were similar to the general cancer patient  
2 population. Slide 3 up, please. During treatment,  
3 the quality-of-life scores were generally stable  
4 and similar between the treatment arms, as  
5 indicated by the overlapping confidence intervals.  
6 No decrement was observed with the addition of  
7 pembrolizumab to chemotherapy compared to  
8 chemotherapy alone. So this data supported the  
9 overall benefit-risk profile. Thank you. Slide  
10 down, please.

11 DR. PIETANZA: Dr. Janjigian?

12 DR. JANJIGIAN: Yes. We care about quality  
13 of life, and it's very important because this  
14 disease is incurable and most patients need to live  
15 lifelong with it. When you think about quality of  
16 life, obviously cancer-related symptoms is what  
17 drives it. In my experience, the patients  
18 typically respond within the first 2 to 3 cycles,  
19 so the quality of life does improve. I think most  
20 of the side effects, as I mentioned, come from the  
21 chemotherapy. The addition of immune checkpoint  
22 blockade has very minimal impact on the quality of

1 life, and overall, it remains adequate and perhaps  
2 more improved, although the confidence intervals  
3 overlap.

4 Again, , I don't want to make this too  
5 anecdotal, but when you think about quality of  
6 life, for these patients it's about mental state of  
7 continuing therapy lifelong, and to have this hope  
8 of having long-term survival with immune checkpoint  
9 blockade, immediately lightens the atmosphere in  
10 the room and gives them the strength to keep going.  
11 I think that you can't capture it on the  
12 quality-of-life questionnaire, but I think it is a  
13 factor with these patients.

14 DR. WAXMAN: Ian Waxman, BMS. We also  
15 collected quality-of-life data. We used a  
16 different instrument, the FACT-Ga. Can I have  
17 slide 1, please? Just to remind this audience,  
18 this is a 46-item questionnaire that covers  
19 5 subscales, one of which is specific to gastric  
20 cancer, the other four of which are covered by the  
21 more general FACT-G.

22 If I can now have slide 2, please? What we

1 saw was that quality of life was at least  
2 maintained in every subgroup, and you can see this  
3 includes the CPS less than 1 population, albeit  
4 small numbers for that subgroup.

5 DR. HAWKINS: Thank you very much.

6 DR. LIEU: Dr. Spratt?

7 DR. SPRATT: This question I'll direct to  
8 Dr. Janjigian. Can you explain in that we see very  
9 clear, with every single one of these drugs, a  
10 difference in relative benefit based by the CPS or  
11 TAP score; very clear. Two of the companies  
12 actually in the presentations admitted it's  
13 predictive in their talk; statistically it's  
14 predictive, so clearly, these scores are  
15 correlating with outcomes.

16 So can you please explain when you  
17 state -- and I'm hearing from Dr. Anders -- there  
18 is such spatial and heterogeneous outcomes, we have  
19 poor inter-reader variability, but yet it is  
20 consistent by drug, by pathologists, by assay. Can  
21 you explain that?

22 DR. JANJIGIAN: Yes.

1 DR. SPRATT: Because it should just be  
2 random if it's that poor of correlation.

3 DR. JANJIGIAN: Yelena Janjigian again,  
4 medical oncology. We're making a distinction  
5 between population-based biomarker versus a  
6 clinical biomarker in the real world. I'm a  
7 researcher. I am all for biomarker testing. I  
8 think it's important to be able to translate what  
9 you discovered in the clinical trials to the  
10 clinic, and as I mentioned earlier, it's the  
11 quality of the sample and the quality of the  
12 testing.

13 You didn't see the data on screening  
14 failures from CHECKMATE-649 and KEYNOTE-811. I was  
15 the global PI for both of these studies, and I can  
16 tell you, many patients did not make it on to the  
17 trial because their tumor quality was insufficient,  
18 and those are the people that will never know if  
19 their PD-L1 testing was conclusive; so to  
20 understand that it's not black and white but it's a  
21 spectrum.

22 We're not saying that everybody should get

1 everything and they should get it at CVS. We're  
2 just saying let the doctors decide what patients  
3 actually would benefit. The data suggests that  
4 doctors are actually pretty good at following ASCO  
5 and NCCN guidelines, and they're not overtreating  
6 the patients. It's the patients who come in, as I  
7 mentioned, with unavailable sample or the sample  
8 quality is poor. It's a lot of barriers to getting  
9 these patients started on therapy.

10 DR. SPRATT: Thank you. Again, this is Dan  
11 Spratt. It didn't really address the question  
12 because you're talking about the real world, but  
13 we're talking about here. The question is, in  
14 these studies, you have the tissue; you reviewed  
15 it. Is there strong correlation, or not, between  
16 pathologists? And if there's not, why is there  
17 such strong correlation to outcomes? A separate  
18 whole question is real-world applicability.

19 DR. JANJIGIAN: Sure. I mean, I think we  
20 are talking about the real world because we're not  
21 in a trial --

22 DR. SPRATT: That's actually not the

1 question I'm asking.

2 DR. JANJIGIAN: -- but I'll let perhaps --

3 DR. SPRATT: And these drugs you're  
4 presenting --

5 DR. JANJIGIAN: -- pathologists answer that.

6 DR. SPRATT: -- are from clinical trials,  
7 not the real world.

8 DR. PIETANZA: So I'll have Dr. Chizhevsky  
9 answer that question, Dr. Spratt.

10 DR. CHIZHEVSKY: Thank you. Vladislav  
11 Chizhevsky, anatomical pathologist, diagnostic  
12 reference laboratory. Yes, a very good question.  
13 The idea is that in a clinical trial, the  
14 pathologists were trained specifically for the  
15 score, and the reproducibility was great in the  
16 clinical trials. It was mentioned before, patients  
17 who did not qualify under the three criteria did  
18 not make it into the trial. So in the clinical  
19 trial, it's represented very well from the score to  
20 the response, as you can see it clearly.

21 In the real world, as we tried to point out,  
22 there are different issues that come up. These

1 issues have been brought up before, and they are  
2 very specific and very important issues; however, I  
3 just wanted to point out these issues are not  
4 specific to PD-L1, they are not specific to the  
5 score of a CPS or TPS, and they are not specific to  
6 the organ; and yet, we see in numerous examples, we  
7 have HER2 in breast cancer that over time we've  
8 standardized it. We were able to show that there  
9 is a reproducible effect, and the same thing should  
10 apply here.

11           What we're lacking right now is a  
12 standardization of scoring. We have LDTs. We have  
13 different clones. We need a standardized scoring.  
14 We need to standardize the practice of doing it.  
15 For example, biopsies in breast are  
16 OH [indiscernible - 3:13:47 ] negative, followed by  
17 resection scoring. I understand it's not always  
18 possible in clinical trials, but some sort of  
19 standardization should improve the overall response  
20 from pathology to the clinical practice. Thank  
21 you.

22           DR. SPRATT: Much appreciated. Thank you.



1 DR. LIEU: Dr. Gibson?

2 DR. GIBSON: Michael Gibson. Thank you,  
3 Chair, for the time. I defer if my question is for  
4 a different part of the session, but I'm new to  
5 this, and just a few thoughts. It sounds like  
6 we're trying to decide, as we always do in clinical  
7 medicine, a risk-benefit ratio, and we're using  
8 data which is, number one, subjective. We have a  
9 patient advocate here who could maybe comment more  
10 on what quality of life really means in the real  
11 world.

12 But secondly, the main conclusion I have is  
13 that our assay is extremely flawed for many reasons  
14 but, unfortunately, we have to use what we have.

15 DR. LIEU: And, Dr. Gibson, we'll certainly  
16 discuss --

17 DR. GIBSON: Thank you; appreciate that.

18 DR. LIEU: -- the question.

19 DR. GIBSON: Sorry about that, Mr. Chair.

20 DR. LIEU: Do you have a specific question?

21 DR. GIBSON: No. I did just have a question  
22 regarding if the panel thought that as we have a

1 constant adverse event effect across CPS levels,  
2 and your benefit is inversely proportional to the  
3 expression, is there a concern that the  
4 risk-benefit ratio shifts as you move closer and  
5 closer to a CPS of 0, and is that something you  
6 consider in your decision?

7 DR. LIEU: And, Dr. Gibson, do you have a  
8 specific question for the FDA or an applicant?

9 DR. GIBSON: I'm sorry. I apologize.

10 DR. LIEU: Oh, no; otherwise, I'm sure we  
11 will definitely get there --

12 DR. GIBSON: Okay. Thank you very much.

13 DR. LIEU: -- for the panel discussion for  
14 sure.

15 Sure. Dr. Lemery?

16 DR. LEMERY: Sure, exactly. That's what  
17 we're getting at is, if there is no benefit and you  
18 have toxicity, well then, that certainly is a  
19 different situation, where you'll have  
20 toxicity -- let's take the CPS greater than 10 with  
21 its clear benefit. That trade-off is clearly you  
22 would take the drug. I don't think anyone would go

1 against that here, but certainly the trade-off does  
2 change as you go down, and that's what we're asking  
3 for you to consider.

4 DR. GIBSON: Thank you for your patience  
5 with me.

6 DR. LIEU: Yes. Thank you. Thank you both.

7 Dr. McKean?

8 DR. McKEAN: Heidi McKean from the community  
9 oncology setting. Looking through the data and  
10 hearing the presentations today, we can clearly see  
11 that there's a significant risk for toxicity. So  
12 if we're looking at treatment-related AEs,  
13 especially immune mediated, some of the studies  
14 would say a 30 percent chance that these patients  
15 are going to get immune-mediated side effects. But  
16 then looking at the hazard ratio for patients with  
17 CPS less than 1, it seems like the benefit for  
18 those particular patients is likely less than  
19 10 percent.

20 So we heard from BMS and BeiGene about  
21 potential recommendations about looking at  
22 continuing approval for patients with CPS greater

1 than 1. My question is for anybody on the Merck  
2 team. How do you justify treating these patients  
3 with CPS less than 1 with immunotherapy when it  
4 seems they would have a higher risk for side  
5 effects than benefit?

6 DR. PIETANZA: Thank you. Cathy Pietanza  
7 from Merck. We understand that this is a very  
8 important question that we're here to address  
9 today. When we look at our data, we look at the  
10 overall clinical risk-benefit for the entire  
11 treatment population, as well as subgroups, and  
12 here, we did look at PD-L1 less than 1. When we  
13 see that the hazard ratios are consistent with the  
14 intention-to-treat population, we have  
15 acknowledgement that the entire patient population  
16 is benefiting.

17 We recognize the magnitude of benefit may be  
18 less than CPS less than 1; however, the hazard  
19 ratio was less than 1, and PD-L1 is a continuum,  
20 and the score does not predict who will respond.  
21 There are patients with low to no expression that  
22 respond and there are patients with high PD-L1

1 expression that respond. We acknowledge that the  
2 safety is an issue; however, the safety of  
3 pembrolizumab across all PD-L1 subgroups was the  
4 same and maintained, as was health-related quality  
5 of life.

6 Merck really wants to keep the label as it  
7 is because it gives patients an option, and it  
8 gives physicians an option in clinic when faced  
9 with a patient with a fatal disease to make that  
10 decision. The label has the information, as does  
11 the NCCN, ASCO, and ESMO guidelines. Guidelines  
12 can help guide the physicians. Having a full label  
13 or having a broad label will enable the option for  
14 all patients.

15 DR. LIEU: Does that answer your question,  
16 Dr. McKean?

17 DR. McKEAN: It does. Can I ask one more  
18 question?

19 DR. LIEU: Sure, if it would be brief,  
20 though. We're starting to run out of time here.

21 DR. McKEAN: Oh, sorry.

22 My question is about those NCCN guidelines.

1 Are you, all three companies, seeing across the  
2 country that if NCCN categorizes a recommendation  
3 as Category 2B, that insurance will not cover those  
4 medications?

5 DR. PIETANZA: I would have to ask  
6 Dr. Janjigian because I no longer see patients in  
7 clinic.

8 DR. JANJIGIAN: Sorry. Can you clarify the  
9 question? The question is whether or not NCCN  
10 guidelines affect the practice?

11 DR. McKEAN: Correct. If the medication is  
12 categorized as Category 2B, insurance companies are  
13 starting to not cover that. Are you seeing that  
14 around the country?

15 DR. JANJIGIAN: A bit. I think it depends  
16 on the pair. Typically, a phone call to the  
17 insurance company, that clears that up, though.  
18 Again, it's a case-by-case basis. Most of the time  
19 if it's a negative case and we think that tumor  
20 quality is sufficient, we would not prescribe  
21 immunotherapy; so for CPS, completely negative  
22 cases. So it's a rare occurrence that we have to

1 deal with this.

2 DR. LANASA: Mark Lanasa, BeiGene. I'd like  
3 to invite Dr. Uboha to share her experience.

4 DR. UBOHA: Nataliya Uboha, University of  
5 Wisconsin. I would like to echo that it's very  
6 important what actually makes NCCN guidelines. The  
7 insurance companies do pay very close attention to  
8 what's on the guidelines, and we are running into  
9 more and more struggles with having limited access  
10 for the patients to medications because of how the  
11 medications are ranked on the guidelines.

12 DR. LANASA: Thank you.

13 DR. LIEU: Does that answer your question,  
14 Dr. McKean?

15 (No audible response.)

16 DR. LIEU: Thank you.

17 Dr. Hillard?

18 DR. HILLARD: Yes. This is a question for  
19 the Merck team. If you could put up the slide,  
20 which was the OS and PFS, directionally consistent  
21 across all the cutoff points.

22 DR. PIETANZA: Can we have the forest plot

1 from the key presentation? Slide one up, please.

2 DR. HILLARD: Yes. This is what I wanted to  
3 see if I'm interpreting correctly; that in general,  
4 with all of the studies we've seen, there is a  
5 positive overall correlation that would appear  
6 between the PD-L higher cutoff points and a better  
7 response. On the other hand, if I'm reading this  
8 correctly, even the people with the score of less  
9 than 1, still most of them did benefit. So again,  
10 if we were just looking at this as a single point,  
11 well, that's not statistically significant, but  
12 then again, neither is the space between 5 and 10.

13 I guess from a patient perspective, I would  
14 like to have the option of trying these based on  
15 these numbers. And also, based on the numbers, the  
16 level 3 and 4 side effects were only 3 to  
17 11 percent greater with the immune checkpoint  
18 inhibitors. So is that a correct way to think  
19 about it?

20 DR. PIETANZA: Yes, that is the way Merck is  
21 thinking about it, and there are patients that do  
22 benefit, although the magnitude of benefit is less.



1 But there are patients that do benefit, and we want  
2 to maintain that benefit for some patients with  
3 this fatal disease.

4 DR. WAXMAN: Can I add to that?

5 DR. LIEU: If we can keep the comments  
6 short, please.

7 DR. WAXMAN: Yes. We've actually looked  
8 with our longer follow-up data -- we have 4-year  
9 follow-up data now -- and in that specific 1 to 10  
10 population where there's the question, we do see  
11 improved overall survival hazard ratio now with  
12 separation of the curves that was not there at the  
13 initial time of the lock. And we have that  
14 Kaplan-Meier, and I can pull it up for you in just  
15 a second. But what it does show -- slide 1,  
16 please -- just very briefly, on the left-hand side  
17 of this slide, you'll see the Kaplan-Meier for the  
18 1 to 10 population at the time of the initial lock,  
19 and then with the 4-year follow-up, a clear  
20 separation with significantly less censoring,  
21 hazard ratio decreased to 0.88.

22 DR. LIEU: Dr. Hillard, does that answer

1 your question?

2 DR. HILLARD: Yes.

3 DR. LIEU: Dr. Madan?

4 DR. MADAN: Sure. As we kind of grapple  
5 with this, I'm trying to understand this kind of  
6 binary cutoff of 1 and what it means. We focused a  
7 lot on the toxicity it brings those patients who  
8 are below 1. I think another relevant part is the  
9 missed benefit in those patients below 1 who could  
10 get treated and get benefit.

11 I'm not sure the best way to understand  
12 that, but is there response rate data from the  
13 patients who have the lower scoring? I don't know  
14 if you guys showed -- because we've been looking at  
15 this as largely a population, and clearly the  
16 higher expression, the population is going to do  
17 better. But do you guys have response rate data  
18 from these low expressing patients?

19 DR. WAXMAN: Ian Waxman, BMS. We do have  
20 response rate data in the CPS less than 1  
21 population, and we can pull that up for you as  
22 we're getting that slide. Slide 1, please. What

1 we see here is improved response across all PD-L1  
2 subgroups, including in the CPS less than 1, so  
3 about a 7 and a half percent improvement in  
4 response in that particular population with all the  
5 other cutoffs listed here as well.

6 DR. PIETANZA: Sorry. Cathy Pietanza with  
7 Merck. Slide 2 up, please. Here again, as  
8 Dr. Bhagia explained, patients in the less than 1  
9 subgroup have an improved progression-free  
10 survival. The median progression-free survival  
11 improvement was about one and a half months with  
12 increased objective response rates for these  
13 patients and an improvement in median duration of  
14 response compared to chemotherapy alone. So these  
15 are also important clinical endpoints for patients.

16 DR. LIEU: Dr. Lanasa?

17 DR. LANASA: Mark Lanasa, BeiGene. Here  
18 we're showing a forest plot of objective response  
19 rate across all the groups being discussed today.  
20 You can see that the objective response rate is  
21 favorable, does favor the investigational arm  
22 across all these groups, though the confidence

1 interval is very, very wide in the less than  
2 1 percent group, and the incremental increase in  
3 response rate is relatively modest between 1 and  
4 10 percent.

5 DR. LIEU: Dr. Kumar, did you have a  
6 response or a question?

7 DR. KUMAR: I just wanted to add to  
8 Dr. Hillard's point about less than 1 populations,  
9 and BMS' response specifically with the  
10 Kaplan-Meier curve for the 1 to 10. If BMS  
11 wouldn't mind, just for Dr. Hillard's benefit, also  
12 showing the Kaplan-Meier curves for the less than 1  
13 with the prolonged follow-up.

14 DR. WAXMAN: Sure. We can pull that up for  
15 you. As that's coming up, in the less than 1  
16 population, the hazard ratio remains close to 1, in  
17 the 0.9 range, but let me get the latest version of  
18 that up for you here. Slide 1, please. While we  
19 saw that improved hazard ratio in the 1 to 10, we  
20 did not see that same improvement in the CPS less  
21 than 1. Here's the original, as well as the 4-year  
22 follow-up.

1 DR. KUMAR: I just wanted to clarify that  
2 because that was a specific question that  
3 Dr. Hillard had.

4 DR. LIEU: Thank you, Dr. Kumar.

5 DR. KUMAR: Thank you.

6 Dr. Meyerhardt?

7 DR. MEYERHARDT: This question is for  
8 Dr. Anders. Both during the presentation and  
9 question and answer, you indicated that you feel  
10 confident that pathologists are pretty good at less  
11 than 1. We've also heard multiple times about the  
12 concern that a lot of these patients with  
13 metastatic disease have very small biopsies or just  
14 mucosal [indiscernible - 3:27:57] biopsies. So I  
15 just want to know if that statement holds for  
16 people with a small biopsy versus a whole tissue  
17 resection.

18 DR. ANDERS: Yes. Robert Anders, Hopkins  
19 pathology. Thanks. The requirement for CPS is  
20 that there are 100 cancer cells to be present.  
21 That's the minimum for the score. If the tumor  
22 cells are present, I feel confident that we can

1 mediate whether there's positive or negative. In  
2 fact, if there are fewer cells, it might actually  
3 be a little bit easier. But my concern is that  
4 smaller samples or superficial endoscopic samples  
5 underrepresent the tumor. So we look at it, we do  
6 everything perfectly, and everybody agrees that  
7 it's zero in that sample, it's that the biology  
8 really is in the deeper invasive edge of the  
9 cancer.

10 Does that answer your question?

11 DR. MEYERHARDT: Thank you.

12 DR. LIEU: Dr. Lemery, did you have an  
13 additional response?

14 DR. LEMERY: Yes. Thanks. Steven Lemery.  
15 I wanted to just respond a little bit to response  
16 rate PFS discussion. Again, with PD-1 inhibitors,  
17 we've seen funny things with response rate with not  
18 good correlation between different effects and  
19 response rate and survival, and they go both ways.  
20 Sometimes you'll see benefits in response and no  
21 benefit in survival, and sometimes you see the  
22 opposite.

1           So I think we have to be careful with  
2 reading too much about these response rates, and  
3 this includes some patients that may be in there  
4 with MSI high tumors as well. I think we have to  
5 be a little bit cognizant. We're predominantly  
6 looking at survival, where we see Kaplan-Meier  
7 curves on top of each other.

8           I acknowledge if you're a patient,  
9 individual patient, it's better to have a response  
10 than not a response, but I think when we look at  
11 overall population data, we've seen, again, funny  
12 things with response rate. If we're looking at PFS  
13 effects with one month, what does that even mean?  
14 So I think we just want to be a little bit careful  
15 when interpreting some of that data.

16                           **Open Public Hearing**

17           DR. LIEU: Thank you, Dr. Lemery.

18           Seeing no other questions, we'll conclude  
19 our clarifying questions portion of this meeting,  
20 and we'll move on to the open public hearing  
21 session. So we will now begin the open public  
22 hearing session.

1           Both the FDA and the public believe in a  
2 transparent process for information gathering and  
3 decision making. To ensure such transparency at  
4 the open public hearing session of the advisory  
5 committee meeting, FDA believes that it is  
6 important to understand the context of an  
7 individual's presentation.

8           For this reason, FDA encourages you, the  
9 open public hearing speaker, at the beginning of  
10 your written or oral statement to advise the  
11 committee of any financial relationship that you  
12 may have with the applicant. For example, this  
13 financial information may include the applicant's  
14 payment of your travel, lodging, or other expenses  
15 in connection with your participation in the  
16 meeting.

17           Likewise, FDA encourages you, at the  
18 beginning of your statement, to advise the  
19 committee if you do not have such financial  
20 relationships. If you choose not to address this  
21 issue of financial relationships at the beginning  
22 of your statement, it will not preclude you from



1 speaking.

2 The FDA and this committee place great  
3 importance in the open public hearing process. The  
4 insights and comments provided can help the agency  
5 and this committee in their consideration of the  
6 issues before them. That said, in many instances  
7 and for many topics, there will be a variety of  
8 opinions. One of our goals for today is for this  
9 open public hearing to be conducted in a fair and  
10 open way, where every participant is listened to  
11 carefully and treated with dignity, courtesy, and  
12 respect.

13 For those presenting virtually, please  
14 remember to unmute and turn on your camera when  
15 your OPH number is called. For those presenting in  
16 person, please step up to the podium when your OPH  
17 number is called. As a reminder, please speak only  
18 when recognized by the chairperson. Thank you for  
19 your cooperation.

20 Speaker number 1, please state your name and  
21 any organization you are representing for the  
22 record. You have three minutes.

1 MS. EIDELMAN: Hello. My name is Andrea  
2 Eidelman, and I'm the CEO of Debbie's Dream  
3 Foundation: Curing Stomach Cancer. We are the  
4 largest international patient advocacy group for  
5 stomach cancer. I have not received any  
6 compensation from any sponsors or speakers for my  
7 presentation here today. I am here because this  
8 issue goes to the heart of the mission of the  
9 Debbie's Dream Foundation.

10 Our founder, Debbie Zelman, founded the  
11 organization in 2009 after one year of being  
12 diagnosed with stage 4 incurable stomach cancer.  
13 Debbie was just 40 years old, mother of three young  
14 children, practicing attorney, and the wife and  
15 daughter of a prominent physician. Debbie found  
16 through her own personal journey that there had not  
17 been a new treatment for gastric cancer in over  
18 30 years. Her life mission became to start the  
19 foundation, to fund research, and provide as many  
20 treatment options for stomach cancer patients as  
21 possible.

22 As CEO since 2017, I have seen patients

1 struggle through the same journey as Debbie, and I  
2 have personally interacted with patients who have  
3 benefited from these particular treatments that are  
4 being discussed today, and they have made an  
5 extraordinary impact. DDF's position is to  
6 maintain access to immunotherapy for patients with  
7 low or negative PD-L1 scores, and that is necessary  
8 because it allows more access to treatments. There  
9 is already a lack of treatment options for gastric  
10 cancer patients, and allowing this access, we have  
11 seen through our own patient community, which you  
12 will hear from today, that these benefits have been  
13 seen for these patients.

14 Patients want and need to be empowered and  
15 want to have shared decision making with their  
16 physicians. Patients in this situation, mostly  
17 80 percent, are late stage, stage 4, and there is a  
18 sense of urgency in being able to access treatments  
19 immediately. This satisfies the patient's desire  
20 to take action and take a sense of control over  
21 their illness.

22 Our long-term DDF patient and mentor, Amy

1       Jacobs, has also submitted a written letter and  
2       shared her own survivorship personal journey with  
3       these treatments and the importance of allowing  
4       patients and physicians to decide what is best for  
5       them in their particular situation. Please don't  
6       take these choices away. Thank you for your time.

7               Do you have any questions for me?

8               DR. LIEU: Thank you, speaker number 1.

9               Speaker number 2, please state your name and  
10       any organization you are representing for the  
11       record.

12              MS. SMITH: My name is Aki Smith. I'm a  
13       caregiver, patient advocate, and the Founder and  
14       Executive Director of Hope for Stomach Cancer.  
15       While we do receive independent grant funding from  
16       a variety of sponsors, including those represented  
17       here, I am not being compensated for my time,  
18       travel, or expenses to be here. I'm here today to  
19       share my father's story and express my concerns  
20       about the potential impact of FDA cutoffs on  
21       treatment decisions and patient access to  
22       immunotherapy.

1           My father was diagnosed with advanced  
2 stomach cancer in late 2013 and given 6 months to  
3 live by our local hospital. A second opinion saved  
4 his life. They re-staged him to stage 3B and  
5 discovered he was HER2 positive. At the time,  
6 Herceptin had just been approved, and while it was  
7 considered experimental in his curative treatment,  
8 it gave him a fighting chance. Today, we typically  
9 don't use Herceptin in a curative setting, but the  
10 flexibility that existed back then allowed my  
11 father to benefit from a treatment that possibly  
12 cured him.

13           One of my main concerns is how FDA cutoffs  
14 could restrict a doctor's discretion and treatment.  
15 Once these cutoffs are in place, insurance  
16 companies will likely follow suit, refusing to pay  
17 for treatments outside of these parameters. I've  
18 seen firsthand how insurance can influence  
19 life-saving decisions. For example, my father's  
20 power port was initially denied, forcing us through  
21 a lengthy appeals process while his Herceptin was  
22 approved. These kinds of decisions can profoundly

1 affect the quality of life and care a patient  
2 receives.

3 As the founder of Hope for Stomach Cancer,  
4 we provide navigational support to patients and  
5 caregivers through our programs. I've learned many  
6 things about our healthcare system and the  
7 disparities that restrict patients from accessing  
8 novel treatments. Not all patients are tested for  
9 biomarkers. Not all patients know their  
10 biomarkers. Through our website,  
11 stomachcancerbiomarkers.org, we've developed  
12 resources, including charts and NCCN guidelines/  
13 summaries to help patients navigate biomarker  
14 testing and treatment options. While I believe  
15 these guidelines are crucial, we must be careful  
16 not to take the flexibility that can save lives.

17 Stomach cancer is a deadly disease, and for  
18 many patients, treatments are measured in months,  
19 not years. In cases where doctors are weighing  
20 toxicity against potential benefits, we need to  
21 remember that many patients are facing fatal  
22 outcomes regardless of their treatment.

1 Restricting access to treatment based solely on  
2 biomarker cutoffs may mean that some patients lose  
3 the chance for life-extending therapies. We must  
4 balance the science with the real-world  
5 complexities of patient care, ensuring that doctors  
6 retain the ability to make decisions tailored to  
7 individual patients.

8 I want to thank you so much for your time  
9 and consideration. We did leave a video in the  
10 open comments that was created by patients, and I  
11 encourage all of you to watch the video. Thank  
12 you.

13 DR. LIEU: Thank you.

14 Speaker number 3, please state your name and  
15 any organization you are representing for the  
16 record.

17 MS. KAVCHOK: Hello. My name is Alison  
18 Kavchok, and I'm here on behalf of Merck. I'm not  
19 being compensated for my time here today; however,  
20 I did receive support for my travel from Merck, my  
21 sponsor. I'm a 42-year-old mother of two, speaking  
22 as a caregiver for my husband, Ron. I'd like to

1 share my experience advocating for my husband's  
2 treatment options and how he has benefited from  
3 immunotherapy despite having a low PD-L1 cutoff and  
4 poor biomarker expression.

5 Ron has diffused gastric cancer, which is an  
6 aggressive under-researched cancer with a pathology  
7 that tends to be chemo resistant, resulting in  
8 patients being subjected to multiple lines of  
9 treatment to keep it stable. He was 47 years old  
10 and relatively healthy when he was diagnosed via a  
11 routine endoscopy with stage 1B gastric cancer in  
12 the fall of 2020. We sought out multiple  
13 oncologists' opinions from NCI designated  
14 facilities across the U.S., all of whom echoed the  
15 benefits of immunotherapy; however, at that time,  
16 it was not approved in the first-line setting  
17 therefore, we opted for the standard of care per  
18 NCCN guidelines, which he completed.

19 Ron had a partial gastrectomy in March of  
20 2021, wherein we learned he had zero chemo response  
21 and will be upstage to 3B. He had more chemo and  
22 radiation but would ultimately have a reoccurrence



1 in the fall of '22. It was then that we sought out  
2 immunotherapy to be included in his next line of  
3 treatment. We knew the odds were against us in  
4 getting insurance approval due to Ron's low PD-L1  
5 and biomarker threshold, but our oncologist  
6 advocated for us to receive it, as we collectively  
7 knew that systemic chemotherapy alone would not be  
8 enough to fight his cancer.

9 As we have feared, we were swiftly denied  
10 multiple times by our insurance company for lack of  
11 statistical proof, but after about 2 months of back  
12 and forth, which was very timely when you have  
13 stage 4 cancer at this point, we were able to  
14 receive Keytruda via compassionate care.

15 The feeling of having no other option  
16 besides chemo, despite seeing the stability of  
17 immunotherapy in clinical trial settings, as well  
18 as other patients, took an emotional toll on Ron.  
19 Ron is a part of a younger population who are  
20 seeing a rise in digestive cancers and who deserve  
21 to have access to potentially life extending  
22 treatment options.

1           Our surgical team is part of an NCI  
2           designated research hospital, and they too feel  
3           strongly that despite Ron's treatment history and  
4           his low PD-L1 score, Keytruda, the immunotherapy  
5           utilized in his case, is doing the heavy lifting  
6           and keeping his disease stable. He is still on it  
7           2 years later with low systemic chemotherapy as  
8           well. It has afforded him a decent quality of life  
9           and disease stability. He is tolerating it well.  
10          It has given us 8th grade graduations, vacations,  
11          and cherished memories of which I am hopeful there  
12          will be more.

13                 If we take away these options for patients  
14                 like Ron, we're not only losing an opportunity to  
15                 observe immunotherapy's effects in clinical  
16                 settings like his, but we're also doing a  
17                 disservice to patients and their families, many of  
18                 whom are young and have so much to lose. So for  
19                 the sake of gastric cancer patients and medical  
20                 research, please consider continuing to provide  
21                 immunotherapy regardless of PD-L1 indication, for  
22                 which patients like Ron may continue to receive

1 life extending treatment. He is your data, and  
2 he's the face of your science. Thank you.

3 DR. LIEU: Thank you.

4 Speaker number 4, please state your name and  
5 any organization you are representing for the  
6 record.

7 MS. WILSON: Hello. My name is Kimberly  
8 Wilson. I'm not being compensated for my time here  
9 today; however, I did receive support for my travel  
10 from Merck, one of the sponsors. May I have my  
11 first slide?

12 I'm a Maryland resident and a stage 4  
13 esophageal cancer thriver, but more importantly,  
14 I'm a mother, wife, daughter, sister, aunt, and  
15 friend. In April 2022, at the age of 43, I was  
16 diagnosed with stage 4 esophageal adenocarcinoma at  
17 my GI junction. The diagnosis hit hard and  
18 continues to impact my life and those around me  
19 daily. My diagnosis came exactly 6 weeks after  
20 marrying the man of my dreams. With the support of  
21 my family, I received preoperative chemo radiation,  
22 underwent a 12 and a half hour 3-field McKeown

1 esophagectomy that resulted in clear margins but,  
2 unfortunately, I was faced with a reoccurrence  
3 3 months later.

4 I personally would like to thank all  
5 attendees and participants who are here today. I  
6 recognize that everyone in the room is working to  
7 create greater awareness surrounding the topic of  
8 esophageal and gastric cancers. Whether it's  
9 working towards finding a cure, uncovering new  
10 treatment options, exploring the possibilities of  
11 conjunctive therapies, and more, as a patient, it  
12 brings me great joy to see that there are people  
13 here who are interested in the topic and people who  
14 work and understand scientifically what this  
15 disease encompasses.

16 Today, I come before you to make a request.  
17 Please do not limit my choices and options related  
18 to therapies and medications that my fellow  
19 esophageal and gastric cancer patients and I have  
20 access to. I am proof that stage 4 esophageal  
21 cancer patients can and should be provided with  
22 therapies that ensure they're able to live the

1 fullest life possible. While none of our stories  
2 are exactly the same, we all do wish to overcome  
3 the challenges and the trials we are faced with,  
4 and ultimately say that we survived.

5           Since my first day of diagnosis, I've had a  
6 care team who consists of amazing medical  
7 professionals who have been integral in my care.  
8 Thanks to them, I'm here today. Just this Monday,  
9 I received my 28th Opdivo immunotherapy infusion  
10 along with my 48th 5-FU and leucovorin infusions.  
11 I was disconnected yesterday. Today, I stand  
12 before you.

13           While Thursdays are generally my most  
14 challenging days of each cycle, something greater  
15 is living within me today to allow me to be here  
16 and stand before you. Despite my challenges during  
17 the journey and my low PD-L1 threshold, I excitedly  
18 share with you that my PET scans and circulating  
19 DNA tumor markers have shown no evidence of disease  
20 since spring of 2023.

21           You can see that I'm living a full life, a  
22 bit different than I once pictured, but full

1       nonetheless; full of love, full of adventure, and  
2       full of hope. Please show compassion in your vote  
3       and any future decisions that you make related to  
4       the treatment options for esophageal and gastric  
5       cancer patients. We all want the best chance of  
6       living life, and know that means a variety of  
7       options to meet all of our unique needs and  
8       circumstances. Please do not limit the indicators  
9       for eligibility or limit the options for  
10      treatments. I still have a long life to live, and  
11      to my knowledge, no one has yet written a guide for  
12      how I should explain this all to my children if my  
13      options become limited by individuals who are not  
14      my immediate medical care team. Thank you for your  
15      time.

16                 DR. LIEU: Thank you.

17                 Speaker number 5, please state your name and  
18      any organization you are representing for the  
19      record.

20                 MS. MORDECAI: My name is Mindy Mintz  
21      Mordecai. I am the CEO of the Esophageal Cancer  
22      Action Network. Our organization receives funding

1 from all of the applicants. I am not being paid  
2 for my testimony here today or any of my travel  
3 costs.

4 In 2009, after losing my husband to  
5 esophageal cancer -- sorry, now I'm crying,  
6 too -- I started ECAN because I was appalled at how  
7 little research and awareness existed for  
8 esophageal cancer. The next year, 2010, the  
9 National Cancer Institute drew up a list of  
10 20 cancers for its groundbreaking genome mapping  
11 project called the Cancer Genome Atlas or TCGA.  
12 Esophageal cancer wasn't on that list. ECAN begged  
13 the NCI to include esophageal cancer in TCGA. We  
14 even offered to orchestrate the tissue sample  
15 collection and raise a half million dollars to pay  
16 for the launch of that project, and it worked.

17 The esophageal cancer pilot project of TCGA  
18 began in 2011, and its findings published in 2017  
19 showed that esophageal adenocarcinoma was  
20 genomically similar to gastric cancer. The result  
21 was that our patients were included in clinical  
22 trials focused on stomach cancer, including the

1 trials that led to the approval of Opdivo and  
2 Keytruda for patients with esophageal  
3 adenocarcinoma or GE junction adenocarcinoma. That  
4 approval was just three years ago, and now we're  
5 seeing more survivors of stage 4 esophageal cancer  
6 and something that we could only dream about when  
7 my husband was being treated.

8 So I'm here asking you, what's going to be  
9 gained by making this proposed change? Initial FDA  
10 approvals were based on sound data. They showed  
11 promise for our patients, and in some cases, that  
12 was regardless of their PD-L1 status. We know  
13 patients who are PD-L1 negative who are thriving  
14 because of their immunotherapies.

15 Take Jim Bennett of Mount Pleasant, South  
16 Carolina. He's a 77-year-old survivor who lost  
17 40 pounds at the time of his stage 4 esophageal  
18 adenocarcinoma diagnosis; that was 18 months ago.  
19 Since then, he's been on Folfox and Opdivo, and not  
20 only has he gained back all of his weight and  
21 seeing his tumor and mets shrink, he's now running  
22 5Ks, riding his motorcycle, and feeling, as he



1 describes it, as if he doesn't have cancer at all.  
2 If the FDA decides to restrict his access to immune  
3 checkpoint inhibitors, Jim's lifeline will be gone.

4 Jim is not the only PD-L1 negative patient  
5 who's experiencing these positive responses.

6 Dr. Kumar repeatedly said, "No convincing evidence  
7 of benefit or harm for PD-L1 negative patients has  
8 been found," no convincing evidence of benefit or  
9 harm. When you're looking at possibly 17 percent  
10 of our patients, shouldn't that decision be made by  
11 the doctor and the patient, not an FDA panel,  
12 especially when we look at the issues with the kind  
13 of tissue samples that we're looking at to come up  
14 with these scores and the variability in the  
15 responses?

16 DR. LIEU: My apologies. If you could  
17 conclude your statement, please.

18 MS. MORDECAI: Yes.

19 This is not the time to pull the plug on  
20 this progress. I hope that when you make your  
21 decision, you will remember Jim Bennett. His  
22 chances for survival are very few. His doctors

1       should be able to help him make a good decision.  
2       He believes that losing access to immunotherapy  
3       will cost him his life, and that is too high of a  
4       price to pay. Thank you for the opportunity.

5               DR. LIEU: Thank you.

6               Speaker number 6, please state your name and  
7       any organization you are representing for the  
8       record.

9               MS. AARON: Good morning. I'm Betsy Aaron.  
10       I'm not affiliated or receiving compensation from  
11       any organization. I'm going to share my story of  
12       delays and restrictions in getting access to  
13       immunotherapy during a time of disease progression.  
14       I'm 70 years old. I was diagnosed with stage 4  
15       gastric adenocarcinoma in July of 2022. I was told  
16       that my treatment would be palliative and that I  
17       didn't meet the minimum requirements to receive  
18       immunotherapy. I received instead 42 rounds of  
19       chemotherapy every other week for 2 years.

20               I was then given a chemo, quote/unquote,  
21       "holiday." After about 6 weeks, I had an endoscopy  
22       and learned that the primary tumor had returned. I

1 also learned from tests on the fresh tumor tissue  
2 that my PD-L score was now 20. My doctor and I  
3 discussed treatment options. The one I wanted was  
4 treatment with two immunotherapy drugs. I was told  
5 that I would need to obtain compassionate care  
6 since I did not have the approved biomarkers.

7           During this time of waiting for approval, my  
8 symptoms continued to increase. After waiting  
9 5 weeks, my doctor and I agreed that I had to start  
10 treatment, so we opted for a chemotherapy plus one  
11 immunotherapy drug. This treatment option also  
12 involved getting approval since third-line  
13 treatment for anyone over 65 is currently also  
14 restricted. That approval took an additional week.  
15 After a total of 6 weeks, I received approval for  
16 the treatment I wanted.

17           In my view and in my experience, access to  
18 immunotherapy treatments needs to be made easier  
19 for people living with gastric cancer, and not more  
20 restrictive. Thank you for hearing my story and  
21 considering my words.

22           DR. LIEU: Thank you so much.

1           Speaker number 7, please state your name and  
2 any organization you are representing for the  
3 record.

4           MR. KAVCHOK: Hi. My name is Ronald  
5 Kavchok. I'm the husband of speaker number 3,  
6 Alison Kavchok. I reside in Ringoes, New Jersey  
7 and have access to a lot of doctors, but I don't  
8 have any affiliation or receive any compensation  
9 from anyone.

10           I want to share my story regarding my  
11 diagnosis and treatment of gastric cancer. I was  
12 diagnosed almost 4 years ago at the age of 47. I'm  
13 married with two children, ages 10 and 12 at the  
14 time. This was obviously devastating news, but I  
15 felt confident that I could fight this. I wanted  
16 to see my children graduate elementary school and  
17 hopefully on to college.

18           My initial diagnosis was stage 1B stomach  
19 cancer in November of 2020. I went ahead and got  
20 opinions from my oncologist and her team. I also  
21 got second opinions from many doctors across the  
22 entire United States. In all cases, in all of our

1       conversations, I was told that my gastric cancer is  
2       tough and chemo resistant, and that getting a  
3       clinical trial or including immunotherapy in my  
4       treatment would be the best case for my survival.  
5       Immunotherapy was not yet approved yet for my  
6       cancer, so I moved forward with the standard of  
7       care as indicated in the NCCN guidelines. I was  
8       ultimately upstaged to stage 3B 6 months after my  
9       diagnosis.

10               The good news is I have continued to remain  
11       disease free for 14 months until routine EGD in  
12       October of 2022 discovered a reoccurrence. The  
13       good news about that is, though, is there was no  
14       tumors and my scans are all clean. My cancer was  
15       just microscopic.

16               So what are my treatment options for this  
17       reoccurrence? Getting more chemotherapy for a  
18       chemo-resistant cancer is not my best route. My  
19       oncologist's opinion for my best outcome is to get  
20       me on immunotherapy, so a mini battle ensued. My  
21       oncologist fought the insurance company, but I did  
22       not meet the PD-L1 requirements and I was past

1 first-line treatment.

2 But after a short fight, I got good news in  
3 December of 2022. Almost 2 years ago now, I was  
4 able to get Keytruda off label as a second-line  
5 treatment. Since then, I've experienced very  
6 positive results. I'm not dealing with any harsh  
7 side effects of chemotherapy, I'm enjoying a better  
8 quality of life, and I'm spending a lot of time  
9 with my family.

10 Myself and everyone on my team all agree  
11 that the immunotherapy has been key in my current  
12 success. My oncologist was not just looking at  
13 PD-L1 scores; she used her experience with similar  
14 patients' outcomes, my resistance to chemotherapy,  
15 the fact that my disease is microscopic, and I'm in  
16 generally good health besides the cancer.

17 Using stories like mine, as well as  
18 countless other patients with low PD-L1 scores,  
19 should really be considered. In short,  
20 chemotherapy did not work for me, but immunotherapy  
21 is. My children have went on to graduate  
22 elementary school, and now both of them are in high

1 school, and I'll be seeing them graduate there  
2 soon. So thank you for your consideration.

3 DR. LIEU: Thank you so much.

4 Speaker number 8, please state your name and  
5 any organization you are representing for the  
6 record.

7 MS. HALL: Good morning. My name is Pamela  
8 Hall. I'm speaking today as a patient on behalf of  
9 myself and others who are struggling with gastric  
10 cancer. I've received no compensation for my  
11 appearance here today. I'm a 68-year-old retired  
12 banking executive and a devoted yoga practitioner.  
13 My husband and I have been married for 31 years.  
14 We have 3 children and 8 grandchildren.

15 Six years ago, in August of 2018, at the age  
16 of 62, I was diagnosed with stage 3 gastric cancer.  
17 This diagnosis has forever changed the course of my  
18 life and that of my family. The first line of  
19 treatment I was given included chemotherapy, and it  
20 was then followed by a total gastrectomy. Since  
21 then, my cancer has recurred 5 times. Needless to  
22 say, I've been subjected to every cancer treatment

1 Western medicine has to offer. This includes  
2 participation in two separate drug trials.

3 In all but this last recurrence, treatment  
4 has worked for me for a short time to eradicate my  
5 disease, only for it to return time and again.  
6 When I was initially diagnosed, immunotherapy drugs  
7 were not even considered an option for first-line  
8 treatment. No one understands why some people  
9 respond to certain therapies and others don't.  
10 Likewise, no one knows why cancer in some people  
11 persistently recurs, while others remain cancer  
12 free after only one line of treatment.

13 We do know, however, that cancer's smart,  
14 and it can morph and change to evade the immune  
15 system and render treatments ineffective. My case  
16 is a good example of this happening. After  
17 multiple biopsies through the past 6 years, my  
18 results came back this past May for the very first  
19 time as PD-L1 positive. Does this mean that the  
20 immunotherapy drugs that didn't work for me in the  
21 past will work for me now? I don't think anyone  
22 knows the answer to that question. What I do know



1 is that I want my doctor to feel free, without  
2 reservation, to try all the weapons in his or her  
3 arsenal to treat my disease.

4 The indications set by the FDA have an  
5 immediate and an outsized impact on what treatments  
6 insurance companies will and will not approve.  
7 Frankly, I don't have the energy to fight both this  
8 disease and my insurance company, who by the way  
9 are not doctors. I don't want to argue with them  
10 over whether or not I should have an immunotherapy  
11 drug. My ask today is that you consider, first,  
12 the patient's perspective before setting or  
13 changing your indications or guidelines for this  
14 class of drugs. Thank you for your time.

15 **Questions to the Committee and Discussion**

16 DR. LIEU: Thank you so much, and thank you  
17 to all of our open public hearing speakers. The  
18 portion of this meeting has now concluded, and we  
19 will no longer take comments from the audience.

20 The committee will turn its attention now to  
21 address the task at hand, the careful consideration  
22 of the data before the committee, as well as the

1 public comments. We will now proceed with the  
2 questions to the committee and panel discussions.  
3 I'd like to remind public observers that while this  
4 meeting is open for public observation, public  
5 attendees may not participate, except at the  
6 specific request of the panel. After I read each  
7 question, we will pause for any questions or  
8 comments concerning its wording.

9 We will proceed with our first question,  
10 which is a discussion question. In patients with  
11 HER2-negative microsatellite stable gastric/  
12 gastroesophageal junction adenocarcinoma, does the  
13 cumulative data support the use of PD-L1 expression  
14 as a predictive biomarker when selecting patients  
15 for treatment with PD-1 inhibitors?

16 Are there any questions or comments  
17 regarding the wording of the question?

18 (No response.)

19 DR. LIEU: Seeing none, we will now open the  
20 question to discussion. Please turn your name  
21 placards sideways if you want to make a comment  
22 regarding this discussion question, and I'll take

1 the opportunity to go first.

2 I really appreciate all the data and all the  
3 work that's gone into these presentations. I will  
4 tell you, when treating these patients, I know our  
5 patients don't want incremental benefits and  
6 overall survival. They want to see that tail of  
7 the curve and see those durable responses over a  
8 prolonged period of time. I think that there's  
9 some consistency in all the evidence that we have  
10 been presented with. I think that PD-L1 is  
11 predictive of response. I think we see significant  
12 activity at PD-L1 greater than 10. I would say we  
13 see modest -- and I use that term very seriously.  
14 I think we see some modest benefit between 1 and  
15 10, and I see no evidence of benefit in PD-L1  
16 scores less than 1.

17 I think there are also some significant  
18 challenges here. I see a biomarker that is not  
19 binary. This is measured on a gradient, and  
20 there's no standardization that has been mentioned.  
21 I think that there is reporter variability, which  
22 is concerning to me. Is a 5 the same as an 11? Is

1 a 12 a 4? I don't think we know the answer to  
2 that, and I think that limiting immunotherapy using  
3 a somewhat unreliable biomarker is a little bit  
4 concerning.

5 But to answer this particular question, I  
6 will tell you, I do believe the PD-L1 expression is  
7 a predictive biomarker in this disease. I do see  
8 significant activity at levels greater than 10. I  
9 do not see any activity in scores less than 1. And  
10 I would love to see patients have the opportunity  
11 to receive these drugs between scores of 1 and 10,  
12 but I think that that requires some discussion  
13 between the patient and their provider in terms of  
14 the risks, because we're asking our patients to  
15 undertake greater grade 3 and 4 risks for unclear  
16 benefit, particularly at lower scores.

17 Dr. Spratt?

18 DR. SPRATT: Dan Spratt, Case Western. The  
19 question is not should we impose some restriction  
20 and cutpoint? The question is, does the cumulative  
21 data support the use of PD-L1 expression as a  
22 predictive biomarker? And a predictive biomarker

1 at its core is simply there's a different relative  
2 effect size by biomarker status, period, end of  
3 story. There are ways to test this. It is a  
4 predictive biomarker. There are significant  
5 interaction effects by subgroup. There are  
6 different relative effect sizes.

7 Every trial of each of the companies, the  
8 primary endpoint, specifically, a priori, picked  
9 the CPS or TAP thresholds to be included. So it's  
10 acknowledged, I hope -- other than one of the  
11 companies, it seems to acknowledge there is very  
12 significant differences in relative benefit.

13 I think, as you just pointed out, that's  
14 very challenging when you get from the binary less  
15 than 1 to greater than 1. But a point that I think  
16 gets confused a lot, in some of even the amazing  
17 open public hearing comments and touching stories,  
18 is just because a patient has an amazing response  
19 to chemo and IO doesn't mean they wouldn't have an  
20 amazing response to chemo; and you have a hazard  
21 ratio of almost 1, they probably had a similar  
22 probability of having that benefit. That's all I

1 have to say.

2 DR. LIEU: Thank you.

3 Dr. Madan?

4 DR. MADAN: Ravi Madan, National Cancer  
5 Institute. I think that, clearly, there's some  
6 degree by which all of this is telling a predictive  
7 story, but I think the clinical utility and how the  
8 data supports that, it's a read between the lines a  
9 little bit. But the context of the discussion here  
10 is -- I'm just not fully convinced that this is the  
11 data set that should be used to address this. This  
12 is hypothesis generating data that poses the  
13 question of, is this cutoff required to bring about  
14 benefit versus risk?

15 Again, as was raised by the FDA, is the  
16 cutoff that's proposed the right cutoff? We don't  
17 know. We never really went into these studies  
18 asking this question. So that's where I have some  
19 trouble, and that is, I'm not sure this is how we  
20 would power this data set. We're trying our best  
21 to glean what we can from existing data that was  
22 never really designed to answer this question, in

1 my opinion. That's kind of where my thoughts come  
2 from as a non-GI oncologist, so I welcome thoughts  
3 from the panel in that regard.

4 DR. LIEU: Thanks, Dr. Madan.

5 Dr. Gradishar?

6 DR. GRADISHAR: Bill Gradishar,  
7 Northwestern. I share the sentiments that have  
8 been expressed up to this point. I think this is a  
9 predictive biomarker despite the flaws, the  
10 caveats, that have been discussed about it.

11 Certainly for above 10 and 1 to 10, I think that  
12 gets into the realm of letting doctors be doctors,  
13 where they have an opportunity to talk to their  
14 patients and make a discussion in conjunction with  
15 their patient about whether this is worth doing,  
16 taking into account the side effect profile that  
17 these drugs have. I'm not in any way particularly  
18 impressed with any of the data that's been  
19 presented for anything less than 1, and in that  
20 group of patients, I'm not seeing any real effect.

21 I would also echo with Dr. Spratt just  
22 mentioned. I empathize with the compelling stories

1 that were described, but we've seen patients -- I'm  
2 a breast oncologist. During the era of bone marrow  
3 transplant for patients with breast cancer, there  
4 was a lot of enthusiasm for that until there  
5 wasn't, and many of those patients might have done  
6 just as well with standard therapy, and we just  
7 don't know. So that's my view.

8 DR. LIEU: Thank you, Dr. Gradishar.

9 Dr. Vasan?

10 DR. VASAN: Neil Vasan, Columbia University.

11 I agree with everything that's been said. I'm a  
12 breast oncologist and, for me, the analogy that I  
13 keep coming back to is actually not the mutational  
14 examples that have been brought up like with KRAS  
15 and olaparib, and another continuous variable,  
16 which is HER2.

17 The two things that I'm really thinking  
18 about are, number one, obviously the field  
19 optimized and decided thresholds of positivity in  
20 these large trials were designed to test those  
21 questions, but I think the difference is, is that  
22 in the HER2 field, small subsets were tested, and



1 then slightly larger, and then slightly larger,  
2 encompassing that biomarker.

3 I think what we had here is actually the  
4 opposite, where it got approved in the initial data  
5 based on the best available data, and we're maybe  
6 backtracking and refining that biomarker. I think  
7 taken to an extreme, I agree that these post hoc  
8 analyses can, of course, have biases, but I'm  
9 thinking about other trials like NSABP-47 and  
10 actually testing HER2 antibodies in patients who  
11 had low levels of HER2. Are we thinking about an  
12 extreme possibility like that or are we just making  
13 use of the best available data that we have at the  
14 time? Thank you.

15 DR. LIEU: Thank you, Dr. Vasan.

16 Dr. Hawkins?

17 DR. HAWKINS: Randy Hawkins, Charles  
18 University. I agree with what's been stated thus  
19 far, and particularly Dr. Lieu's initial summary.  
20 PD-L1 is helpful, but it's not definitive. We've  
21 talked about the need to get more tissue to be able  
22 to better get an idea for this particular marker

1 and whether it exists on this patient. And does  
2 that explain why some people do better than others  
3 just because they had more tissue to get included?

4 It appears that we need to work harder if  
5 we're going to continue to use this marker to  
6 develop better assays or criteria for getting what  
7 is an acceptable tissue or assay for PD-L1. Of  
8 course, it means that we need to continue to search  
9 for other markers that may be more helpful than  
10 PD-L1. Thank you.

11 DR. LIEU: Thank you, Dr. Hawkins.

12 Dr. Meyerhardt?

13 DR. MEYERHARDT: Jeff Meyerhardt,  
14 Dana-Farber. I think to this question, to me, it's  
15 fairly straightforward. The data is clear; there  
16 are different levels of PD-L1 expression that have  
17 different levels of overall survival and  
18 progression-free survival. I think the question,  
19 obviously, is, is there a cutoff, and are we going  
20 to deny patients of the potential for a therapy if  
21 you choose some cutoff, whether it's 1 or another  
22 number?

1           The reality is, for good or bad, we do that  
2 all the time in oncology. We don't give  
3 gemcitabine for gastric cancer. Is there a gastric  
4 cancer patient who potentially could benefit from  
5 gemcitabine? I'm sure there is. There's probably  
6 multiple, but we still have to use some data to be  
7 able to decide who's potentially going to benefit  
8 or not; and overall, as a population, is there some  
9 benefit, and is that benefit enough to weigh the  
10 risks?

11           DR. LIEU: Thanks, Dr. Meyerhardt.

12           Dr. Spratt?

13           DR. SPRATT: I don't know if you want to --

14           DR. LIEU: Oh, sorry. Yes. Dr. Spratt does  
15 defer to Dr. Hillard.

16           Dr. Hillard?

17           DR. HILLARD: Yes. I do think that just  
18 looking at the data, there is predictive value;  
19 that if you do have higher PD-L1 expression, you're  
20 more likely to benefit. But on the other hand,  
21 most of the patients in the studies, even with the  
22 PD-L1 less than 1, on the average, had some

1 benefit, even though it's not statistically  
2 significant. So yes, cumulative data suggest it's  
3 a predictive biomarker, but at this point, I don't  
4 think it's clinically something that will outweigh  
5 all the other factors that might go into the  
6 clinical decision.

7 DR. LIEU: Thanks, Dr. Hillard.

8 Dr. Sanoff?

9 DR. SANOFF: Hanna Sanoff, UNC. I was  
10 curious to hear the panel's thoughts on the  
11 discussion about tissue inadequacy and availability  
12 of biopsy samples. This is true across oncology at  
13 this point. We use biomarker testing for every  
14 single disease. Is there something unique to the  
15 group about gastric and esophageal cancers that  
16 would preclude us from re-biopsying someone? I  
17 think we heard from Dr. Janjigian that patients  
18 respond the best in the first few cycles of  
19 treatment. I completely agree with that, but  
20 that's chemotherapy response; that's not IO  
21 response in gastroesophageal cancer, which is  
22 different than, say, melanoma.

1           So to me, that did not strike me as  
2 something we should use as a deciding factor here  
3 because, to me, I feel like we could biopsy as  
4 fairly readily available, but I'm curious to see if  
5 that sways other panel members at all.

6           DR. LIEU: Does anybody have a response to  
7 Dr. Sanoff's question?

8           DR. SPRATT: Speaker number 7 who spoke --

9           DR. LIEU: And can you state your name?  
10 Sorry.

11           DR. SPRATT: Oh, Dan Spratt, and I am not a  
12 GI medical oncologist. But speaker number 7 I  
13 think spoke very eloquently and was denied and  
14 received chemo first, and then later on received  
15 IO, and he's doing very well right now. So I guess  
16 to your point, it seems that the necessity of this  
17 being immediate, at least in his case -- we're  
18 talking about anecdotes right now, but I'd defer.  
19 I think someone else had their hand up.

20           DR. LIEU: Dr. Gibson?

21           DR. GIBSON: Michael Gibson. I think I'm in  
22 the appropriate session to comment. Sorry guys. I

1 just wanted to say that one of our speakers, it may  
2 have been Dr. Janjigian, mentioned that this is a  
3 dynamic biomarker, which means, as was pointed out  
4 by one of our speakers, it changes over time. I  
5 don't think getting another biopsy -- although I'm  
6 not the patient, I haven't had patients not agree  
7 to do that if we have justification such as  
8 retesting for a marker that may have been negative  
9 the first time. I do think this is an appropriate  
10 biomarker; it's dynamic. And the question to  
11 whether biopsying again, I think that's an  
12 important consideration that is practically  
13 possible.

14 DR. LIEU: Thank you, Dr. Gibson.

15 Dr. Van Loon, I see that you had raised your  
16 hand. I didn't know if you wanted to respond to  
17 Dr. Sanoff's question as well or had a separate  
18 comment.

19 DR. VAN LOON: I think I was responding  
20 maybe to one speaker earlier. From the perspective  
21 of a gastrointestinal oncologist, I also wanted to  
22 reference the breast oncologist who had mentioned

1 HER2 as a biomarker and remind everybody that we  
2 use different cutpoints in different diseases for  
3 different biomarkers. We use HER2 thresholds  
4 differently in upper gastrointestinal cancers than  
5 we do in breast cancer, and I think that's a  
6 reference to the fact that we're learning as we go  
7 and, unfortunately, we're dealing with an assay  
8 that has limitations with PD-L1. But based upon  
9 the current preponderance of evidence, it certainly  
10 seems to be a predictive biomarker for this  
11 particular disease.

12 I think we all have to acknowledge that we  
13 are still learning about it, and there is certainly  
14 a demand to address the limitations of the  
15 biomarker testing for future decision making. But  
16 sitting with the data that we currently have is  
17 really important.

18 DR. LIEU: Thank you, Dr. Van Loon.

19 Dr. Spratt?

20 DR. SPRATT: Two things. And I commend the  
21 applicant for providing that Q-TWiST, which is  
22 really probably the one method of analyzing this

1 quality-of-life toxicity, and then freedom from  
2 progression or death, and nicely harmonized. I  
3 mean, it's imperfect, but I appreciate them putting  
4 it in. They showed nicely -- to what I think one  
5 of the panel members said -- that when you get to  
6 the scores less than 1, essentially, not that  
7 there's necessarily a uniform agreed upon clinical  
8 significance threshold -- they cite 10 from an old  
9 paper, and sometimes it might be appropriate to be  
10 less than 10 -- but it's very clearly different.  
11 There's about 4 to 5 percent versus over 30 percent  
12 for expression levels over 30.

13 So I think someone said, is there potential  
14 harm of these agents? If there's no potential harm  
15 of these agents, then yes, just make it available,  
16 ignoring cost in and of itself as a toxicity. If  
17 you factored financial toxicity into that Q-TWiST  
18 analysis, I think we'd find something strikingly  
19 different given that combined nivo and pembro is  
20 over \$30 billion a year, I think, for 2024. So  
21 who's paying for that? Patients are paying a  
22 percentage of that out of pocket, even if it's not



1 the majority.

2 I think we just need to be thoughtful to the  
3 potential harms. The point that Dr. Janjigian  
4 brought up, which is spot on, and I think you were  
5 just trying to address, is the real-world aspect of  
6 this without tissue. I guess what I don't  
7 know -- and I'd love if someone can answer -- is  
8 what is the real-world efficacy data in this  
9 patient subset that's not enriched for these high  
10 PD-L1 scores? Because again, you can't talk about  
11 trials and the accuracy but then not talk about  
12 real-world efficacy. Are these patients going to  
13 have far poorer response rates because they are not  
14 as enriched? And I don't know if anyone knows  
15 that.

16 DR. LIEU: Any responses to Dr. Spratt's  
17 question?

18 (No response.)

19 DR. LIEU: This is Chris Lieu from Colorado.  
20 And yes, I'm not sure that we necessarily have that  
21 real-world evidence data, and I think that just  
22 speaks to the reality of the problem that we live

1 in a nonclinical trial world, and we're going to  
2 have biopsies that are not going to be able to get  
3 a score on. And that really goes back to  
4 Dr. Sanoff's point and that others have made about  
5 re-biopsy. I think that, in reality, that's what  
6 our patients may have to undergo if this decision  
7 is made to start cutting off at particular CPS  
8 scores.

9 Dr. Madan?

10 DR. MADAN: Ravi Madan, NCI. Again, I'm  
11 just stuck a little bit here. I'm bleeding into  
12 the question a little bit and the CPS score of 1.0.  
13 Why is 1.0 the cutoff? Is it 0.8? Is it 1.2? If  
14 we're going with the harms thing, maybe we're  
15 harming everybody who's 1.1. Maybe all the  
16 responders are sub 1 or 0.8 and above. This is  
17 where I struggle with saying that we have enough  
18 data, at least when it comes to the voting question  
19 to assign a cutoff.

20 DR. LIEU: Thank you, Dr. Madan.

21 Unfortunately, only if the sponsors are  
22 directly asked a question can they come up.

1 Any other comments from the panel in regards  
2 to this discussion question?

3 (No response.)

4 DR. LIEU: Okay. I'll do my best to  
5 summarize this discussion. Hearing everybody on  
6 the panel, I feel like there's some consistency in  
7 thinking that PD-L1 expression is a predictive  
8 biomarker for immunotherapy. I think that's really  
9 what the discussion question is asking. I think  
10 that there are significant concerns from the panel  
11 in regards to the efficacy that we're seeing in  
12 PD-L1 scores that are less than 1, and I think that  
13 there are concerns about the overall survival data  
14 that we see.

15 To use Dr. Vasan's point and Dr. Van Loon's  
16 point, refining the population of patients that are  
17 most likely to benefit from these therapies, as  
18 well as learning as we go, there are some practical  
19 issues here about the assay itself, about  
20 standardization, about measuring it, about the  
21 ability to do this outside of tertiary care centers  
22 and major molecular companies that do this type of

1 testing, and the real-life situation of having to  
2 re-biopsy patients to determine a CPS score and  
3 what cutoffs could mean.

4 Also, Dr. Madan had made a point that this  
5 may not be the best data set to answer some of  
6 these questions about cutoffs given that we're  
7 really starting to cut up the data into incredibly  
8 small subsets and trying to make treatment  
9 decisions based off of those small subsets in  
10 trials that weren't designed to ask the questions  
11 that we're trying to ask: less than 1, 1 to 5,  
12 5 to 10. These aren't trials that were designed to  
13 do that, but luckily we do have a significant  
14 amount of data.

15 Any questions or comments in regarding  
16 question 1, the discussion question?

17 (No response.)

18 DR. LIEU: Okay. We will now proceed to  
19 question 2, which is a voting question. We will be  
20 using an electronic voting system for this meeting.  
21 Once we begin to vote, the buttons will start  
22 flashing and will continue to flash even after you

1 have entered your vote. Please press the button  
2 firmly that corresponds to your vote. If you are  
3 unsure of your vote or you wish to change your  
4 vote, you may press the corresponding button until  
5 the vote is closed.

6 After everyone has completed their vote, the  
7 vote will be locked in. The vote will then be  
8 displayed on the screen. The DFO will read the  
9 vote from the screen into the record. Next, we  
10 will go around the room, and each individual who  
11 voted will state their name and vote into the  
12 record. You can also state the reason why you  
13 voted as you did, if you want to. We will continue  
14 in the same manner until all questions have been  
15 answered or discussed.

16 The voting question is, is a risk-benefit  
17 assessment favorable for the use of PD-1 inhibitors  
18 in first-line advanced HER2-negative microsatellite  
19 stable gastric/GEJ adenocarcinoma in patients with  
20 PD-L1 less than 1?

21 Are there any issues or questions in regards  
22 to the voting question?

1 Dr. Madan?

2 DR. MADAN: Ravi Madan, NCI. On our slide,  
3 it actually has the options for answers are yes or  
4 no, but is there an abstain option, traditionally?

5 DR. LIEU: There is an abstain option, so  
6 you can abstain.

7 DR. MADAN: I was asking for a friend.

8 (Laughter.)

9 DR. LIEU: Yes, you can vote to abstain.

10 Any other questions or comments?

11 (No response.)

12 DR. LIEU: If there are no further questions  
13 or comments concerning the wording of the question,  
14 we will now begin the voting process. Please press  
15 the button on your microphone that corresponds to  
16 your vote. You will have approximately 20 seconds  
17 to vote. Please press the button firmly after you  
18 have made your selection. The light may continue  
19 to flash. If you are unsure of your vote or you  
20 wish to change your vote, please press the  
21 corresponding button again before the vote is  
22 closed.

1 (Voting.)

2 DR. FRIMPONG: There are 2 yeses, 10 noes,  
3 and 1 abstain.

4 DR. LIEU: Now that the vote is complete,  
5 we'll go around the table and have everyone who  
6 voted state their name, vote, and if you want to,  
7 you can state the reason why you voted as you did  
8 into the record. I believe we'll start with  
9 Dr. Van Loon.

10 DR. VAN LOON: My vote was no, based upon  
11 the --

12 DR. LIEU: If you could state your name.  
13 Sorry.

14 DR. VAN LOON: Sorry. This is Katherine  
15 Van Loon, and my vote was no, based upon the  
16 preponderance of evidence at this time. I think  
17 the risk-benefit ratio is not favorable.

18 DR. LIEU: Thank you.

19 Dr. Gradishar?

20 DR. GRADISHAR: Bill Gradishar. My vote was  
21 no, as I outlined a few moments ago for those  
22 reasons.

1 DR. LIEU: Thank you.

2 Dr. Spratt?

3 DR. SPRATT: Dan Spratt, Case Western. My  
4 vote was no. Again, the voting question's not for  
5 us to decide the change of the cutpoint, but the  
6 risk-benefit ratio favored use of PD-L1 in this  
7 decision-making process. I think when we look at  
8 credibility of subgroup analysis, this was part of  
9 the most primary endpoint analysis and was measured  
10 a priori, with significant interaction effects.  
11 Pretty much, it was a priori. The hypothesis and  
12 direction of effect was correct. This is a very  
13 good data set, just to disagree, and I think with  
14 hazard ratios almost approaching 1.

15 The other point that I want to bring up is  
16 let their doctor decide. Dr. Janjigian, who's a  
17 world expert, said the average doctor sees five of  
18 these a year, so I'm just not sure we want to let  
19 their doctor make this decision when these hazard  
20 ratios are almost 1, and there are financial and  
21 toxicity impacts for these patients.

22 The last point I'll make is when you look at



1 the tails of where they converge, there's less than  
2 a 1 percent absolute difference in this less than 1  
3 subgroup. That's a number needed to treat over  
4 100, if not close to 1,000. That means you're  
5 treating hundreds of these patients to benefit one.  
6 Thank you.

7 DR. LIEU: Thank you, Dr. Spratt.

8 Dr. Madan?

9 DR. MADAN: Ravi Madan, National Cancer  
10 Institute. I voted to abstain. I think our quest  
11 for biomarkers has been going on since our quest to  
12 develop better therapeutics, and I think,  
13 unfortunately, most biomarkers fall short. I think  
14 PD-L1 has also fallen short in many diseases,  
15 including this one, because of issues with  
16 acquisition, characterization, variability, and  
17 sampling error.

18 So when it comes to that context, it's hard  
19 for me to say that this is the data set to make  
20 this decision. Again, I'm not sure that it should  
21 be higher or lower. I'm just not sure this is how  
22 I would ask the question.

1 DR. LIEU: Thank you, Dr. Madan.

2 This is Chris Lieu from University of  
3 Colorado. I voted no. As I stated before, I just  
4 don't see any overall survival benefit in this  
5 group less than 1. I would love to hear others on  
6 the panel in terms of where they believe the cutoff  
7 should be. I do think that the cutoff should be 1  
8 because of the perceived benefit that I see in that  
9 patient population between 1 to 10, and I do think  
10 that that is the conversation, as has been  
11 mentioned before, that needs to happen between a  
12 patient and their physician. But to give them the  
13 opportunity to have that conversation, I think is  
14 really critical.

15 Dr. Vasani?

16 DR. VASANI: Neil Vasani. I voted no. I  
17 agree, based on the totality of the data, that  
18 there was not a favorable risk-benefit for this  
19 PD-L1 low population. To address Dr. Lieu's point,  
20 for me it was clear that across these data sets, a  
21 clear benefit in the greater than 10, no benefit in  
22 less than 1. And it's that intermediate range that

1 this is where we need clinical trials to help  
2 answer questions where we have levels of equipoise,  
3 and with any continuous variable, the important  
4 question's in the field. Thank you.

5 DR. LIEU: Thank you.

6 Dr. Dodd?

7 DR. DODD: Lori Dodd. I voted no because of  
8 the preponderance of evidence presented with the  
9 meta-analysis in those who were PD-L1 less than 1.  
10 The question was I think very carefully worded to  
11 say those that were less than 1 because we don't  
12 have enough data for those who we don't have a  
13 result from, as well as those who are between  
14 1 and 10.

15 DR. LIEU: Thank you.

16 Dr. Hillard?

17 DR. HILLARD: Yes. James Hillard, patient.  
18 I voted yes in that it's clear that there's some  
19 variability in terms of how this is assessed in  
20 different settings; that clearly having a high  
21 PD-L1 ligand measurement is associated with greater  
22 efficacy. I don't think there's clear evidence for

1 the null hypothesis, that there's no chance that  
2 the less than 1 is going to be valuable.

3 DR. LIEU: Thank you, Dr. Hillard.

4 Dr. Hawkins?

5 DR. HAWKINS: Yes, a difficult question. I  
6 voted yes with some reservation. I think there  
7 were enough responders who are less than 1 to make  
8 me say it's possible. I felt that the side effect  
9 profile was good once you got past chemotherapy.  
10 One thing I would emphasize would be, really, the  
11 importance of educating GI specialists,  
12 GI oncologists, and those that are in private  
13 practice because they're the ones that see the  
14 patients first, I believe.

15 We really need to emphasize the importance  
16 of tissue size. We need enough tissue for this  
17 imperfect assay, and we need to work on this assay.  
18 We also need to look really hard for additional  
19 markers that may help us do a better job with this  
20 group of patients. Thank you.

21 DR. LIEU: Thank you, Dr. Hawkins.

22 Dr. Gibson?

1 DR. GIBSON: Thank you. Michael Gibson. I  
2 would start out by saying this is a bit of a  
3 wrenching question for me. I made my decision  
4 objectively on the data that I saw today and I have  
5 reviewed before; however, I might add I am also a  
6 clinician, and I do appreciate the considerations  
7 from the group.

8 DR. LIEU: Thank you, Dr. Gibson.

9 Dr. McKean?

10 DR. McKEAN: Heidi McKean. My vote was no  
11 based on the hazard ratios for overall survival,  
12 PD-1 or CPS PD-1 less than 1. I just want to  
13 comment, though, as a community oncologist, I too  
14 saw 30 patients a day earlier this week, but that  
15 meant 15 different cancers. So it is often  
16 overwhelming for a community oncologist to keep all  
17 of this straight, so some great effort from  
18 FDA/NCCN to put in guidance does help the community  
19 oncologist.

20 DR. LIEU: Thank you.

21 Dr. Meyerhardt?

22 DR. MEYERHARDT: Jeff Meyerhardt. I voted

1 no. In addition to the comments, I think it's  
2 telling that multiple guidelines, NCCN, ASCO, and  
3 others, have all actually chosen a cutoff despite a  
4 broad indication right now. So while the FDA  
5 should have an independent decision on this, I  
6 think multiple experts, including some that have  
7 spoken today, sit on those guidelines and the  
8 agreement that there should be some cutoff.

9 In terms of your question regarding should  
10 it be less than 1 or something higher, I think the  
11 one concern I have with the 1 to 10 patients is  
12 when you look at the pembrolizumab breakdown data,  
13 the 5 to 10 who actually also had a hazard ratio of  
14 0.92 and then the 1 to 5, there's clearly some  
15 variability there. But I think the testimony where  
16 there was more confidence in less than 1 being  
17 truly negative is helpful.

18 DR. LIEU: Thank you.

19 Dr. Sanoff?

20 DR. SANOFF: Alright. Hanna Sanoff. I also  
21 voted no, and as the last person, probably a little  
22 bit repetitive. I think a couple pieces of

1 evidence are really important here. First, as  
2 Dr. Spratt explained, this is really high-quality  
3 evidence. We had a priori cutpoints. We have  
4 repeatedly demonstrated evidence here. I think one  
5 thing that's really difficult here is this question  
6 of are there people in this less than 1 subgroup  
7 who do benefit? What do we make of these  
8 responders?

9 I think there may be people who respond, but  
10 we're not seeing that tail of the curve. I think  
11 that's really important, the question of can we  
12 provide people hope, offering them long-term  
13 survival with advanced gastric cancer who have  
14 PD-L1 less than 1? To me, that really looks like  
15 the answer is no. Now, it may evolve over time for  
16 those patients, which may mean repeat biopsy and  
17 subsequent availability of these drugs is  
18 important, but that's not what was asked here.

19 The other thing is -- even though I cannot  
20 even tell you how moving it is to hear everyone  
21 come up and speak -- the folks we don't have at the  
22 microphone are the folks who have passed away from

1 getting PD-1 inhibitors, and everyone around this  
2 table has probably seen one of those patients.  
3 These are not just grade 3 and 4 toxicities. These  
4 are also also grade 5 fatal toxicities, and that is  
5 very moving to me when you look at these curves  
6 that do not show long-term survivors from these  
7 drugs in a PD-L1 negative population.

8 So I really hope we can see how this evolves  
9 and how we can get immunotherapies to be effective  
10 in this PD-L1 negative population, but until we do  
11 that, I just did not see enough evidence that we're  
12 helping people and not harming them.

13 DR. LIEU: Thank you, Dr. Sanoff.

14 So to summarize, a majority of this panel  
15 did vote no. I think those that voted no spoke to  
16 the really essentially negative data that we see in  
17 the CPS or PD-L1 less than 1 cohort, that that  
18 cutoff appeared to be at least reasonable. There  
19 are some variability in terms of where people  
20 believe that that cutoff should lie.

21 I think the greater than 10 is pretty  
22 obvious, and then 1 to 10 really has a decent



1 amount of variability in terms of overall survival  
2 benefits, so there's some concern there as well;  
3 and then to the point that the guideline committees  
4 have also instituted these cutoffs as well.

5 For those that voted yes or abstained, I  
6 think there's a really understandable concern about  
7 missing patients that may truly get benefit from  
8 these agents, and I think that we heard from the  
9 open public hearing speakers how meaningful it has  
10 been to them as well, as well as some concerns in  
11 regards to the data sets that we have available,  
12 and that we're trying to answer questions that  
13 those trials weren't necessarily designed to  
14 answer. But overall, there is fairly good  
15 consistency across the vote for the panel.

16 I do want to say thank you so much to our  
17 applicants, BMS, Merck, BeiGene, the FDA, and the  
18 incredible amount of work that's gone on to  
19 producing wonderful presentations and a wonderful  
20 summary of all the data that's available, as well  
21 as to our open public hearing speakers who, really,  
22 their stories have been truly moving, and thank you

1 so much for adding to our meeting.

2 Before we adjourn the morning session, are  
3 there any last comments from the FDA?

4 Dr. Pazdur?

5 DR. PAZDUR: It's a rare opportunity that I  
6 get three drug companies in front of me  
7 simultaneously -- .

8 (Laughter.)

9 DR. PAZDUR: -- so question number one, when  
10 these drugs were being developed, we spent a great  
11 deal of time and having conferences, trying to  
12 coordinate with the drug companies uniform marker  
13 development, PD-1 drug development marker.  
14 Obviously, those efforts failed.

15 Could you address this, each one of the  
16 companies, and express your willingness as we go  
17 forward in the field of immunology, really, to  
18 harmonize efforts between companies, or amongst  
19 companies, to have standard PD-1 or whatever  
20 biomarker development?

21 Merck, since you have the leading drug here,  
22 what is your position on standardization as we move

1 forward?

2 DR. PIETANZA: Thank you. I will actually  
3 have Dr. Scott Pruitt respond to this question.

4 DR. PRUITT: Scott Pruitt, Merck  
5 translational oncology. We'd be very interested in  
6 working to try to see if we can make these assays  
7 interchangeable. It would be great if they were  
8 interchangeable, but I think the data to date  
9 suggest that they're actually not. We would have  
10 to do cutpoint mapping studies, analytical and  
11 bridging studies, which we may or may not have  
12 sufficient --

13 DR. PAZDUR: Yes. This boat has sailed, so  
14 to speak. Our ship has sailed, but I'm talking  
15 about as we move forward because there will be  
16 further developments in biomarker development in  
17 this area, obviously. This is not the end of the  
18 story, the PD-1 assays that we have today.

19 DR. PRUITT: Oh, absolutely. We would try  
20 to focus on --

21 DR. PAZDUR: So you're on record, you'll  
22 collaborate with anybody.

1 DR. PRUITT: Absolutely.

2 (Laughter.)

3 DR. PAZDUR: Let's hear from BMS on this.  
4 Are you going to collaborate with everybody, put  
5 away your own commercial concerns here and come to  
6 a kumbaya with everybody that's developing a  
7 similar type of drug?

8 (Laughter.)

9 DR. WAXMAN: We do welcome efforts for  
10 harmonization. I think our goal here is to  
11 simplify the process for patients and physicians.  
12 The process by which we do that is up for  
13 discussion, but overall --

14 DR. PAZDUR: Because I think we've learned  
15 from this experience. This has been not a great  
16 experience, obviously, having all of these  
17 different tests here. And here again, I want to  
18 emphasize, we did bring people together. We made a  
19 concerted effort, the FDA, in trying to harmonize  
20 these tests with several conferences and telephone  
21 calls with Friends of Cancer Research and other  
22 external organizations.

1 So you're on board, right? Okay.

2 (No audible response.)

3 (Laughter.)

4 DR. PAZDUR: Okay. BeiGene?

5 DR. LANASA: As I mentioned in my  
6 presentation, yes, BeiGene absolutely is supportive  
7 of harmonization.

8 DR. PAZDUR: Okay. So here again, this ship  
9 has sailed. I don't think we could do anything  
10 more about this, but as we move forward, and  
11 looking at new biomarkers, we really have to  
12 develop platforms across the commercial concerns of  
13 companies.

14 Okay. Second question. If, and I underline  
15 if, we restrict the labels to less than 1, you are  
16 concerned, Merck, that some patients who may  
17 potentially benefit will not receive this drug.  
18 Would you be willing to offer the drug on an  
19 expanded use program or a compassionate use program  
20 for those people that are less than 1, free of  
21 charge?

22 DR. PIETANZA: Merck understands the

1 financial toxicities of patients with these  
2 diseases and, yes, we do already have programs in  
3 place for patients with financial hardships, and we  
4 actually also provide drug free of charge for  
5 patients who have --

6 DR. PAZDUR: Because, here again, if it's  
7 not an approved indication, obviously insurance  
8 companies may not approve it. So would you have an  
9 expanded use protocol, for example, or a so-called  
10 compassionate use protocol, providing the drug free  
11 of charge for these individuals?

12 DR. PIETANZA: We have provided drug free of  
13 charge to eligible individuals who cannot  
14 financially pay for it.

15 DR. PAZDUR: So you would consider an  
16 expanded use protocol in this situation?

17 DR. PIETANZA: We'll have to take it back  
18 and think about it.

19 DR. PAZDUR: We'll be in contact with you.  
20 How about BeiGene?

21 (Laughter.)

22 DR. PAZDUR: Very seldom do I have this

1 opportunity. That's why I want to make full use of  
2 it.

3 (Laughter.)

4 DR. LANASA: Sure. I guess I would say I  
5 don't exactly understand the context of the  
6 question.

7 DR. PAZDUR: The drug will not be reimbursed  
8 if the indication is late.

9 DR. LANASA: Sure, but the committee just  
10 voted the benefit-risk is not favorable.

11 DR. PAZDUR: I know, so you would not. But  
12 other companies have stated that there might be  
13 people because of the ambiguities of this assay.

14 DR. LANASA: Certainly, we have an expanded  
15 access program that's available globally, and those  
16 requests actually come to me, so certainly I'd be  
17 happy to review if a physician felt that a patient  
18 would benefit.

19 DR. PAZDUR: Okay. Bristol-Myers? I just  
20 want to get this on because there are other avenues  
21 for use of the drug or access to these drugs.

22 DR. WAXMAN: If a physician and their

1 patient deemed that there may be benefit, we would  
2 look for mechanisms by which we could help them  
3 achieve access.

4 DR. PAZDUR: So you would consider that?

5 DR. WAXMAN: Yes. There's a lot of steps  
6 that need to be discussed.

7 DR. PAZDUR: Okay, because I do want to  
8 address the concerns of patients. We realize the  
9 issues here with the biopsy, et cetera, and if we  
10 do restrict it, and if somebody wants the drug, it  
11 probably would not be paid for. So we want to make  
12 our views patient-centric here, that there might be  
13 other avenues that patients may have access to this  
14 drug. Okay. Thank you for the opportunity.

15 **Adjournment**

16 DR. LIEU: Thank you, Dr. Pazdur.

17 We will now adjourn the morning session and  
18 break for lunch. We will convene at 1:15 p.m.  
19 Eastern Time. That's 1:15 p.m. Eastern Time.  
20 Panel members, please remember that there will be  
21 no chatting or discussion of the meeting topics  
22 during the break amongst yourselves or with any



1 member of the audience. Additionally, for the  
2 panel, you should plan to reconvene at 1:05 p.m.  
3 Eastern Time to ensure you're seated before we  
4 reconvene at 1:15. Thank you.

5 (Whereupon, at 1:15 p.m., the morning  
6 session was adjourned.)  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22