

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Thursday, July 25, 2024

9:00 a.m. to 1:49 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****Takyiah Stevenson, PharmD**

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**Mark R. Conaway, PhD**

Professor
Division of Translational Research and
Applied Statistics
Department of Public Health Sciences
The University of Virginia School of Medicine
Charlottesville, Virginia

Pamela L. Kunz, MD

Associate Professor of Medicine (Oncology) Division
Chief, Gastrointestinal (GI) Oncology
Yale School of Medicine and Yale Cancer Center
New Haven, Connecticut

1 **Ravi A. Madan, MD**

2 Senior Clinician

3 Head, Prostate Cancer Clinical Research Section

4 Genitourinary Malignancies Branch

5 Center for Cancer Research

6 National Cancer Institute (NCI)

7 National Institutes of Health (NIH)

8 Bethesda, Maryland

9

10 **Daniel Spratt, MD**

11 *(Acting Chairperson)*

12 Vincent K Smith Chair, Department of Radiation

13 Oncology

14 Professor of Radiation Oncology and Urology

15 University Hospitals (UH) Seidman Cancer Center

16 Case Western Reserve

17 Cleveland, Ohio

18

19

20

21

22

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2 **(Non-Voting)**

3 **Tara L. Frenkl, MD, MPH**

4 *(Industry Representative)*

5 Senior Vice President, Head of Global Medical

6 Strategy and Evidence Generation

7 Bayer Pharmaceuticals

8 Whippany, New Jersey

9

10 **TEMPORARY MEMBERS (Voting)**

11 **Ranjana H. Advani, MD**

12 Saul Rosenberg Professor of Lymphoma

13 Division of Oncology

14 Stanford University School of Medicine

15 Stanford, California

16

17 **Azam Ghafoor, MD**

18 Associate Research Physician

19 Thoracic and GI Malignancies Branch

20 Center for Cancer Research

21 NCI, NIH

22 Bethesda, Maryland

1 **TEMPORARY MEMBERS (Voting) (cont.)**

2 **Christopher H. Lieu, MD**

3 Professor of Medicine

4 Associate Director for Clinical Research

5 Director, Gastrointestinal Medical Oncology

6 University of Colorado Cancer Center

7 Aurora, Colorado

8

9 **David E. Mitchell**

10 *(Acting Consumer Representative; via video*
11 *conferencing platform)*

12 President

13 Patients for Affordable Drugs

14 Bethesda, Maryland

15

16 **Jim Pantelas**

17 *(Patient Representative)*

18 Howell, Michigan

19

20

21

22

1 **Ashley Rosko, MD**

2 Professor - Clinical Division of Hematology

3 The Ohio State University (OSU)

4 Medical Director, Oncogeriatric

5 OSU Comprehensive Cancer Center

6 Columbus, Ohio

7

8 **Victor van Berkel, MD, PhD**

9 Professor of Surgery

10 Department of Cardiovascular and

11 Thoracic Surgery

12 University of Louisville School of Medicine

13 Louisville, Kentucky

14

15 **FDA PARTICIPANTS (Non-Voting)**

16 **Richard Pazdur, MD**

17 Director

18 Oncology Center of Excellence (OCE), FDA

19 Director (Acting)

20 Office of Oncologic Diseases (OOD)

21 Office of New Drugs (OND), CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Paul Kluetz, MD

Deputy Center Director
OCE, FDA
Supervisory Associate Director (Acting)
OOD, OND, CDER, FDA

Erin Larkins, MD

Director (Acting)
Division of Oncology 2 (DO2)
OOD, OND, CDER, FDA

Bernardo Haddock Lobo Goulart, MD

Clinical Reviewer
Cures Senior Physician
DO2, OOD, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Gautam Mehta, MD

Associate Director of Oncology Clinical Policy
OCE, FDA

Shabnam Ford, PhD

Senior Mathematical Statistician
Division of Biometrics V
Office of Biostatistics
Office of Translational Sciences, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Daniel Spratt, MD	11
5	Conflict of Interest Statement	
6	Takyiah Stevenson, PharmD	15
7	FDA Opening Remarks	
8	Erin Larkins, MD	19
9	Applicant Presentations - AstraZeneca UK Limited	
10	Introduction	
11	Leora Horn, MD, MSC, MHPE, FRCPC	36
12	Disease Background	
13	Marina Garassino, MD	41
14	Clinical Efficacy	
15	Gary Doherty, MB, BChir, MA, PhD, FRCP	47
16	Clinical Safety	
17	Mayur Patel, PharmD	55
18	Clinical Perspective	
19	John Heymach, MD, PhD	62
20	Concluding Remarks & Future Perspectives	
21	Leora Horn, MD, MSC, MHPE, FRCPC	69
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
FDA Presentations	
Durvalumab Before and After Surgery for the Treatment of Resectable Non-Small Cell Lung Cancer (AEGEAN)	
Bernardo Haddock Lobo Goulart, MD	74
Contribution of Treatment Phase in Perioperative Trials	
Shabnam Ford, PhD	95
Summary	
Bernardo Haddock Lobo Goulart, MD	98
Clarifying Questions	101
Open Public Hearing	154
Clarifying Questions (continued)	177
Questions to the Committee and Discussion	183
Adjournment	236

P R O C E E D I N G S

(9:00 a.m.)

Call to Order

Introduction of Committee

DR. SPRATT: Good morning, and welcome. I would first like to remind everyone to please mute your line when you are not speaking, and also a reminder to everyone to please silence your cell phones, smartphones, and any other devices if you have not done so already. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her e-mail is currently displayed.

My name is Dr. Daniel Spratt, and I will be chairing this meeting. I will now call the July 25, 2024 Oncology Drugs Advisory Committee meeting to order. We'll start by going around the table and introducing ourselves by stating our names and affiliation. We will start with the FDA to my left and go around the table.

DR. PAZDUR: Richard Pazdur, Director, Oncology Center of Excellence.

DR. KLUETZ: I'm Paul Kluetz, Deputy

1 Director of the Oncology Center of Excellence and
2 Supervisory Associate Director for Solid Tumor
3 Oncology in CDER.

4 DR. LARKINS: Erin Larkins, Acting Division
5 Director for Division of Oncology 2.

6 DR. GOULART: Bernard Goulart, Medical
7 Officer, Division of Oncology 2, FDA.

8 DR. FORD: Shabnam Ford, Senior Mathematical
9 Statistician, DBV.

10 DR. MEHTA: Gautam Mehta, Associate Director
11 for Oncology Clinical Policy in the Oncology Center
12 of Excellence, FDA.

13 MR. MITCHELL: I'm David Mitchell. I am the
14 President of Patients for Affordable Drugs. I'm
15 the consumer representative for this meeting, and I
16 want to thank the FDA for allowing me to
17 participate virtually because I'm on the downside
18 of COVID right now and still contagious.

19 DR. MADAN: Ravi Madan, Medical Oncologist,
20 National Cancer Institute.

21 DR. CONAWAY: Mark Conaway, biostatistics,
22 University of Virginia.

1 DR. STEVENSON: Takyiah Stevenson, FDA
2 Designated Federal Officer.

3 DR. SPRATT: Dr. Daniel Spratt, radiation
4 oncologist at UH Seidman Cancer Center and Case
5 Western Reserve.

6 DR. KUNZ: Pamela Kunz, GI medical
7 oncologist, Yale Cancer Center.

8 MR. PANTELAS: Jim Pantelas, patient
9 advocate and lung cancer survivor, Michigan.

10 DR. ADVANI: Ranjana Advani, hematological
11 malignancy, Stanford.

12 DR. LIEU: Chris Lieu, GI medical oncology,
13 University of Colorado.

14 DR. ROSKO: Ashley Rosko, Division of
15 Hematology, The Ohio State University.

16 DR. GHAFOR: Azam Ghafoor, medical
17 oncologist at Thoracic Oncology, NCI.

18 DR. VAN BERKEL: Good morning. I'm Victor
19 van Berkel. I'm a thoracic surgeon at the
20 University of Louisville.

21 DR. FRENKL: Good morning. Tara Frenkl.
22 I'm the industry rep. I'm the Head of Global

1 Medical Strategy and Integrated Evidence Generation
2 at Bayer Pharmaceuticals.

3 DR. SPRATT: Thank you.

4 For topics such as those being discussed at
5 this meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal is that this meeting will be a fair and
8 open forum for discussion of these issues, and that
9 individuals can express their views without
10 interruption. Thus, as a gentle reminder,
11 individuals will be allowed to speak into the
12 record only if recognized by the chairperson. We
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in their Sunshine
16 Act, we ask that the advisory committee members
17 take care that their conversations about the topic
18 at hand take place in the open forum of the
19 meeting. We are aware that members of the media
20 are anxious to speak with the FDA about these
21 proceedings; however, FDA will refrain from
22 discussing the details of this meeting with the

1 media until its conclusion. Also, the committee is
2 reminded to please refrain from discussing the
3 meeting topics during breaks or lunch. Thank you.

4 Dr. Stevenson will read the Conflict of
5 Interest Statement for the meeting.

6 **Conflict of Interest Statement**

7 DR. STEVENSON: Thank you.

8 The Food and Drug Administration is
9 convening today's meeting of the Oncologic Drugs
10 Advisory Committee under the authority of the
11 Federal Advisory Committee Act of 1972. With the
12 exception of the industry representative, all
13 members and temporary voting members of the
14 committee are special government employees or
15 regular federal employees from other agencies and
16 are subject to federal conflict of interest laws
17 and regulations.

18 The following information on the status of
19 this committee's compliance with federal ethics and
20 conflict of interest laws, covered by but not
21 limited to those found at 18 U.S.C. Section 208, is
22 being provided to participants in today's meeting

1 and to the public.

2 FDA has determined that members and
3 temporary voting members of this committee are in
4 compliance with federal ethics and conflict of
5 interest laws. Under 18 U.S.C. Section 208,
6 Congress has authorized FDA to grant waivers to
7 special government employees and regular federal
8 employees who have potential financial conflicts
9 when it is determined that the agency's need for a
10 special government employee's services outweighs
11 their potential financial conflict of interest, or
12 when the interest of a regular federal employee is
13 not so substantial as to be deemed likely to affect
14 the integrity of the services which the government
15 may expect from the employee.

16 Related to the discussions of today's
17 meeting, members and temporary voting members of
18 this committee have been screened for potential
19 financial conflicts of interests of their own as
20 well as those imputed to them, including those of
21 their spouses or minor children and, for purposes
22 of 18 U.S.C. Section 208, their employers. These

1 interests may include investments; consulting;
2 expert witness testimony; contracts, grants,
3 CRADAs; teaching, speaking, writing; patents and
4 royalties; and primary employment.

5 Today's agenda involves discussion of a
6 supplemental biologics license application, sBLA,
7 761069/S-043, for Imfinzi, durvalumab, injection,
8 submitted by AstraZeneca UK Limited. The proposed
9 indication, use, is Imfinzi in combination with
10 platinum-containing chemotherapy as neoadjuvant
11 treatment followed by Imfinzi as monotherapy after
12 surgery, for the treatment of adult patients with
13 resectable, tumors greater than or equal to
14 4 centimeters and/or node positive non-small cell
15 lung cancer, NSCLC, and no known epidermal growth
16 factor receptor mutations or anaplastic lymphoma
17 kinase rearrangements.

18 This is a particular matters topic during
19 which specific matters related to AstraZeneca's
20 sBLA will be discussed. The committee will also be
21 asked to discuss whether drug's sponsor should be
22 required to adequately justify treatment of

1 patients both before and after surgery for
2 resectable NSCLC prior to an approval that would
3 include both neoadjuvant and adjuvant therapy.
4 This is a particular matters topic during which
5 general issues will be discussed.

6 Based on the agenda for today's meeting and
7 all financial interests reported by the committee
8 members and temporary voting members, no conflict
9 of interest waivers have been issued in connection
10 with this meeting. To ensure transparency, we
11 encourage all standing committee members and
12 temporary voting members to disclose any public
13 statements that they have made concerning the
14 product or topic at issue.

15 With respect to FDA's invited industry
16 representative, we would like to disclose that
17 Dr. Tara Frenkl is participating in this meeting as
18 a non-voting industry representative, acting on
19 behalf of regulated industry. Dr. Frenkl's role at
20 this meeting is to represent industry in general
21 and not any particular company. Dr. Frenkl is
22 employed by Bayer Pharmaceuticals.

1 We would like to remind members and
2 temporary voting members that if the discussions
3 involve any other products, firms, or topics not
4 already on the agenda for which an FDA participant
5 has a personal or imputed financial interest, the
6 participants need to exclude themselves from such
7 involvement, and their exclusion will be noted for
8 the record. FDA encourages all participants to
9 advise the committee of any financial relationships
10 that they may have with the firm at issue and
11 regarding the topic that could be affected by the
12 committee's discussions.

13 Thank you. I'll hand it back to the Chair.

14 DR. SPRATT: Thank you.

15 We will now proceed with the FDA opening
16 remarks, starting with Dr. Erin Larkins.

17 **FDA Opening Remarks - Erin Larkins**

18 DR. LARKINS: Good morning. My name is
19 Dr. Erin Larkins. I'm a medical oncologist and the
20 Acting Director for the Division of Oncology 2. We
21 will be discussing two topics today, the results of
22 the AEGEAN trial and the issue of contribution of

1 treatment phase in perioperative trials. I will
2 begin by providing an overview of the topics for
3 discussion at today's advisory committee meeting.

4 To set the stage for today's meeting, it is
5 necessary to describe the various designs of
6 trials, which have been conducted to assess
7 anti-PD-L1 antibodies, hereafter referred to as
8 immune checkpoint inhibitors, or ICIs, as part of
9 the treatment approach for early-stage, non-small
10 cell lung cancer.

11 There have been trials investigating ICIs
12 given only prior to surgery, termed a
13 neoadjuvant-only treatment approach; trials
14 investigating ICI given only after surgery, an
15 adjuvant-only approach; and trials investigating
16 ICI given in both neoadjuvant and adjuvant phases
17 of treatment. The main focus of today's discussion
18 relates to trials investigating ICI given both
19 before and after surgery, which we will refer to as
20 the neoadjuvant and adjuvant phases of therapy. In
21 the context of FDA's presentation, we will be using
22 the term "perioperative" for regimens incorporating

1 a new therapy into both the neoadjuvant and
2 adjuvant phases of therapy.

3 Today, we will be discussing two related
4 topics, a specific marketing application intended
5 to support a perioperative ICI treatment approach
6 based on the results of the AEGEAN trial, as well
7 as design considerations for future trials intended
8 to support perioperative treatment approaches. The
9 overarching issue tying these topics together is
10 the inability of 2-arm trial designs to address the
11 contribution of each phase of therapy in a
12 perioperative treatment regimen, resulting in the
13 potential for overtreatment.

14 In a 2-arm trial, the relative contribution
15 of each phase, the neoadjuvant phase and adjuvant
16 phase, cannot be established, making it unclear if
17 patients need both phases of therapy. In the past,
18 FDA has granted approvals to perioperative ICI
19 regimens based on 2-arm trial designs, one in
20 breast cancer and one in non-small cell lung
21 cancer; however, emerging data in non-small cell
22 lung cancer has heightened uncertainty around the

1 need for both phases of treatment, leading to the
2 potential for overtreatment with exposure to
3 avoidable toxicity and increased patient burden.

4 This emerging data, as well as proposals for
5 2-arm trial designs for new add-on perioperative
6 regimens, has necessitated this open public
7 discussion on the need to better establish the
8 contribution of each phase to the treatment effect
9 of perioperative regimens.

10 Currently approved treatment options for
11 resectable non-small cell lung cancer incorporating
12 ICI include two using ICI in the adjuvant phase
13 only; one with ICI given in the neoadjuvant phase
14 only; and one perioperative regimen with ICI
15 administered in both the neoadjuvant and adjuvant
16 phases of treatment.

17 The adjuvant-only and neoadjuvant-only
18 approvals were based on event-free survival with
19 statistical significance for overall survival not
20 yet demonstrated in these trials. At the time of
21 approval of pembrolizumab for use as a
22 perioperative neoadjuvant and adjuvant regimen, the

1 KEYNOTE-671 trial had demonstrated a statistically
2 significant improvement in both event-free survival
3 and overall survival.

4 This next slide shows the available data for
5 approved treatment options, AEGEAN, and publicly
6 available results for another multiregional
7 perioperative trial, CheckMate-77T. While we
8 acknowledge that cross-trial comparisons limit the
9 ability to draw definitive conclusions from this
10 data and are particularly problematic for
11 comparisons between adjuvant-only regimens and
12 those incorporating ICI in the neoadjuvant phase,
13 the observation of similar treatment effect sizes
14 across trials raises concerns for the possibility
15 of overtreatment when using a regimen approach
16 incorporating ICI in both phases of treatment.
17 With this background, I will now provide a
18 high-level overview of the AEGEAN study design and
19 results.

20 AEGEAN is a 2-arm trial comparing
21 neoadjuvant durvalumab and chemotherapy followed by
22 surgery and one year of adjuvant durvalumab to

1 neoadjuvant chemotherapy followed by surgery and
2 placebo. The primary endpoints are pathologic
3 complete response and event-free survival, with
4 overall survival one of the key secondary
5 endpoints. Additional details regarding the
6 overall trial design and the statistical analysis
7 plan will be presented by the applicant and
8 reviewed during the upcoming FDA presentation.

9 At the time the AEGEAN trial was initially
10 proposed, FDA raised concerns regarding the
11 inability of a 2-arm trial design to assess the
12 contribution of each phase of therapy to the
13 overall treatment effect of the perioperative
14 regimen and recommended that an adaptive or
15 factorial study design be considered. FDA provided
16 similar advice across development programs
17 proposing such 2-arm trials. Despite this advice,
18 the applicant opted to proceed with a 2-arm trial.

19 At the first interim analysis of event-free
20 survival, AEGEAN demonstrated a statistically
21 significant and clinically meaningful improvement
22 in event-free survival with a hazard ratio of 0.68,

1 favoring the durvalumab arm. Median event-free
2 survival was not reached in the durvalumab arm with
3 a lower bound of the 95 percent confidence interval
4 of 31.9 months. In the control arm, the median
5 event-free survival was 25.9 months with a
6 confidence interval of 18.9 months to not reached.
7 In the Kaplan-Meier plot for event-free survival,
8 the curves begin to separate at 3 months and
9 remained separated, favoring the durvalumab arm.
10 In the statistical testing hierarchy for AEGEAN,
11 testing of disease-free survival preceded testing
12 of overall survival.

13 At the time of the first interim analysis of
14 event-free survival, the results for disease-free
15 survival were not statistically significant,
16 precluding formal testing of overall survival. At
17 the prespecified second interim analysis for
18 AEGEAN, disease-free survival had still not reached
19 statistical significance. While overall survival
20 could not be formally tested, a descriptive
21 analysis at interim analysis 2 showed a hazard
22 ratio of 0.89 with an upper limit of the 95 percent

1 confidence interval of 1.14.

2 We acknowledge that the AEGEAN trial met its
3 primary endpoint and demonstrated a statistically
4 significant and clinically meaningful improvement
5 in event-free survival. In general, event-free
6 survival is considered an acceptable endpoint to
7 support approval in the disease setting of
8 early-stage resectable non-small cell lung cancer,
9 with the ability to support approval dependent on
10 the magnitude of effect, the toxicity profile, and
11 reassurance of no detrimental effect on overall
12 survival.

13 In the current case, the endpoint is not the
14 issue. The major issue is the inability to assess
15 the contribution of the individual phases of
16 treatment to the observed treatment effect, with
17 heightened concern for this issue given the
18 accumulating data in the non-small cell lung cancer
19 space. As communicated by FDA to the sponsor prior
20 to initiation of the trial, the AEGEAN trial as
21 designed does not allow for determination of
22 whether it is truly necessary to administer

1 durvalumab in both the neoadjuvant treatment phase
2 and for an additional one year after surgery in
3 order to achieve the observed improvement in
4 event-free survival.

5 Even if a statistically significant
6 improvement in overall survival is eventually
7 demonstrated in the AEGEAN trial, the inability to
8 demonstrate contribution of phase would still be
9 problematic. While demonstration of an overall
10 survival benefit might seem to mitigate the
11 deficiency in trial design, it does not address the
12 core issue of whether both phases of therapy are
13 necessary to achieve the observed benefit. It can
14 provide reassurance that treatment is not resulting
15 in a number of deaths due to toxicity that exceeds
16 the number of deaths in the control arm, but it
17 does not capture long-term toxicities or patient
18 burden and leaves open the question of potentially
19 exposing patients to unnecessary therapy.

20 As discussed at the beginning of this
21 presentation, there's accumulating data revealing
22 similar effects across trials for perioperative

1 versus neoadjuvant-only or adjuvant-only regimens
2 that raises concerns for the possibility of
3 overtreatment when using a perioperative regimen
4 approach incorporating ICI in both phases of
5 treatment.

6 In addition, at the end of June, the
7 applicant released a statement regarding the
8 high-level results from a large multicenter trial
9 of adjuvant durvalumab for patients with resected
10 non-small cell lung cancer conducted by the
11 Canadian Cancer Trials Group, Study BR.31. The
12 trial did not achieve statistical significance for
13 the primary endpoint of disease-free survival in
14 patients whose tumors expressed PD-L1 on 25 percent
15 or more tumor cells.

16 While this does not rule out the possibility
17 that adjuvant durvalumab may provide additional
18 benefit when used after neoadjuvant durvalumab and
19 chemotherapy, the results of Study BR.31 do add to
20 the uncertainty regarding the contribution of
21 adjuvant durvalumab to the treatment effect
22 observed in AEGEAN.

1 In the upcoming presentations, you will hear
2 about ongoing efforts to conduct trials to address
3 questions related to contribution of phase. These
4 cooperative group trials are very important and
5 will provide valuable information to help
6 clinicians determine how to best incorporate ICIs
7 into the treatment of resectable non-small cell
8 lung cancer; however, these trials are not designed
9 to answer the question of contribution of phase for
10 each phase of treatment in all patients eligible to
11 receive perioperative therapy. Additionally, these
12 trials will take many years to conduct and read
13 out, and it is possible that the field may have
14 moved on and the treatment landscape will have
15 evolved by the time these results are available.

16 This brings us to the second topic for
17 discussion at today's advisory committee meeting,
18 design of future trials in this setting. There are
19 now several FDA-approved options incorporating ICI
20 into the treatment of resectable non-small cell
21 lung cancer, and there is increasing interest in
22 adding new therapies onto these approved

1 treatments.

2 For new add-on treatments there are contexts
3 in which a 2-arm trial design would be appropriate.
4 This would include studies adding a new therapy to
5 only one phase of treatment, adding the new agent
6 to either the neoadjuvant phase or the adjuvant
7 phase of therapy. However, adding a new therapy to
8 both phases of treatment will only perpetuate the
9 problem we're discussing today, and given an
10 expected increase in toxicity with add-on regimens,
11 we believe it is even more important to move away
12 from 2-arm trial designs when proposing to add a
13 new therapy to both the neoadjuvant and adjuvant
14 phases of treatment. This issue is relevant now,
15 as we have seen proposals for 2-arm trials adding a
16 new therapy to a perioperative ICI backbone in both
17 the neoadjuvant and adjuvant phases of treatment.

18 As we've discussed, there is uncertainty
19 regarding whether the use of ICI in both phases of
20 therapy is necessary to achieve the observed
21 clinical benefit. Even if one considers a
22 standard-of-care backbone incorporating ICI in both

1 the neoadjuvant and adjuvant phases of therapy
2 appropriate, a 2-arm trial design incorporating a
3 new therapy into both phases of treatment will only
4 add to the uncertainty and the potential for
5 overtreatment. As treatment regimens are
6 intensified with the addition of new agents or new
7 mechanisms of action added to anti-PD-L1 therapy,
8 this can be expected to result in additional
9 toxicity.

10 Letting such 2-arm trials move forward will
11 further perpetuate the uncertainty regarding
12 contribution of treatment phase and the potential
13 for overtreatment. The expectation of additional
14 toxicity with intensification of therapy makes it
15 even more important to have evidence that the
16 additional toxicity and burden of new therapy to
17 each phase of treatment is necessary to achieve
18 benefit. Given this, we feel it is important to
19 discuss alternative trial designs which will allow
20 for some within-trial assessment of the
21 contribution of each phase of therapy to the
22 treatment effect. While we acknowledge that this

1 will necessitate larger trials, we believe such
2 trials are feasible, as will be discussed in FDA's
3 main presentation.

4 As shown here, a 4-arm trial design would
5 provide the most rigorous characterization of
6 contribution of phase. A 4-arm trial would allow
7 for separate assessments at the investigational
8 therapy when given as neoadjuvant therapy only,
9 adjuvant therapy only, and as a perioperative
10 regimen. We acknowledge that, depending on the
11 expected effect size, a 4-arm design may require a
12 larger sample size and may not be practical to
13 conduct.

14 There are several reasons why the biggest
15 concern for overtreatment in a perioperative
16 ICI-containing regimen may be the prolonged
17 treatment in the adjuvant phase; in other words,
18 whether the treatment effect is driven by the
19 neoadjuvant phase with little additional efficacy
20 derived from the adjuvant phase. As such, one
21 alternative approach could be to consider a 3-arm
22 trial design incorporating experimental arms

1 assessing the investigational therapy given only in
2 the neoadjuvant phase and as a perioperative
3 regimen.

4 With removal of the adjuvant-only
5 investigational add-on arm, uncertainty will remain
6 regarding the relative contribution of the
7 neoadjuvant phase of therapy to the effect of the
8 perioperative regimen, but within-trial data on the
9 contribution of the adjuvant phase will be
10 obtained.

11 While it is tempting to throw up our hands
12 and say that conducting multiarm trials is too
13 burdensome, the risk of overtreatment will only be
14 compounded as new treatments are being added on to
15 a standard-of-care backbone. Given the expectation
16 of additional toxicity with intensification of
17 treatment, it becomes even more important to assess
18 what each phase of therapy is contributing to the
19 overall treatment effect. Continued use of 2-arm
20 trial designs will further exacerbate the risk of
21 overtreatment. We believe that to best serve
22 patients and the oncology community, more

1 thoughtful trial designs are necessary. We look
2 forward to the advisory committee's discussion on
3 how to approach future designs in this development
4 space.

5 There are two topics we are asking the
6 committee to discuss today. The first is related
7 to how to approach the data already in hand, the
8 results of the AEGEAN trial. The first topic for
9 the committee's consideration is, in light of the
10 uncertainty around the need for both phases of
11 treatment, discuss whether an additional trial
12 should be conducted to clarify the contribution of
13 treatment phase for the durvalumab perioperative
14 regimen prior to approval.

15 The second discussion topic and question for
16 the committee is how to move forward with future
17 trial designs in this space when proposing to
18 assess a new therapy in both phases of a
19 perioperative regimen; specifically, should FDA
20 require that new trial design proposals for
21 perioperative regimens for resectable non-small
22 cell lung cancer include adequate within-trial

1 assessment of contribution of treatment phase?

2 Thank you for your time. I'm going to turn
3 it back over to the chair.

4 DR. SPRATT: Thank you, Dr. Larkins.

5 Both the Food and Drug Administration and
6 the public believe in a transparent process for
7 information gathering and decision making. To
8 ensure such transparency at the advisory committee
9 meeting, FDA believes that it is important to
10 understand the context of an individual's
11 presentation.

12 For this reason, FDA encourages all
13 participants, including the applicant's
14 non-employee presenters, to advise the committee of
15 any financial relationships that they may have with
16 the applicant, such as consulting fees, travel
17 expenses, honoraria, and interest in the applicant,
18 including equity interests and those based upon the
19 outcome of this meeting.

20 Likewise, FDA encourages you at the
21 beginning of your presentation to advise the
22 committee if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your presentation, it will not preclude you from
4 speaking.

5 We will now proceed with the presentations
6 from AstraZeneca UK Limited.

7 **Applicant Presentation - Leora Horn**

8 DR. HORN: Members of the advisory
9 committee, FDA, and guests, good morning. My name
10 is Dr. Leora Horn, and I'm a thoracic medical
11 oncologist from Vanderbilt University. I joined
12 AstraZeneca four years ago, and I'm currently the
13 Vice President and Head of Clinical Development
14 Late Oncology and the Global Clinical Strategy Head
15 for Lung Cancer. I'm pleased to be here today to
16 introduce the AEGEAN study of durvalumab in
17 resectable non-small cell lung cancer.

18 Durvalumab, also known as Imfinzi, is a
19 well-established, anti-PD-L1 monoclonal antibody
20 engineered to prevent antibody-dependent
21 cell-mediated cytotoxicity. The proposed
22 indication for AEGEAN regimen is durvalumab in

1 combination with platinum-containing chemotherapy
2 as neoadjuvant treatment followed by durvalumab as
3 monotherapy after surgery for the treatment of
4 adult patients with resectable non-small cell lung
5 cancer and no known EGFR mutations or ALK
6 rearrangements.

7 There are multiple ongoing trials with
8 durvalumab and lung cancer in a variety of
9 settings. Currently, durvalumab is approved in
10 over 70 countries in locally advanced and
11 metastatic non-small cell lung cancer, small cell
12 lung cancer, endometrial biliary tract, and
13 hepatocellular carcinoma. The AEGEAN regimen is
14 approved in Switzerland and the United Kingdom.

15 Today, we are discussing a new indication
16 for durvalumab based on the AEGEAN study. AEGEAN
17 is a large randomized, placebo-controlled study
18 designed to evaluate neoadjuvant and adjuvant
19 durvalumab in patients with resectable non-small
20 cell lung cancer. Patients were randomized to
21 receive neoadjuvant carbo-platinum or cis-platinum
22 doublet in combination with durvalumab or placebo.

1 Upon completing the neoadjuvant phase, patients
2 proceeded to surgery, followed by 12 months of
3 adjuvant durvalumab or placebo.

4 AEGEAN met its prespecified dual primary
5 endpoints with a statistically significant
6 improvement in pathologic complete response and
7 event-free survival. The latter is a
8 well-established endpoint in registrational trials
9 in resectable non-small cell lung cancer.

10 In 2018, when AEGEAN was designed, the
11 optimal trial to determine contribution of phase
12 was not well characterized and has since become a
13 focus with readouts of studies in early-stage
14 disease. Resectable non-small cell lung cancer has
15 a high rate of recurrence and systemic therapy is
16 given for the treatment of micrometastatic disease.

17 There were important scientific and clinical
18 considerations taken into account when designing a
19 regimen with the greatest potential to benefit
20 patients. First, the transformative overall
21 survival outcomes were seen in metastatic non-small
22 cell lung cancer with 4 cycles of induction

1 platinum-doublet and immunotherapy, followed by
2 maintenance immunotherapy for up to 2 years such as
3 seen in KEYNOTE-189. Second, the optimal duration
4 of immunotherapy remains unknown. CheckMate-153
5 compared one year to indefinite immunotherapy and
6 found improved survival with longer duration of
7 treatment. Third, a phase 2 trial with neoadjuvant
8 nivolumab in non-small cell lung cancer suggested
9 that 2 doses was not enough for sustained T-cell
10 activation and maximizing IO responses.

11 And finally, the PACIFIC study showed us
12 that one year of durvalumab following definitive
13 chemoradiation in unresectable stage III non-small
14 cell lung cancer dramatically improved survival and
15 is the global standard of care; therefore, AEGEAN
16 was designed with induction chemo and immunotherapy
17 that aligned with the standard-of-care chemotherapy
18 followed by up to one year of adjuvant
19 immunotherapy.

20 AZ engaged with the FDA in 2018, before
21 starting and throughout the AEGEAN study, including
22 at the time of primary readout in 2023. The AEGEAN

1 design was similar to three other pivotal
2 perioperative studies in non-small cell lung cancer
3 that it started to enroll around the same time.
4 There are currently around 20 ongoing studies with
5 comparable designs in multiple tumor indications,
6 including over 17,000 patients with early-stage
7 disease.

8 During the course of the study conduct,
9 there have been two approvals in the adjuvant
10 non-small cell lung cancer setting, one
11 neoadjuvant-only and one perioperative approval,
12 KEYNOTE-671, which had a similar trial design that
13 did not isolate contribution of phase. The AEGEAN
14 data met the agency criteria based on the
15 demonstrated benefits on event-free survival,
16 supported by pathologic complete response with no
17 detriment in overall survival.

18 As with any study, there remains some
19 unanswered questions, including the question of
20 contribution of phase. We are leading the field
21 with planned cooperative group trials that we will
22 share, along with ad hoc analysis from AEGEAN that

1 may shed some light on this topic.

2 Our presentation today will cover the
3 standard of care in resectable non-small cell lung
4 cancer at the time AEGEAN was designed and today.
5 Details of primary and key secondary endpoints met
6 by the study to support a favorable benefit-risk
7 profile and how AEGEAN helps address an unmet need
8 for patients with resectable non-small cell lung
9 cancer. We will also discuss considerations for
10 the designs of planned studies to answer some of
11 the questions emerging from the AEGEAN and other
12 perioperative studies.

13 Thank you, and now I'd like to invite
14 Dr. Marina Garassino from the University of Chicago
15 to discuss the disease background.

16 **Applicant Presentation - Marina Garassino**

17 DR. GARASSINO: Good morning, and thank you,
18 Dr. Horn.

19 I am Marina Garassino. I am the Director of
20 the Thoracic Program at the University of Chicago.
21 I'm not a paid consultant for the sponsor in this
22 role, but I have previously served as a consultant

1 for AstraZeneca, and I was previously involved in
2 AstraZeneca trials but not in the AEGEAN trial, and
3 I was on the steering committee of KEYNOTE-671.

4 Lung cancer is the second most common cancer
5 by incidence and the most lethal, representing a
6 significant public health concern. In the United
7 States, resectable non-small cell lung cancer
8 comprises nearly 50 percent of all diagnosed
9 non-small cell lung cancer cases. As you can see,
10 survival rates decline sharply with stage, and
11 55 to 83 percent of patients such as those in
12 AEGEAN have died within five years.

13 In 2018, treatment recommendations were
14 guided by a multidisciplinary team. For patients
15 with resectable disease, the standard of care was
16 3 to 4 cycles of a platinum-based chemotherapy
17 either before or after the surgery, with a modest
18 5 percent absolute improvement in survival. For
19 patients with unresectable disease, the standard of
20 care changed with the FDA approval of one year of
21 durvalumab after chemoradiation, based on the
22 results of the PACIFIC trial. This represented the

1 introduction of immunotherapy as a standard of care
2 into the curative intent setting.

3 The phase 3 PACIFIC trial randomized
4 patients with unresectable stage III non-small cell
5 lung cancer to receive one year of durvalumab or
6 placebo after definitive chemoradiation. The study
7 demonstrated a significant and meaningful
8 improvement in progression-free survival and
9 overall survival with a tolerable safety profile.

10 Another major advance was the discovery of
11 the synergistic effect of the combination of
12 chemotherapy and immunotherapy. Several phase 3
13 trials of immunotherapy and platinum-doublet
14 chemotherapy in first-line metastatic non-small
15 cell lung cancer demonstrated a significant
16 survival benefit. These studies led to FDA
17 approval and remain the standard of care in the
18 first-line metastatic setting.

19 In this trial, immunotherapy was given with
20 4 cycles of chemotherapy and empirically continued
21 for 2 years or until progression. Given the
22 results of the metastatic setting, together with

1 the strong benefit of immunotherapy in a resectable
2 setting, AEGEAN was designed. Patients received
3 4 cycles of chemotherapy and immunotherapy as in
4 the metastatic setting, followed by surgery and
5 adjuvant immunotherapy.

6 The goals of the neoadjuvant treatment are
7 to reduce the volume of the tumor before surgery
8 and for early suppression of micrometastatic
9 disease. With neoadjuvant immunotherapy
10 specifically, there is an additional benefit
11 maximizing T-cell activation and recognition while
12 the tumor is still present, and with the addition
13 of the adjuvant immunotherapy, we ensure a
14 consolidation of the antitumor activity, allowing
15 the ongoing suppression and elimination of
16 micrometastatic disease.

17 Today in 2024, there are multiple options
18 for patients with resectable non-small cell lung
19 cancer. The first is neoadjuvant only given after
20 the surgery; the second is the neoadjuvant only
21 given before the surgery; and the third is the
22 perioperative approach with neoadjuvant followed by

1 surgery, followed by adjuvant like in AEGEAN in the
2 KEYNOTE-671, which was recently approved by FDA.

3 It is important to share every option in a
4 multidisciplinary tumor board and to discuss each
5 option with each patient. In the adjuvant setting,
6 there are two large trials, including nearly
7 2200 patients evaluating immunotherapy after
8 surgery. The primary endpoint in both trials was
9 disease-free survival, which includes recurrence
10 and death. This trial showed a 15 to 20 percent
11 reduction in the risk of a DFS event in all
12 randomized patients.

13 In the neoadjuvant-only approach, we have
14 one single, small randomized trial, including
15 358 patients evaluating chemoimmunotherapy for
16 3 cycles prior to surgery. Unlike the adjuvant
17 trials, the primary endpoint here was event-free
18 survival, which includes progression precluding
19 surgery, as well as recurrence and death. This
20 study showed a 34 percent reduction in the risk of
21 an event-free survival event.

22 Now we move to the three large perioperative

1 studies including about 2,000 patients. The
2 primary endpoint is event-free survival for all
3 these trials. It should be noted that KEYNOTE-671
4 only permitted cisplatin-based chemotherapy, while
5 AEGEAN and CheckMate-77T permitted either
6 cisplatin- or carboplatin-based chemotherapy, which
7 is the preferred platinum in the United States.
8 These studies represent a large body of evidence
9 and demonstrate a 30 to 40 percent reduction in the
10 risk of an event-free survival event consistent
11 across all trials.

12 Let's move to the quality of life that is
13 crucial for patients' choices. The quality-of-life
14 data which I presented at ASCO from the
15 perioperative KEYNOTE-671 showed that there is no
16 difference between treatment arms over time. In
17 particular, adjuvant immunotherapy does not have a
18 negative impact on the patient's quality of life,
19 and you will see similar quality-of-life results
20 were observed also in the AEGEAN.

21 To conclude, immunotherapy has transformed
22 outcomes for non-small cell lung cancer patients in

1 recent years. FDA has approved multiple regimens
2 for patients with metastatic non-small cell lung
3 cancer. The field is moving agents from metastatic
4 to early-stage disease, where patients have their
5 one and only chance for a cure. FDA has approved
6 comparatively fewer regimens in early-stage
7 disease.

8 Durvalumab is an established standard of
9 care with substantial experience in stage III
10 non-small cell lung cancer. AEGEAN is an important
11 study that adds to the treatment choices for
12 patients with resectable disease with no detriment
13 in patient quality of life. Thank you for your
14 attention, and I would like to invite Dr. Doherty
15 to the podium.

16 **Applicant Presentation - Gary Doherty**

17 DR. DOHERTY: Thank you, Dr. Garassino.

18 I am Gary Doherty. I'm a medical oncologist
19 and global clinical program lead at AstraZeneca,
20 and it's my pleasure today to present the efficacy
21 outcomes from the AEGEAN study. AEGEAN is an
22 ongoing, double-blind, placebo-controlled, phase 3

1 study. 802 patients with previously untreated
2 resectable stage II to IIIB non-small cell lung
3 cancer were randomized to one of two arms, either
4 durvalumab and platinum-based chemotherapy given
5 every 3 weeks for 4 cycles, followed by surgery,
6 followed by durvalumab given every 4 weeks for
7 12 cycles; or placebo and chemotherapy, then
8 surgery, then placebo, according to the same
9 schedule.

10 Randomization was stratified by disease
11 stage and by PD-L1 expression, and the primary
12 endpoints were pathological complete response, or
13 pCR, using IASLC guidelines, and event-free
14 survival, or EFS, using blinded independent central
15 review, or BICR, per RECIST 1.1. Key secondary
16 endpoints were major pathological response, or MPR,
17 disease-free survival, or DFS, using BICR, and
18 overall survival. Other secondary endpoints
19 included patient-reported outcomes and safety and
20 tolerability.

21 Here we see the MTP, or multiple testing
22 procedure, with pathological endpoints on the left.

1 The dual primary endpoint of pCR was tested with
2 0.5 percent alpha which passed to MPR. Given that
3 both were statistically significant, the rest of
4 the hierarchical MTP, including the dual primary
5 endpoint of EFS, was tested with 5 percent alpha.
6 On the right, we see the study analysis sets.
7 802 patients were randomized. The safety analysis
8 set comprised all patients who received study
9 treatment. Efficacy analyses were performed in the
10 740 patients with no known EGFR mutations or ALK
11 gene rearrangements, and this is the modified ITT
12 or mITT population, and patients in this population
13 with no evidence of disease postsurgery comprised
14 the resected population relevant for DFS.

15 Recruitment started in January 2019 and
16 continued until April 2022, and there have been
17 four DCOs to date in the study. The first was for
18 the pCR interim analysis, where statistical
19 significance was demonstrated for both pCR and MPR.
20 DCO2 was for both the final analysis of
21 pathological outcomes for all patients and the
22 first interim analysis of DFS, at which EFS was

1 statistically significant.

2 DCO3 was an ad hoc DCO agreed with the FDA
3 for standard safety as well as updated overall
4 survival data, and DCO4 is the latest DCO with a
5 minimum patient follow-up of around 25 months, from
6 which updated EFS and OS data, and now also DFS
7 data, are available. Safety and tolerability were
8 assessed at each DCO and PROs at DCOs 2 and 4.

9 Here is a summary of disposition for the
10 mITT population at DCO4. Please note that the
11 denominators for all percentages here are 366 for
12 the durvalumab arm and 374 for the placebo arm.
13 Similar proportions in each arm completed all four
14 planned cycles of neoadjuvant chemotherapy and
15 durvalumab or placebo. Similar proportions
16 completed on-study surgery, and patients should
17 have had surgical resection and a post-operative
18 scan before proceeding to adjuvant treatment.

19 Around two-thirds of patients commenced
20 adjuvant treatment, with 40 to 45 percent
21 completing all planned adjuvant cycles, and of
22 those who started adjuvant treatment, 69 percent of

1 patients in the durvalumab arm and 64 percent of
2 patients in the placebo arm completed all planned
3 adjuvant treatment. At DCO4, no patients were
4 continuing on any study treatment, with all
5 patients having completed the required safety
6 follow-up.

7 Baseline characteristics of patients as
8 shown here are well balanced across study arms and
9 are representative of the target population of
10 patients with resectable non-small cell lung
11 cancer. Likewise, baseline disease characteristics
12 are generally representative of the target
13 population and are well balanced. There is
14 representation of the eligible disease stages with
15 around half of patients having N2 disease.
16 Squamous and non-squamous histologies, as well as
17 PD-L1 subgroups, were equally represented. AEGEAN
18 provided important flexibility in platinum choice
19 with platinum treatments being well balanced.
20 Carboplatin was the platinum base most preferred by
21 investigators in AEGEAN, consistent with U.S.
22 practice.

1 Outcomes for the primary endpoint of pCR are
2 shown here. On the left, we see the pCR interim
3 analysis, where statistical significance was
4 achieved for the 402 patients in the interim mITT
5 population. Outcomes of the final analysis in the
6 full population were highly consistent, and at the
7 final analysis, pCR was achieved for 4.3 percent of
8 patients in the placebo arm and 17.2 percent of
9 patients in the durvalumab arm. A key secondary
10 endpoint of MPR was also statistically significant,
11 increase from 12.3 percent of patients in the
12 placebo arm to 33.3 in the durvalumab arm.
13 Outcomes were also supported by improvement in the
14 presurgical objective response rate.

15 At DCO2, the first interim analysis of EFS,
16 the addition of perioperative durvalumab resulted
17 in a statistically significant and clinically
18 meaningful 32 percent reduction in the risk of an
19 EFS event. EFS had a maturity of 32 percent with a
20 median follow-up in censored patients of 12 months.
21 The curve separated at around 3 months with
22 separation widening over time. Median EFS was not

1 reached for the durvalumab arm versus 25.9 months
2 for the placebo arm. The 1-year landmark EFS was
3 improved from 65 to 73 percent and the 2-year
4 landmark from 52 to 63 percent.

5 At DC04, with an additional 18 months of
6 study follow-up from DC02, the improvement in favor
7 of the durvalumab arm was maintained. The hazard
8 ratio remained consistent with that observed at
9 DC02 being 0.69 with a maturity of 39 percent. The
10 2-year landmark was improved with durvalumab from
11 54 to 65 percent, and the 3-year landmark from
12 48 to 60 percent.

13 Shown here from DC04, improvement in EFS was
14 observed across prespecified subgroups.
15 Improvement was observed regardless of age, sex,
16 race, smoking status, histology, and stage. An
17 improvement was also observed consistently across
18 PD-L1 subgroups and with both cisplatin and
19 carboplatin.

20 At DC04, the key secondary endpoint of
21 disease-free survival had a maturity of 30 percent.
22 The DFS hazard ratio was 0.66 with 95 percent

1 confidence intervals ranging from 0.47 to 0.92.
2 And while this endpoint was not formally
3 statistically significant, a clear and clinically
4 meaningful trend in favor of the durvalumab arm was
5 observed. As with EFS, the curve separated early
6 in favor of durvalumab and continued to separate
7 over time. Improvement was also observed in
8 landmark analyses, with improvement at 1 year from
9 74 to 81 percent and at 2 years from 62 to
10 75 percent.

11 Overall survival was not mature at DCO2,
12 with a summary being provided to the FDA to
13 facilitate benefit-risk assessment in the context
14 of statistically significant EFS. At that time,
15 the hazard ratio was 1.02 with wide confidence
16 intervals ranging from 0.75 to 1.39. At DC04, with
17 a maturity of 35 percent, the hazard ratio had
18 improved to 0.89, with a clear and sustained
19 separation in the curves starting from around
20 20 months. AEGEAN enrolled throughout the COVID-19
21 pandemic, including when vaccines were unavailable,
22 and a preplanned sensitivity analysis for patients

1 who died from COVID-19 were censored on their date
2 of death and resulted in a further improvement in
3 the OS hazard ratio from 0.89 to 0.84.

4 To summarize, AEGEAN is a well-conducted,
5 randomized, placebo-controlled study which met both
6 primary endpoints of pCR and EFS. At the final
7 analysis, the pCR rate in the durvalumab arm was
8 quadruple that of the comparator arm. Improvement
9 in EFS was maintained at the second interim
10 analysis, with a greater than 30 percent reduction
11 in the risk of any EFS events. These improvements
12 were observed across preplanned subgroups and were
13 accompanied by trends for improvements in both DFS
14 and OS. The totality of data from the study
15 support a strong and clinically meaningful benefit
16 from perioperative durvalumab, and the trial
17 continues for longer term efficacy data, including
18 overall survival.

19 Thank you, and I'd like to invite Dr. Patel
20 to share the safety findings from the study.

21 **Applicant Presentation - Mayur Patel**

22 DR. PATEL: Thank you, Dr. Doherty.

1 Hello. My name is Mayur Patel, and I'm the
2 Vice President for Patient Safety Oncology. Today,
3 I'll be presenting the clinical safety data for the
4 AEGEAN trial. The safety profile of durvalumab is
5 well characterized based on extensive exposure
6 across multiple tumor types and from the
7 postmarketing setting. The most common adverse
8 drug reactions include rash, pruritis, and pyrexia.
9 The majority are low grade and non-serious.
10 Immune-mediated events associated with durvalumab
11 are managed by withholding treatment,
12 corticosteroids, or endocrine therapy.

13 Safety data for the perioperative regimen
14 was reviewed in the neoadjuvant, surgical, and
15 adjuvant safety periods as outlined in the study
16 schema. The exposure was adequate to assess safety
17 and tolerability. The median number of treatment
18 cycles in both neoadjuvant and adjuvant periods was
19 similar between treatment arms.

20 The addition of durvalumab to chemotherapy
21 during the neoadjuvant period did not impact the
22 patient's ability to receive 4 cycles of

1 chemotherapy or undergo surgery. In the adjuvant
2 period, a similar proportion of patients completed
3 all 12 treatment cycles in both arms. Overall,
4 adverse events, grade 3/grade 4 adverse events, and
5 serious adverse events were generally comparable
6 across arms. The majority of adverse events
7 reported were non-serious and low grade.

8 Fewer grade 3 or 4 and serious adverse
9 events occurred in the adjuvant period. A higher
10 incidence of adverse events leading to
11 discontinuation was observed during the neoadjuvant
12 period, mostly from known chemotherapy toxicities.
13 Adverse events with fatal outcomes were numerically
14 higher in the durvalumab arm, with most being
15 assessed by investigators as unrelated to
16 treatment. Most fatal events occurred during the
17 surgical period. Importantly, there were few
18 deaths in the adjuvant period.

19 Adverse events treated with steroids,
20 immunosuppressants, or endocrine therapy were
21 classified as immune-mediated adverse events.
22 While these were observed in a higher incidence in

1 the durvalumab arm, most were low grade and
2 non-serious. Aside from endocrine events requiring
3 hormone replacement therapy, immune-mediated events
4 had mostly resolved on both arms. The most common
5 adverse events were hematologic and
6 gastrointestinal, consisting with chemotherapy
7 toxicities with frequency and severity similar in
8 both arms. As expected, rash, hypothyroid, and
9 pruritis were higher in the durvalumab arm. These
10 were mostly low grade.

11 The study was conducted at the height of the
12 global pandemic, and COVID-19 events were mainly
13 low grade across both arms. Serious adverse events
14 mainly reflected hematological chemotherapy
15 toxicities, the underlying disease, and surgical
16 complications. Pneumonia and COVID-19 were among
17 the most frequently reported SAEs in both arms.
18 This was not surprising for lung cancer patients
19 being treated during the pandemic. The majority of
20 serious adverse events were assessed as unrelated.
21 Pneumonitis is a known adverse drug reaction for
22 durvalumab and occurred mostly in the surgical and

1 adjuvant period.

2 There were numerically more deaths on the
3 durvalumab arm. The majority of the fatal adverse
4 events were observed in the neoadjuvant and
5 surgical period. The most frequent cause of death
6 on both arms was infection. The most common fatal
7 infections in the durvalumab arm were COVID-19.
8 Six COVID deaths were split across periods, with
9 three occurring within 30 days of surgery. COVID
10 deaths occurred during the peak of the pandemic
11 before vaccines were available. All patients had
12 multiple risk factors for COVID mortality. COVID
13 deaths were assessed as not related to any study
14 treatment.

15 Four fatal pneumonitis events were reported
16 on the durvalumab arm, three occurred within
17 22 days of surgery, and one fatal event occurred
18 during the adjuvant period. One fatal myocarditis
19 occurred in the neoadjuvant period. The remaining
20 fatal events occurred in single patients and were
21 associated with comorbidities or the post-surgical
22 complications.

1 The immune-mediated adverse events were
2 observed at a higher frequency in the durvalumab
3 arm. The most frequent immune-mediated adverse
4 events in both arms were hypothyroid events,
5 dermatologic reactions, and pneumonitis. The
6 majority of immune-mediated events were
7 non-serious, low grade, manageable, and resolved.
8 The majority of the unresolved events in the
9 durvalumab arm were endocrine events requiring
10 hormone replacement therapy.

11 So, what was the impact of treatment from
12 the patient's perspective? To answer that
13 question, we looked at patient-reported physical
14 function. This is a core patient-reported outcome
15 in oncology as noted in FDA's core patient-reported
16 outcomes in Cancer Clinical Trials Guidance. We
17 used Physical Function Scale from the QLQ-C30 to
18 evaluate the impact of both disease and
19 treatment-related symptoms on patients' ability to
20 perform activities that require physical effort.
21 The data was collected using site-based electronic
22 PROs every 3 weeks during the neoadjuvant period

1 and every 4 weeks during the adjuvant period.

2 This plot shows the mean change for physical
3 function by treatment arm over time with
4 neoadjuvant on the left and adjuvant on the right.
5 We observed little difference between arms during
6 the therapy, with no clinically meaningful changes,
7 indicating that adjuvant durvalumab did not have an
8 impact on a range of activities requiring physical
9 effort.

10 When looking more broadly at overall
11 health-related quality of life measured by Global
12 Health Status Quality of Life Scale, the results
13 were consistent with physical function. It's
14 reassuring to note that adjuvant durvalumab had no
15 clinically meaningful impact on overall
16 health-related quality of life, as well as physical
17 function.

18 In summary, the AEGEAN regimen demonstrated
19 a manageable safety profile consistent with
20 individual agents and no new safety findings were
21 observed. The majority of immune-mediated adverse
22 events were non-serious, low grade, and manageable.

1 There was no detrimental impact on patient-reported
2 physical function and global health status quality
3 of life. Thank you, and now I'd like to invite
4 Dr. John Heymach to provide his clinical
5 perspective.

6 **Applicant Presentation - John Heymach**

7 DR. HEYMACH: Thank you, Dr. Patel.

8 I'm John Heymach, Chair of Thoracic Head and
9 Neck Medical Oncology at MD Anderson Cancer Center.
10 I'm a paid consultant to the sponsor, and I'm a
11 thoracic medical oncologist who's treated lung
12 cancer patients for more than 20 years and served
13 as an investigator on the AEGEAN and many other
14 non-small cell lung cancer trials. Thank you for
15 this opportunity to share my perspective.

16 I'd like to start off by making four key
17 points to frame the discussion. First, unlike in
18 metastatic disease, in the resectable setting, the
19 goal of treatment is to remain disease free or be
20 cured. In my experience, given that more than
21 50 percent of patients recur, the majority would
22 select a more intensive treatment if it offered the

1 possibility of more benefit. Second, physicians
2 and patients prefer having the choice to tailor
3 therapies based on factors such as side effects,
4 comorbidities, biomarkers, and efficacy.

5 Third, although it has not been definitively
6 determined, data from multiple large randomized
7 trials strongly suggest that neoadjuvant and
8 adjuvant immunotherapy together is more effective
9 than neoadjuvant or adjuvant immunotherapy alone
10 for resectable non-small cell lung cancer.

11 I'd also note that we rarely, if ever, know
12 the optimal duration or contribution of each
13 component of therapy. For example, in metastatic
14 disease, we still don't know how much benefit
15 maintenance immunotherapy provides or whether two
16 years or indefinite therapy is better. Finally,
17 what we do know is that AEGEAN is a highly
18 effective and safe regimen that builds on our
19 extensive experience with durvalumab in the
20 adjuvant setting for locally advanced disease and
21 provides a choice of cisplatin or carboplatin.

22 Now, earlier studies have demonstrated that

1 adjuvant and neoadjuvant chemotherapy offer similar
2 modest improvements in outcomes. The NATCH study
3 directly compared neoadjuvant and adjuvant
4 chemotherapy and found no difference in outcomes,
5 but it did find a marked increase in compliance
6 with systemic therapy when chemotherapy was given
7 in the neoadjuvant setting.

8 Keep in mind there have been no randomized
9 clinical trials for lung cancer directly comparing
10 neoadjuvant, adjuvant, or perioperative
11 immunotherapy. We do know in murine models that
12 neoadjuvant immunotherapy was more effective than
13 adjuvant immunotherapy, and in the randomized
14 clinical trial, SWOG 1801, we see that
15 perioperative immunotherapy was superior to
16 adjuvant immunotherapy for resectable melanoma.
17 Since we don't have similar comparisons for lung
18 cancer, we can only compare results across trials,
19 which of course carry limitations.

20 With these limitations in mind, let's see
21 what's known from the studies of the same therapies
22 in different phases of treatments. First, let's

1 consider the adjuvant KEYNOTE-091 and the
2 perioperative KEYNOTE-671 studies of pembrolizumab.
3 Keep in mind that adjuvant studies like KEYNOTE-091
4 select for better prognosis patients because they
5 only randomize patients who've already completed
6 surgery and had an R0 resection and completed
7 adjuvant chemotherapy, and are able to receive
8 further systemic therapy. Typically, only
9 two-thirds of patients with resectable disease go
10 on to adjuvant therapy. Despite this difference,
11 you can see the hazard ratio favors the
12 perioperative regimen.

13 What about neoadjuvant versus perioperative
14 treatment? Here, we have the smaller neoadjuvant
15 CheckMate-816 study and the perioperative study of
16 77T, both with nivolumab. The hazard ratio favors
17 the perioperative regimen over neoadjuvant regimen
18 only.

19 Now, there's a question of whether some
20 patients could be overtreated with the
21 perioperative regimen and uncertainty about which
22 patients are benefiting most from the adjuvant

1 component. In CheckMate-77T and CheckMate-816,
2 it's clear that even patients that achieved a
3 pathologic complete response have a substantial
4 likelihood of recurring. Furthermore, regardless
5 of whether patients achieved a path CR or not,
6 there appear to be better outcomes in those who
7 received adjuvant nivolumab.

8 Taking a step back, my perspective is AEGEAN
9 is a large, global, placebo-controlled, randomized
10 trial that met its predefined primary endpoints,
11 with a statistically significant and clinically
12 meaningful improvement in both path CR and EFS and
13 a manageable safety profile. The design of the
14 study was similar to a host of other perioperative
15 studies, including KEYNOTE-671, which was approved
16 without demonstrating contribution of phase.

17 Although similar in design, AEGEAN is distinct from
18 KEYNOTE-671 in that it permitted the use of
19 carboplatin, which is the choice of roughly
20 three-fourths of physicians in these studies.

21 We now have the perspective of multiple
22 large adjuvant and perioperative studies,

1 comprising roughly 2,000 patients in each group,
2 and the smaller neoadjuvant CheckMate-816 study of
3 358 patients. As I noted earlier, the choice of
4 multiple effective regimens is good for both
5 patients and physicians, and while no study has
6 directly compared these approaches, the
7 perioperative regimens appear overall to have more
8 favorable hazard ratios and a larger body of
9 supporting evidence.

10 Finally, AEGEAN provides broadly similar
11 results to other perioperative regimens, which is
12 particularly clear when you compare the cisplatin
13 group of AEGEAN with KEYNOTE-671, which mandated
14 cisplatin, and here you can see essentially the
15 same hazard ratios of 0.59 and 0.58 between the
16 groups.

17 So how would I discuss AEGEAN with patients?
18 I'd highlight the efficacy benefit with both
19 cisplatin and carboplatin, which is particularly
20 important in real-world clinical practice, as well
21 as our long-standing experience with adjuvant
22 durvalumab from PACIFIC. In my opinion, for most

1 patients, undertreatment is a greater risk than
2 overtreatment when the goal of therapy is to
3 prevent recurrence and prolong survival. For all
4 these reasons, I consider AEGEAN to provide an
5 important new option that does not require
6 additional study before approval. I also believe
7 AEGEAN can serve as a foundation for new
8 combination regimens to improve patient outcomes.

9 So what's the best way of moving forward to
10 increase cure rates as quickly as possible? Given
11 the outcomes of this population, I believe the most
12 important question now is how to tailor novel
13 therapies that again increase the likelihood of
14 cures, such as those being explored in NeoCOAST-2.
15 For the majority of patients, I would not be
16 inclined to recommend a contribution of phase study
17 testing one phase of an established regimen given
18 the availability now of the KEYNOTE-671 regimen.

19 Importantly, if AEGEAN was designed as a
20 4-arm study originally, we would not have results
21 for many more years. Mandating every study address
22 contribution of phase would dramatically slow our

1 ability to develop new regimens and increase cures.
2 In short, while AEGEAN and other perioperative
3 regimens represent a meaningful advance, it's
4 important to remember that the majority of patients
5 today still recur, so we have a long way to go and
6 need to get there as quickly as possible for
7 patients. Thank you, and now I'd like to invite
8 Dr. Horn to return to the podium.

9 **Applicant Presentation - Leora Horn**

10 DR. HORN: Thank you, Dr. Heymach.

11 Now, I'd like to conclude with a summary of
12 the benefit-risk of the AEGEAN regimen and a brief
13 comment on future study designs. What you've seen
14 today is that AEGEAN has demonstrated a
15 statistically significant improvement in the
16 primary endpoints of event-free survival and
17 pathologic complete response. The benefit was
18 observed across all prespecified groups with a
19 trend towards improvement in overall survival and
20 no detriment in patients' health-reported quality
21 of life outcomes.

22 The safety profile of durvalumab across

1 treatment phases was manageable and tolerable with
2 no evidence of additional chemotherapy-related
3 toxicities, no impact on patients' ability to
4 undergo surgery, and immune-mediated AEs that were
5 consistent with the known safety profile of
6 durvalumab. The AEGEAN perioperative regimen has
7 demonstrated a strongly positive benefit-risk
8 profile for patients with resectable non-small cell
9 lung cancer.

10 Now, AEGEAN was not designed to address the
11 question of contribution of phase; however, we have
12 looked within the study to see what phase-specific
13 data we can find. We conducted an exploratory
14 analysis where we looked at event-free survival
15 outcomes based on adjuvant treatment status. We
16 can see here that there's a two-fold reduction in
17 the risk of an EFS event in patients receiving
18 adjuvant therapy compared to those that did not,
19 but these are exploratory and do not formally
20 answer the question of contribution of phase, so a
21 reasonable question the agency is posing is how to
22 move forward with new designs.

1 Part of the discussion today is if
2 additional data are needed prior to approval of the
3 AEGEAN regimen. It is the sponsor's position that
4 AEGEAN has already convincingly demonstrated a
5 clinical benefit. Importantly, delivering a study
6 in the United States with neoadjuvant therapy alone
7 is no longer possible with the approval of
8 KEYNOTE-671 and the change treatment landscape.
9 AstraZeneca has partnered with cooperative groups
10 to support practice-informing studies that will
11 answer questions that have emerged with AEGEAN and
12 other perioperative trials.

13 The ETOP study will be conducted in Europe
14 and compare adjuvant durvalumab to observation
15 after neoadjuvant platinum doublet chemotherapy and
16 durvalumab. The SWOG study will be conducted in
17 the United States and evaluate whether patients
18 with tumors that have a pathologic complete
19 response with neoadjuvant chemotherapy and
20 immunotherapy benefit from additional adjuvant
21 durvalumab. AstraZeneca is a pioneer in increasing
22 our understanding of contribution of phase. We are

1 also committed to working with the agency to
2 appropriately design new practical patient-centric
3 studies with novel therapeutic regimens.

4 Now, assuming the perioperative therapy
5 standard of care in resectable non-small cell lung
6 cancer, as we discuss new designs we must consider
7 statistical power, maturity, the magnitude of
8 treatment effect, and improved outcomes for
9 patients, along with feasibility. The example
10 shown here from FDA's briefing document is a 3-arm
11 study with event-free survival as a primary
12 endpoint. This trial could range from
13 10 to 12 years and require anywhere from 650 to
14 1,740 patients. We evaluated if the study could
15 sufficiently assess contribution of phase as
16 defined by the 80 percent upper bound confidence
17 interval, excluding a hazard ratio of 1. The power
18 to meet this objective ranges from 44 to
19 62 percent.

20 It's worth noting that in future trials, the
21 perioperative and neoadjuvant groups are identical
22 until the start of adjuvant treatment, as not all

1 patients will undergo surgery or start on adjuvant
2 therapy. If AstraZeneca were to design such a
3 study to meet registrational standards, there
4 remains the risk the contribution of phase would
5 not be adequately characterized with efficacy
6 endpoints such as event-free survival; therefore,
7 could short-term novel surrogate endpoints such as
8 path CR or ctDNA be explored?

9 As we conclude our presentation, we think
10 it's important to highlight how immunotherapy has
11 dramatically changed the lung cancer treatment
12 landscape in the last decade, improving outcomes
13 for non-small cell lung cancer across disease
14 stages. Perioperative durvalumab demonstrated a
15 favorable benefit-risk profile for patients with
16 resectable non-small cell lung cancer.

17 AEGEAN was a well-designed and conducted
18 study that met its primary endpoints with a
19 statistically significant improvement in pathologic
20 complete response and event-free survival, with a
21 manageable safety profile and no detriment in
22 patients' health-related quality of life. If

1 approved, AEGEAN can become an integral part of the
2 treatment armamentarium available to patients.

3 Additionally, AstraZeneca is committed to
4 address some of the remaining questions in
5 resectable non-small cell lung cancer with new
6 studies anticipated to enroll first subjects later
7 this year. Future studies in resectable non-small
8 cell lung cancer need to consider the drug's
9 mechanism of action, trial feasibility, the
10 treatment landscape, patients' preference, and
11 societal burdens. Thank you for your attention,
12 and we're happy to take your questions.

13 DR. SPRATT: Thank you so much.

14 We will now proceed with the FDA's
15 presentation, starting with Dr. Bernardo Goulart.

16 **FDA Presentation - Bernardo Goulart**

17 DR. GOULART: Good morning. I'm Bernardo
18 Goulart, a medical oncologist at the FDA. My
19 presentation will convey FDA's clinical perspective
20 on the AEGEAN trial, followed by general
21 considerations about contribution of treatment
22 phase in perioperative trials for resectable

1 non-small cell lung cancer. I'd like to
2 acknowledge the FDA review team for the AEGEAN
3 trial.

4 The presentation starts with a brief
5 overview of the treatment landscape for
6 non-oncogene addicted resectable non-small cell
7 lung cancer. I'll then describe the design and
8 topline results of the AEGEAN trial, including its
9 inability to attribute the benefits of durvalumab
10 to the neoadjuvant or adjuvant phase of a
11 perioperative regimen. Subsequently, I'll discuss
12 the need to demonstrate contribution of drugs to
13 each treatment phase in perioperative trials and
14 will conclude by stating the voting question and
15 topic for discussion.

16 Lung cancer continues to rank as the first
17 cause of cancer-related deaths in the United
18 States. Non-small cell lung cancer accounts for
19 most of the cases, followed by small-cell lung
20 cancer, and nearly 55 percent of patients present
21 with tumor stages IA to IIIB, which include the
22 resectable cases.

1 For the 45 percent of patients who present
2 with stage IV disease, surgery is generally not an
3 option, and their prognosis has been historically
4 very poor; and although a fraction of patients with
5 resectable disease do achieve long-term survival,
6 the prognosis of patients with stages I to III is
7 relatively poor as well, with local and distant
8 recurrences accounting for most deaths. Therefore,
9 an unmet need exists for improved neoadjuvant and
10 adjuvant systemic therapies that can decrease
11 distant recurrences, eliminate micrometastatic
12 disease, and improve survival. This presentation
13 focuses on neoadjuvant and adjuvant therapies for
14 resectable lung cancer.

15 It is in this context that we turn our
16 attention to durvalumab for patients with
17 resectable non-small cell cancer as evaluated in
18 the AEGEAN trial. The proposed indication is for
19 neoadjuvant durvalumab in combination with
20 chemotherapy, followed by surgery, and adjuvant
21 durvalumab in patients with tumors of 4 centimeters
22 or greater or with tumor lymph node involvement,

1 and whose tumors do not harbor EGFR or ALK gene
2 aberrations.

3 In the past three years, immune checkpoint
4 inhibitors, or ICIs, have joined chemotherapy as
5 systemic therapies for patients with early-stage
6 resectable lung cancer as evidence emerged showing
7 that ICIs improve long-term outcomes in this
8 setting. In the United States, ICIs have received
9 regulatory approval for two adjuvant indications,
10 one neoadjuvant indication, and one perioperative
11 indication, as shown in this slide. As a reminder,
12 for the purpose of FDA's presentation, the term
13 "perioperative" refers to the inclusion of ICIs in
14 both the neoadjuvant and adjuvant phases of a
15 treatment regimen.

16 Here, we show the relevant regulatory
17 interactions that occurred during the review of
18 AEGEAN. In a meeting held in November 2018, FDA
19 stated that the design of the AEGEAN trial does not
20 distinguish the effect of neoadjuvant durvalumab
21 with chemotherapy from the effect of adjuvant
22 durvalumab. FDA also recommended a factorial trial

1 design with or without adaptive design elements to
2 address this issue.

3 In a subsequent meeting held in May 2023,
4 FDA reiterated the same concerns and requested that
5 the applicant provide a method to assess the
6 contributions of durvalumab given presurgery and
7 postsurgery to the effects of the perioperative
8 regimen. Also, since none of the ICIs were
9 approved for resectable lung cancer at the planning
10 phase of AEGEAN, the choice of neoadjuvant
11 chemotherapy as the control arm was deemed
12 appropriate.

13 As previously explained today, AEGEAN
14 enrolled patients with good performance status,
15 stages IIA to IIIB resectable disease, and
16 documented PD-L1 expression. The stratification
17 factors were tumor stage and PD-L1 expression.
18 Patients underwent randomization in 1-on-1 ratio
19 between neoadjuvant durvalumab in combination with
20 histology-specific, platinum-based chemotherapy for
21 4 cycles, followed by surgery, and followed by
22 adjuvant durvalumab, 12 cycles of 4 weeks each or

1 nearly one year.

2 The patients assigned to the control arm
3 received neoadjuvant platinum-based chemotherapy
4 and placebo for 4 cycles followed by surgery, and
5 then adjuvant placebo for the same duration as in
6 the experimental arm. In a protocol amendment, the
7 applicant modified eligibility criteria mid trial
8 to require documentation of negative tests for EGFR
9 and ALK gene aberrations. This led to a modified
10 ITT population comprising 740 patients, which is
11 the focus of today's presentation.

12 The dual primary endpoints consisted of
13 pathologic complete response or pCR and event-free
14 survival, or EFS, by blinded independent central
15 review. Key secondary endpoints included major
16 pathologic response, disease-free survival, or DFS,
17 and overall survival or OS. FDA considers EFS an
18 acceptable efficacy endpoint for approval in this
19 setting, assuming no detrimental effects on overall
20 survival and a favorable benefit-risk profile.

21 Here, we show the hierarchical testing
22 procedure implemented in the AEGEAN trial. The

1 initial two-sided alpha was split between the two
2 primary endpoints with an alpha of 0.005 allocated
3 to pCR and 0.045 allocated to EFS. Testing for the
4 DFS would take place only if EFS was statistically
5 significant, and testing for OS would take place
6 only if both EFS and DFS were statistically
7 significant.

8 In the first interim analysis of pCR, the
9 trial met this primary endpoint by showing a
10 13 percent absolute difference in pCR rate favoring
11 the durvalumab arm. pCR rate was 18 percent in the
12 durvalumab arm compared with 5 percent in the
13 control arm, a difference that was statistically
14 significant, and the results of the final analysis
15 for pCR were consistent with this interim analysis.

16 In the first interim analysis of EFS, the
17 trial also met this primary endpoint by showing an
18 EFS hazard ratio of 0.68 and a confidence interval
19 of 0.53 to 0.88 favoring the durvalumab arm, a
20 result that was statistically significant. Median
21 EFS was not reached in the durvalumab arm with a
22 lower bound of confidence interval of 31.9 months,

1 and the median EFS was 25.9 months with a
2 confidence interval of 18.9 months in the lower
3 bound in the control arm. No question, AEGEAN is
4 therefore a positive trial. It met two of the dual
5 primary endpoints. This is not the point of
6 today's discussion.

7 Here, we show the Kaplan-Meier plot for EFS.
8 The curves seem to separate at 3 months and remain
9 separated for the rest of the trial favoring the
10 durvalumab arm. Here, we show the results of the
11 second interim analysis. EFS hazard ratio of 0.66,
12 confidence interval of 0.47 to 0.93, which was not
13 statistically significant. The median DFS was not
14 reached in either arm, and given that DFS was not
15 statistically significant, the present analysis of
16 OS is descriptive.

17 The OS hazard ratio was 0.89 with a
18 confidence interval of 0.70 to 1.14. Median
19 overall survival was not reached in the durvalumab
20 arm, but in the control arm, the median overall
21 survival was 53.2 months with a lower bound of
22 confidence interval of 44.3 months.

1 Here, we show the Kaplan-Meier plot for
2 overall survival at the time of the second interim
3 analysis. The OS curves seem to separate at
4 approximately 20 months and remain separated. The
5 overall results of overall survival do not suggest
6 a detrimental effect of durvalumab on survival.

7 This table tries to quantify the potential
8 safety concerns with the adjuvant phase by showing
9 the incidence of immune-related events developed
10 doing adjuvant treatment with durvalumab. As you
11 can see, a total of 31 percent of patients
12 developed immune-related adverse events with
13 5 percent of patients discontinuing or interrupting
14 durvalumab due to these events. The result here
15 suggests that immune-related toxicities developed
16 during the adjuvant therapy of durvalumab are not
17 necessarily trivial to patients.

18 We also call the attention to the 9 percent
19 of patients who had unresolved immune-related
20 events at the end of the study treatment. These
21 include rare instances of ongoing and potentially
22 bothersome or concerning events such as rash,

1 diarrhea, musculoskeletal pain, adrenal
2 insufficiency, and pneumonitis. We note the
3 possibility of other immune-related events with the
4 potential for long-term consequences to patients
5 such as nephritis and diabetes mellitus, and
6 although not common, lasting immune-related adverse
7 events can negatively impact the quality of life
8 due to their persistence in a population that may
9 achieve a cure of lung cancer.

10 To summarize AEGEAN, the trial demonstrated
11 a statistically significant and clinically
12 meaningful improvement in EFS favoring
13 perioperative durvalumab. At present, the analysis
14 of DFS is not statistically significant, precluding
15 formal testing of overall survival. The
16 descriptive analysis of overall survival does not
17 suggest a detrimental effect.

18 The safety analysis revealed a risk profile
19 that is consistent with the described toxicities of
20 platinum-based chemotherapy and ICIs, with the
21 caveat that 9 percent of patients who received
22 adjuvant durvalumab, had unresolved immune-related

1 events at the end of study treatment that could
2 potentially impact quality of life.

3 The AEGEAN results are straightforward in
4 the sense that had this trial been designed as
5 either a neoadjuvant-only trial or an adjuvant-only
6 trial, contribution of treatment phase would not
7 have been an issue and would not have required a
8 discussion at today's ODAC meeting.

9 The real reason why we're here today and the
10 main reason of the AEGEAN trial is the trial's
11 inability to distinguish the effect of durvalumab
12 given the neoadjuvant phase from the effect of
13 durvalumab given the adjuvant phase of a
14 perioperative regimen. As we will elaborate
15 further, this inability to assess durvalumab's
16 contribution to each treatment phase raises a
17 concern for potential patient overtreatment and
18 avoidable toxicities, which include clinical and
19 time toxicities.

20 AEGEAN follows a 2-arm trial design as
21 described in this slide. The design entails the
22 use of ICIs in both the neoadjuvant and adjuvant

1 phases in the experimental arm, whereas the control
2 arm does not include ICIs in any phase. By design,
3 even if the trial demonstrates a benefit for the
4 perioperative regimen, it will not allow us to
5 determine if patients require both the neoadjuvant
6 and adjuvant phases to benefit. If, for example,
7 the benefit is derived entirely from the
8 neoadjuvant chemoimmunotherapy phase, the adjuvant
9 immunotherapy would expose patients to unnecessary
10 toxicities and prolong the therapy for one year.

11 This trial should ideally provide evidence
12 of the incremental benefit of the perioperative
13 regimen over ICIs given in each treatment phase,
14 and as a side note, the lack of assessment of
15 contribution of treatment phase is a pervasive
16 limitation that applies to other completed or
17 ongoing perioperative trials of ICIs in lung and
18 other tumor types.

19 As Dr. Larkins previously mentioned, in
20 formal meetings held during the planning and
21 conduct of perioperative trials AEGEAN included,
22 the FDA notified sponsor that the 2-arm design

1 would not address the contribution of ICIs when
2 given in the neoadjuvant and adjuvant phases of a
3 perioperative regimen. The FDA also recommended
4 multiarm or factorial trial designs for the
5 assessment or contribution of treatment phases, and
6 despite FDA's recommendations, the sponsors have
7 opted consistently to proceed with 2-arm trials,
8 leaving contribution of treatment phase
9 unaddressed.

10 In the lack of within-trial comparisons of
11 treatment phases, FDA has had no choice but to
12 resort to cross-trial comparisons to infer the
13 contribution of the neoadjuvant and adjuvant phases
14 to the effects of the perioperative regimen despite
15 substantive limitations of such methodological
16 approach.

17 The next slides will place the efficacy of
18 these perioperative regimens in context with the
19 efficacy results observed in neoadjuvant and
20 adjuvant trials of ICIs in resectable lung cancer
21 as an attempt to establish the contribution of each
22 treatment phase to the effects of the perioperative

1 ICI-based regimens.

2 As we have seen in this table, the efficacy
3 data from pivotal trials do not indicate a clear
4 superiority of perioperative regimens over
5 neoadjuvant chemoimmunotherapy or adjuvant
6 immunotherapy based on similar hazard ratios for
7 EFS or DFS as observed across the trials. Again,
8 despite the limitations of cross-trial comparisons,
9 the data indicate the need for stronger evidence to
10 support the benefit of perioperative regimens. As
11 we pointed out earlier, this ideal evidence should
12 have derived from multiarm or factorial trials
13 comparing the neoadjuvant and adjuvant phases to a
14 perioperative regimen.

15 FDA is particularly concerned about the
16 adjuvant component of perioperative regimens given
17 its longer duration and uncertain advantage over
18 4 cycles of neoadjuvant chemoimmunotherapy. FDA's
19 concern seems justified based on the applicant's
20 recent press release from June 2024 regarding the
21 adjuvant-only durvalumab trial known as the
22 BR.31 trial. This large trial randomized 1415

1 patients in a 2 to 1 ratio to receive adjuvant
2 durvalumab versus placebo for one year following
3 resection and optional adjuvant chemotherapy.

4 The trial did not meet its primary endpoint
5 of DFS in patients whose tumors have PD-L1
6 expression of 25 percent or greater. The
7 preliminary results from BR.31 do not solve the
8 ongoing uncertainty about potential benefits of
9 adjuvant ICIs after neoadjuvant chemoimmunotherapy.
10 In addition to the uncertainty of an efficacy
11 benefit of the adjuvant phase, greater toxicity may
12 also represent a concern for adjuvant ICIs compared
13 with neoadjuvant ICIs. This meta-analysis of
14 28 randomized trials evaluated the incidence of
15 severe toxicities of ICIs in the neoadjuvant and
16 adjuvant settings for patients with solid tumors.

17 For transparency, the FDA did not conduct or
18 formally review this analysis. The odds ratio for
19 grade 3 to 4 toxicities were numerically higher in
20 the adjuvant trials compared with the neoadjuvant
21 trials, and so were the odds ratios for fatal or
22 grade 5 toxicities. These results were consistent

1 for trials with shorter or longer duration of
2 follow-up.

3 One limitation is that the analysis of fatal
4 events did not include 6 neoadjuvant trials and did
5 not include 5 adjuvant trials due to the lack of
6 any toxic deaths observed in these trials, which
7 could have biased the estimation of odds ratios;
8 but despite these limitations, the study indicates
9 that the use of adjuvant ICIs may be associated
10 with higher incidence of severe toxicities than use
11 of neoadjuvant ICIs, and thus, while it is unclear
12 whether the adjuvant phase is necessary to achieve
13 efficacy in a perioperative regimen, there is
14 little doubt that the additional year of ICI is
15 likely to be associated with toxicity and burden
16 that may not be needed.

17 The applicant proposes a path to demonstrate
18 contribution of treatment phase in the
19 postmarketing setting by collaborating with
20 cooperative groups to design trials that address
21 this issue. PROSPECT-LUNG is an example of a 2-arm
22 trial comparing treatment phases. The trial

1 randomizes patients to upfront surgery followed by
2 adjuvant immunotherapy versus a perioperative
3 approach, neoadjuvant chemoimmunotherapy, surgery,
4 adjuvant immunotherapy. The trial, however, does
5 not include a third arm of neoadjuvant
6 chemoimmunotherapy followed by surgery, and
7 therefore, it does not assess the contribution of
8 the adjuvant immunotherapy, which arguably is the
9 treatment phase that needs further evidence to
10 support a perioperative regimen. In addition, the
11 trial takes years to complete, and the treatment
12 landscape may have evolved by the time results
13 become available, leading to difficulties in the
14 interpretation of the efficacy data.

15 Another example is one of applicant's funded
16 trials, CLEAR-INSIGHT. Here, patients who achieve
17 pCR after neoadjuvant chemoimmunotherapy and
18 surgery enter the SWOG portion of the trial to
19 undergo randomization between adjuvant durvalumab
20 versus observation. Although this trial may
21 determine if patients can omit adjuvant
22 immunotherapy, the results will only apply to the

1 nearly 25 percent of patients who achieve pCR from
2 neoadjuvant therapy. Second, like PROSPECT-LUNG,
3 this trial will also take years to complete, and
4 the treatment landscape may have evolved by the
5 time of study completion.

6 Finally, the applicant is also funding
7 ADOPT-Lung, a trial to be conducted by the ETOP
8 cooperative group. Here, after neoadjuvant
9 chemoimmunotherapy and surgery, patients with R0 or
10 R1 resections undergo randomization to receive
11 adjuvant durvalumab for approximately one year in
12 the experimental arms versus observation in the
13 control arm. The primary endpoint is EFS in
14 patients without a pCR, and although the design of
15 ADOPT-Lung addresses the contribution of adjuvant
16 therapy to the perioperative regimen, it also
17 presents some important limitations.

18 First, the relatively small sample size
19 raises concerns for an underpowered study. Second,
20 the estimated time to trial completion is
21 March 2030. With such long timelines, again, the
22 treatment landscape may have evolved by the end of

1 the trial, and these examples highlight the
2 challenges of conducting postmarketing cooperative
3 group trials to address contribution of treatment
4 phase and underscore the importance of properly
5 assessing contribution treatment phase in the
6 premarketing setting.

7 Given the concerns presented regarding the
8 AEGEAN trial, I'd like to pose the following
9 discussion topic for the advisory committee one
10 more time. In light of the uncertainty around the
11 need for both phases of treatment, discuss whether
12 an additional trial should be conducted to clarify
13 the contribution of treatment phase for the
14 durvalumab perioperative regimen prior to approval.

15 We'll now transition to the second part of
16 this presentation. Here, the purpose is to discuss
17 alternatives for drug development in resectable
18 lung cancer that account for contribution of
19 treatment phase. This part of the presentation, we
20 specifically consider trial designs that address
21 not only the efficacy but contribution to treatment
22 phase of novel drugs when added to standard-of-care

1 regimens in resectable non-small cell lung cancer.

2 At the FDA, we are aware of proposed trials
3 evaluating novel drugs in resectable disease that
4 follow the design we show here. Companies are
5 proposing 2-arm trials that often include a
6 perioperative chemotherapy and immunotherapy
7 regimen as the control arm and as the backbone to
8 the experimental arm despite the ongoing
9 uncertainty as to patients needing both neoadjuvant
10 chemoimmunotherapy and adjuvant immunotherapy.

11 Here, the experimental arm consists of
12 adding a novel drug X to both the neoadjuvant and
13 adjuvant phases on top of the perioperative
14 regimen, which again is uncertain. The design
15 exacerbates concerns for overtreatment and
16 toxicities due to intensification of therapy by
17 adding drug X to a multidrug regimen and by not
18 assessing the contribution of drug X to the
19 treatment phase. Moving forward, drug development
20 plans in this setting should assess the efficacy of
21 adding novel drugs and their contribution of
22 treatment phase. The next slides will discuss drug

1 development strategies that may assess efficacy
2 while accounting for contribution of treatment
3 phase.

4 As Dr. Larkins presented previously, 2-arm
5 designs of add-on drugs may be appropriate in
6 certain contexts. One such context is adding the
7 new drug to the standard of care in only one phase
8 of treatment as either adjuvant or neoadjuvant
9 therapy only and compare this regimen against a
10 standard-of-care control arm. Given the concerns
11 for lack of assessment of contribution to treatment
12 phase, FDA considers it inappropriate to design
13 2-arm trials to evaluate the novel drug given as a
14 perioperative regimen against a standard control
15 arm that does not include the novel drug in any
16 treatment phase.

17 I will now pause and invite my colleague,
18 Dr. Shabnam Ford, to present on the statistical
19 considerations for trial designs involving add-on
20 novel drugs for resectable non-small cell lung
21 cancer.

22 Dr. Shabnam?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA Presentation - Shabnam Ford

DR. FORD: Thank you, Dr. Goulart.

Good morning. My name is Shabnam Ford. I am the Primary Statistical Reviewer for this application. As you heard in Dr. Goulart's presentation, it is critical that future study designs in this setting not only provide evidence of efficacy of the perioperative regimen but also generate sufficient data to adequately assess contribution of the phases of the regimen. Various trial design options are available to accomplish this within a single trial, including 3- and 4-arm trials, which will be the focus of the next set of slides.

A full factorial design with four treatment arms is shown in this schema. This design allows for assessing the contributions of the phases by facilitating comparison of the addition of a new drug to the entire perioperative regimen, the neoadjuvant-only regimen, and the adjuvant-only regimen. In addition, it enables the assessment of the efficacy of the new drug to each experimental

1 arm. While this design provides a complete
2 evaluation of the contribution of the phases, which
3 may be necessary in some cases, it requires a
4 larger sample size.

5 A practical alternative to the 4-arm
6 factorial design is to utilize a 3-arm design. As
7 Dr. Goulart discussed, the impact of overtreatment
8 is the highest in the adjuvant phase, which is
9 typically given for a year or more. Thus, a
10 reasonable option would be to incorporate a third
11 arm investigating the new drug in the
12 neoadjuvant-only phase. This approach would
13 provide within-trial information and the
14 contribution of the adjuvant treatment while
15 preserving the ability to statistically test a
16 potentially safe and effective addition of a new
17 drug to the only neoadjuvant phase of therapy.

18 In the next few slides, we will explore the
19 key considerations for a study design and analysis
20 of these multiarm studies. In multiarm trials, FDA
21 recommends formal testing of each experimental arm
22 to the control arm. A variety of approaches can be

1 used to formally test these comparisons. In
2 addition, comparison of the experimental arm is
3 essential to adequately evaluate the contribution
4 of phase of therapy, and this should be
5 prespecified in the study protocol and a
6 statistical analysis plan.

7 This approach mitigates risk. For example,
8 if the comparison between the perioperative and
9 neoadjuvant-only arm does not support the
10 contribution of the adjuvant treatment phase,
11 addition of a new drug to the perioperative
12 treatment is unlikely to be granted approval, but
13 if a statistically significant and clinically
14 meaningful treatment effect is observed in the
15 neoadjuvant-only arm compared to the control arm, a
16 new neoadjuvant regimen could be approved.

17 Sample size in any trial is driven by design
18 assumptions, testing strategies, and study power.
19 While multiarm trials in this setting will require
20 more patients than a 2-arm trial, FDA estimates
21 potential sample sizes under a variety of
22 reasonable assumptions for an EFS endpoint range,

1 from 650 to 1700 patients in a 3-arm design and
2 from 960 to 2400 in a 4-arm trial design. These
3 sample sizes include formal comparison of each
4 experimental arm to control arm. While comparisons
5 across experimental arms should be dictated by
6 available information and the therapeutic context,
7 these calculations support the feasibility of
8 conducting multiarm studies in this therapeutic
9 setting.

10 In particular, for a new trial with larger
11 and more clinically meaningful treatment effects,
12 the expected sample size would be similar to
13 previously conducted 2-arm trials in the
14 perioperative setting. Additionally, the final
15 analysis of this trial is expected to be between
16 7 to 8 years from the enrollment of the first
17 patient with the possibility of the positive
18 interim readout within 5 to 6 years.

19 Now, I will hand the presentation back to
20 Dr. Goulart.

21 **FDA Presentation - Bernardo Goulart**

22 DR. GOULART: Thank you, Dr. Ford.

1 To recapitulate, current trial designs
2 preclude the assessment of contribution of effects
3 of ICIs when given in the neoadjuvant and adjuvant
4 phases of a perioperative regimen, raising concerns
5 for patient overtreatment and avoidable toxicities.
6 FDA expresses particular concern about the lack of
7 assessment of the contribution of the adjuvant
8 phase given its longer duration and potential for
9 greater toxicities, as shown before, in the context
10 of uncertain benefits as seen in ICI trials.

11 The cooperative group trials are attempting
12 to address contribution of treatment phase but the
13 inherent limitations and long timelines of
14 postmarketing studies suggest that this strategy
15 will not fully address the issue. Companies
16 continue to propose 2-arm perioperative trials,
17 including trials of novel drugs added to a
18 perioperative chemoimmunotherapy backbone,
19 exacerbating concerns for overtreatment given the
20 expectation of incremental toxicities.

21 Alternative trial designs, including, for
22 example, 3-arm trials, may address contribution of

1 treatment phase to perioperative regimens. Two-arm
2 trials may be acceptable strategies to evaluate the
3 addition of novel drugs to standard-of-care
4 therapies if the new agent is given in neither the
5 neoadjuvant only or the adjuvant phase only.
6 Two-arm trial designs are problematic if they
7 compare a new drug given in both phases to a
8 control arm consisting of standard of care alone.

9 With all these considerations in mind, we
10 turn the attention to the one discussion topic and
11 the one voting question for today. For the first
12 discussion topic, in light of the uncertainty
13 around the need for both phases of treatment,
14 discuss whether an additional trial should be
15 conducted to clarify the contribution of treatment
16 phase for the durvalumab perioperative regimen
17 prior to approval.

18 Given the greater understanding of the issue
19 involving contribution of treatment phase, the
20 second question is, should the FDA require that new
21 trial design proposals for perioperative regimens
22 for resectable non-small cell lung cancer include

1 adequate within-trial assessment of contribution of
2 treatment phase?

3 I thank you for your attention, and I hand
4 back the meeting to the chair. Thank you.

5 **Clarifying Questions**

6 DR. SPRATT: Thank you.

7 We will now take clarifying questions to the
8 presenters. When acknowledged, please remember to
9 state your name for the record before you speak and
10 direct your questions to a specific presenter, if
11 you can. If you wish for a specific slide to be
12 displayed, please let us know the slide number, if
13 possible. Finally, it would be helpful to
14 acknowledge the end of your question with a thank
15 you and end of your follow-up question with, "That
16 is all for my questions," so we can move on to the
17 next panel member.

18 Are there any clarifying questions for the
19 presenters? I'm going to start with one.

20 This is for the applicant. Again, this is
21 Dr. Dan Spratt. This ODAC, as we've heard
22 repeatedly, is principally around understanding the

1 contribution of phase of therapy, so my only
2 question is, when the FDA met in November of 2018,
3 prior to your trial starting in January of 2019,
4 with the request to better understand the
5 contribution of phase, why did you not comply with
6 this request?

7 I would appreciate a direct answer, and
8 possible things to help could be, is this because
9 of cost? Is this time? Is this to maximize drug
10 exposure? Is this lack of agreement with the FDA
11 that this matters if the trial ultimately is
12 positive? Is this you don't feel it's your
13 responsibility; or is it because other trials
14 didn't do this, so you don't think you need to, or
15 some other reason? Thank you.

16 DR. HORN: Leora Horne, AstraZeneca. I'm
17 going to start answering the question, and then
18 Karen McCullough from Regulatory will shed some
19 light on our interpretations of the discussions
20 with the agency, and Helen Mann from Biostatistics
21 will come up and discuss some of the sample size
22 considerations that we had.

1 We've learned a lot about immunotherapy in
2 the last six years. Back in 2018, we were seeing
3 these transformational outcomes with immunotherapy
4 and chemotherapy in metastatic non-small cell lung
5 cancer, as I mentioned previously in my
6 presentation. At the same time, we recognize that
7 two years, or indefinite therapy, in early-stage
8 disease was not appropriate for lung cancer
9 patients.

10 Our PACIFIC trial had read out, and that
11 included stage III unresectable non-small cell lung
12 cancer patients given definitive chemoradiation
13 therapy, followed by one year of durvalumab. The
14 PACIFIC study had stage III patients, and in the
15 AEGEAN study, actually 72 percent of patients that
16 were enrolled were stage III. Those are the
17 patients that thoracic surgeons were thinking about
18 in terms of neoadjuvant therapy, so one year of
19 therapy seemed an appropriate amount in the
20 adjuvant setting.

21 We also had Patrick Ford's data from his
22 phase 2 study that suggested 2 cycles of

1 neoadjuvant nivolumab was not enough for sustained
2 T-cell activation and really maximizing that immune
3 response. We've actually since seen an updated
4 analysis from Patrick's data published last year
5 that showed that the majority of patients treated
6 with those 2 doses of neoadjuvant nivolumab had
7 occurred. So following the science of what we knew
8 about immunotherapy at the time, we determined that
9 the best study was induction chemoimmunotherapy
10 followed by surgery in that one year of maintenance
11 immunotherapy in resectable disease.

12 I'd like to call on Karen, who will discuss
13 the regulatory discussions we had with the agency
14 at the time.

15 DR. SPRATT: Thank you. Just to clarify, so
16 the reason, though, that the FDA's recommendation
17 you guys didn't comply with is because you believe
18 that you had sufficient data that neoadjuvant alone
19 with durvalumab would not be effective?

20 DR. HORN: We did not have any data with
21 durvalumab; we had external data with nivolumab
22 showing that, potentially, you don't get the

1 sustained T-cell activation. We were seeing some
2 path CRs, but with the immunotherapy, that whole
3 part is inducing the T cells, memory T cells, and
4 really mounting that immune response; and when you
5 see that reduction in T-cell clones, maybe patients
6 are going to progress. And no one really knew how
7 long you should be giving immunotherapy for either
8 resectable disease or, quite frankly, in
9 metastatic.

10 DR. SPRATT: I'm just going to push once
11 more. So why not add the additional arm as
12 requested so that you could answer the question?

13 DR. HORN: So we are going to answer that
14 question, looking at the sample sizes that were
15 calculated, and if you're okay with Karen with the
16 regulatory discussions, then we'll come to Helen,
17 because we did look at a sample size.

18 DR. McCULLOUGH: Karen McCullough,
19 Regulatory Affairs. You have pointed out that in
20 2018, we met with the agency, and they did indicate
21 at that time that our design, our 2-arm design,
22 wasn't going to isolate the neoadjuvant from the

1 adjuvant components, and they suggested a factorial
2 or adaptive design. We acknowledged that the study
3 design didn't isolate those components. While it
4 wasn't our understanding at that time that this was
5 a barrier to approval, we did look at what
6 alternate designs would look like.

7 So what Dr. Horn has just explained to you
8 is that we had evidence that there was some
9 suggestion that neoadjuvant would contribute to the
10 overall effect. There was also some evidence to
11 suggest that adjuvant might contribute to the
12 overall effect. We didn't have any evidence that
13 would suggest that one arm was going to drive the
14 overall effect, and therefore, when we looked at
15 this, we assumed we would need a 4-arm study to
16 address this request. And with that in mind, we
17 decided, as other sponsors decided, that the
18 optimal path forward was a 2-arm design.

19 Now, I'll have Helen come up, and she can
20 share with you the statistical assumptions.

21 MS. MANN: Helen Mann, Biostatistics. Can
22 we have slide 2 up, please? You can see at the

1 top of this table the actual AEGEAN study, where we
2 planned three analyses and had those analyses with
3 an overall size of 740 patients. You can see the
4 timelines for the study. If we draw your attention
5 to the row with the 4 arms included, we can see
6 what the size looked like. If you compare that to
7 the size of the AEGEAN trial with 740 patients, you
8 can see that we would estimate that that trial with
9 the 4 arms would have been just over 2,500
10 patients, so notably larger than the AEGEAN study
11 was, at 740 patients.

12 So size is a consideration. The other
13 consideration was around timelines. If you look at
14 our 40 percent maturity analysis, we planned to
15 have that, and the actual readout of that would
16 plan to be May 2023. If we take those and we've
17 taken the AEGEAN assumptions at that time when we
18 were planning in 2018, the 4-arm trial with
19 40 percent maturity would not be reading out until
20 q3 2027. Thank you.

21 DR. SPRATT: Thank you so much. I
22 appreciate that. That concludes my questions. So

1 it would add 2 years approximately for a 3-arm
2 design.

3 DR. HORN: Correct, and we were aware of the
4 changing treatment landscape and the potential
5 inability to complete the study as perioperative
6 and neo and adjuvant trials were reading out.

7 DR. SPRATT: Does FDA want to respond?

8 DR. LARKINS: Yes, please. As a participant
9 in all of the meetings at the time when we were
10 meeting with multiple companies for this, I would
11 like to clarify that we did not request,
12 necessarily, 4-arm trial designs. We discussed at
13 the time that that would be ideal; however, we
14 offered the option of 3-arm trial designs similar
15 to what we've been talking about going forward.

16 We also had some discussions at the time
17 that formal comparisons statistically powered the
18 way you saw it in their sample size estimations,
19 80 percent may not be necessary. So I would like
20 to point out that those sample sizes may be an
21 overestimate of what may have been feasible. We at
22 the time discussed that we very strongly felt it

1 was important to do 3-arm trials. We did not feel
2 at the time that it was something we could put
3 studies on hold for.

4 DR. PAZDUR: I have a question for
5 AstraZeneca. The Canadian study that was reported
6 as negative, how many patients were in that trial?
7 I believe it was almost 1400; correct?

8 DR. HORN: Correct.

9 DR. PAZDUR: That was done in Canada only;
10 correct?

11 DR. HORN: No, that was a global study.

12 DR. PAZDUR: It was a global study.

13 DR. HORN: It was supported by AstraZeneca,
14 but it was run in multiple countries.

15 DR. PAZDUR: Okay. But adjuvant studies
16 have been large in size. This is not unheard of to
17 have a thousand patients, or even more, in an
18 adjuvant study.

19 DR. HORN: Correct.

20 DR. PAZDUR: I just want to clarify that for
21 people that are not familiar with adjuvant studies.
22 We're not talking usually about small studies here,

1 but many times in the cooperative groups, in breast
2 cancer, et cetera, we've had 1,000-patient studies,
3 plus thousands, and sometimes 2,000 patients,
4 obviously.

5 DR. SPRATT: Thank you. We're going to move
6 on. The next question is from Dr. van Berkel.

7 DR. VAN BERKEL: Thank you. I'm Victor
8 van Berkel from the University of Louisville.
9 First, I'd like to thank both AstraZeneca and the
10 FDA for both very thoughtful and thorough
11 presentations. I also have an etiquette question.
12 I have a couple different questions, and I don't
13 know if I'm supposed to ask one and then yield to
14 somebody else, or if I just run through the list of
15 questions that I have.

16 (No response.)

17 Sorry. From an etiquette standpoint, I have
18 a couple of different questions.

19 DR. SPRATT: Just start with one at a time
20 and let them respond, if possible.

21 DR. VAN BERKEL: Yes, sure. But then do I
22 let other people ask questions or do I go back to

1 whatever I want?

2 DR. SPRATT: Maybe you can do two questions.
3 Let's see how long the responses are --

4 DR. VAN BERKEL: Fair enough.

5 DR. SPRATT: -- and how relevant they are.

6 DR. VAN BERKEL: My first question is
7 actually for the FDA. Were similar concerns about
8 the phase issues raised for the KEYNOTE-671 and
9 CheckMate-77T trials?

10 DR. LARKINS: So CheckMate-77T is currently
11 in-house and under review, so that one we're not
12 able to discuss. The elephant in the room,
13 obviously, is the KEYNOTE-671 trial, which was
14 approved. It was considered. It was discussed
15 heavily among the teams at the time of approval. I
16 will also let our statistician speak briefly about
17 some of the analyses that have been done on other
18 trials in-house to try to assess these things.

19 I would note that did have an overall
20 survival benefit. As noted, that does not mitigate
21 or doesn't remove the issue of contribution of
22 phase in any way. We still can't parse out where

1 the benefit is coming from; however, it is fair, we
2 believe, for that to be taken into the risk-benefit
3 consideration, potentially, for an overall
4 risk-benefit of a regimen, so there are some slight
5 differences.

6 The even bigger issue, though, is that data
7 continued to emerge after that, so the
8 CheckMate-77T read out after that approval. That
9 is one of the only spaces where we have a
10 neoadjuvant-only regimen and a perioperative
11 regimen, so that sort of added; and as the
12 perioperative regimens all read out, we're seeing
13 similar-ish effect sizes, and then comparing them
14 all to the adjuvant and the neoadjuvant, it just
15 all added to raise some concern.

16 The other issue that drove us to this is
17 that we were beginning to get proposals for add-on
18 designs. So our major concern is continuing to
19 perpetuate this. Regardless of your thoughts on
20 the first part, we see these as separate questions.
21 The data in hand from AEGEAN, we want to hear your
22 opinions on that and where we go with that. A

1 separate question is where do we go from here? So
2 regardless whether you think it needs more study or
3 not, we don't think that means we have to keep
4 going forward with 2-arm trials necessarily later
5 for future add-ons. So that's really where our
6 focus is.

7 I don't know if our stats wants to briefly
8 address some of the data that we've tried to look
9 at.

10 DR. FORD: I invite Dr. Amatya to provide
11 his perspective.

12 DR. AMATYA: Yes. Thank you. Anup Amatya,
13 statistics. We raised these concerns during our
14 IND phase in review of this trial and in also the
15 NDA phase. As part of the submissions, we get
16 patient-level data submitted to us, so with that,
17 we look at the adjuvant phase, KEYNOTE-091 data and
18 our perioperative 671 data, and tried to do as much
19 as we could with different statistical
20 methodologies to match baseline characteristics of
21 the patients.

22 What we found is that there were minimal

1 differences between those who received
2 perioperative therapy and just adjuvant therapy,
3 but there was a positive trending. So at the time,
4 in absence of other trial data, we felt that there
5 may be some support here, but as we see with new
6 data, that support is less and less with emerging
7 data. Thanks.

8 DR. SPRATT: Just a reminder, can you make
9 sure you say your name before you speak, for the
10 press? Thank you.

11 DR. VAN BERKEL: I have I guess one more
12 question.

13 DR. SPRATT: State your name.

14 DR. VAN BERKEL: Sure. I'm Victor van
15 Berkel still, and this is a question for
16 AstraZeneca, and forgive me if I mess up your
17 names. Both Dr. Garassino and Dr. Heymach
18 discussed the importance about discussing options
19 with patients when it comes to different treatment
20 opportunities. And I guess my question for
21 AstraZeneca, with us not understanding the
22 difference in phase issues, if CheckMate

1 demonstrated a 34 percent reduction in event-free
2 survival with no adjuvant therapy and AEGEAN had a
3 31 percent reduction in event-free survival with
4 one year of adjuvant therapy, how would you justify
5 to a patient before giving them an extra year of
6 therapy without an obvious event-free survival
7 benefit?

8 DR. HORN: Leora Horn, AstraZeneca. I'd
9 like to ask Dr. Garassino to come up and answer
10 that question. She's recently published a paper on
11 this.

12 DR. GARASSINO: Marina Garassino, University
13 of Chicago. I think this is clearly the key
14 question of the discussion. I think that as a
15 clinician treating lung cancer, we should always
16 think that this is a very lethal disease, so the
17 majority of our patients, unfortunately, die. As a
18 scientist and also as a clinician, I'm not sure
19 that we are in a situation where we can de-escalate
20 trials; and if you can open slide number 2, you can
21 view again the survival rate.

22 The second is about the toxicity. I want to

1 be provocative, and the most important toxicity of
2 lung cancer patients is the recurrence. So in my
3 opinion, we should try to --

4 DR. SPRATT: I'm sorry to interrupt you, but
5 the question that he asked --

6 DR. GARASSINO: Yes. The question is --

7 DR. SPRATT: -- if you could address the
8 question he asked.

9 DR. GARASSINO: -- I address. Sorry.

10 I talk exactly with the patients, and they
11 say that we don't know if neoadjuvant is superior,
12 inferior, or the same to the perioperative, and I
13 leave it to the patients, their decision, to
14 decide. Second, in the multidisciplinary tumor
15 board, we discuss the clinical conditions for the
16 patients, and for some patients, the adjuvant is
17 not indicated.

18 DR. HEYMACH: John Heymach, MD Anderson.
19 Since it was raised and was mentioned here, I'll
20 comment as well. We don't formally have the
21 comparison of perioperative to either one. In the
22 case of the 77T study, we've got the CheckMate-816

1 and the 77T, one being neoadjuvant only and one
2 being perioperative.

3 Now, just numerically, the hazard ratio is
4 better for 77T than it is for CheckMate-816.

5 There's also a subgroup analysis here. I don't
6 know if we have the subgroup analysis from 77T with
7 and without adjuvant therapy. You could put slide
8 3 up, but I'll talk about the subgroup analysis.

9 Subgroup analysis was presented by Dr. Tina
10 Cascone at a plenary session, and what it showed is
11 that patients from 77T who received adjuvant
12 therapy had better outcomes than those who didn't
13 receive adjuvant therapy, similar to what we saw
14 here for the patients with durvalumab who received
15 adjuvant or didn't receive adjuvant therapy from
16 the AEGEAN study.

17 Now again, we don't have a formal
18 comparison, so we can't say that proves that
19 adjuvant therapy is adding benefit, but we can say
20 the studies that give us the best or the most
21 direct exploratory analysis, we see that
22 perioperative has a better hazard ratio here, and

1 patients in 77T who received the adjuvant had more
2 benefit than those who didn't receive the adjuvant
3 in those two studies, again, similar to what we're
4 seeing in AEGEAN. In AEGEAN, the subgroup that
5 received adjuvant had more relative benefit than
6 those who didn't receive.

7 DR. VAN BERKEL: Thank you.

8 DR. SPRATT: Yes, if FDA wants to respond to
9 that.

10 DR. LARKINS: Hi. Erin Larkins, FDA. I
11 would like to start by stating that what you're
12 seeing here is part of what has raised our concerns
13 with 2-arm trials. This is why we have been asking
14 for 3-arm trial designs and why we think it's even
15 more important going forward with toxicity because
16 we are relying on cross-trial comparisons.

17 We had a mini symposium and a public
18 workshop with AACR, and what we heard from lung
19 cancer providers there is the same thing you're
20 hearing here. They are having to go to patients
21 with incomplete information and try to help them
22 figure out what the best treatment option is, and

1 often it's left up to how individual tumor boards
2 operate. Some like to go right to surgery, some
3 like to do neoadjuvant. It's left up to patients
4 and providers in the community on what they're most
5 comfortable with using. So this is really kind of
6 the gist of the issue of why we're concerned with
7 perpetuating as a problem going forward.

8 I would like to give our stats
9 colleagues -- after our representative.

10 DR. PAZDUR: I think we also have to realize
11 that some of these people will be actually cured by
12 their surgery alone, so we should be having a
13 higher standard here for adjuvant and neoadjuvant
14 than we would have for people that are facing no
15 other therapeutic options and are destined to die
16 very soon from their disease.

17 So here again, I think it's important that
18 we have to realize that there should be a higher
19 standard here when we talk about the body of
20 evidence that we subject people to and their
21 therapy here. Here again, some of these people
22 will not even need any therapy. We don't know who

1 those people are, and that's unfortunate, but that
2 is an issue here. So we really should have a
3 higher level of evidence that we have before we
4 subject people to these therapies.

5 DR. SPRATT: I'd like to go on.

6 DR. LARKINS: I would --

7 DR. SPRATT: I'd like to go on.

8 DR. LARKINS: -- like our stats colleagues
9 to address.

10 DR. SPRATT: I'd like to go on to the next
11 speaker, but thank you.

12 DR. LARKINS: Okay.

13 DR. SPRATT: Dr. Lieu?

14 DR. LIEU: My question was already
15 addressed, thank you.

16 DR. SPRATT: Okay. Great.

17 Dr. Rosko?

18 DR. ROSKO: Ashley Rosko. My question is
19 for the FDA, or Dr. Goulart specifically, regarding
20 slide 33 and slide 34 that were presented. Perhaps
21 this is a bit of a circular discussion, but I'm
22 really trying to get a handle on the current

1 treatment landscape for patients with stage II-IIIB
2 non-small cell lung cancer in light of the
3 KEYNOTE-671 data.

4 What would you define, or what would the FDA
5 define, within those lines of therapy as the
6 standard of care in light of specifically the
7 KEYNOTE-671 study, having both perioperative and
8 overall survivor advantages there?

9 DR. GOULART: Thank you for the question.
10 Bernardo Goulart, FDA. FDA considers standard of
11 care what FDA approves. FDA is not in the place of
12 dictating medical practice; however, FDA will
13 consider the standard of care and the regimens
14 approved based on safety and effectiveness, which
15 would include KEYNOTE-091 and pembrolizumab;
16 neoadjuvant chemotherapy with nivolumab,
17 CheckMate-816; adjuvant atezolizumab, IMPower-010;
18 and KEYNOTE-671. All of these are standard-of-care
19 therapies.

20 DR. ROSKO: I think my general concern --

21 DR. SPRATT: Please state your name.

22 DR. ROSKO: Oh, I'm sorry. Ashley Rosko.

1 My general concern is just about also the
2 undertreatment of certain patient populations. So
3 I guess if you have clinician decision, and access
4 issues, and patients being treated in the
5 community, I have concerns about that as well. And
6 I know that's the gist of this conversation and how
7 this trial was designed, and limited performance
8 status and good renal function that doesn't
9 necessarily represent a lot of the community in the
10 patients that are suffering with this disease.

11 So I asked that question just because a lot
12 of times when you're thinking about equipoise for
13 clinical trials, and you're thinking about what is
14 really uncertain in that setting, and you have a
15 study that was published and put out with a
16 survival advantage, it really drives questions; and
17 sometimes having clarity about what the FDA
18 considers to be the standard of care helps drive
19 future decision making, so thank you for your
20 answer.

21 DR. GOULART: Thank you.

22 DR. SPRATT: Great.

1 We'll go to Dr. Ghafoor.

2 DR. GHAFOR: Hi. My name is Azam Ghafoor
3 with NCI. I have a couple questions. One's on
4 efficacy and one's on toxicity. The first question
5 I have I think is for Dr. Heymach and is regarding
6 the EFS analysis. Prior trials like the CheckMate
7 and other perioperative trials have looked at EFS
8 compared to pCR and non-pCR. Are you guys able to
9 provide that data today, and whether patients with
10 pCR benefited more with durvalumab?

11 DR. HORN: You're asking for this data from
12 the AEGEAN study?

13 DR. GHAFOR: Yes.

14 DR. HORN: Yes, we have that data. I'm
15 going to ask Gary Doherty to come up and present
16 that data.

17 DR. DOHERTY: Thanks for the question. So
18 we have performed analysis of EFS and DFS by pCR
19 status in AEGEAN, and it should be noted that pCR
20 status is, of course, a post-randomization
21 subgroup, so we have to take all of these with
22 caution, and the EFS is very immature, as is the

1 DFS, in patients with pCR. Patients with pCR,
2 those have likely benefited most from neoadjuvant
3 treatment, but these patients do still experience
4 recurrence, as we see now in multiple studies,
5 including CheckMate-816 and the perioperative
6 studies.

7 If we could have slide 4 up, please? There
8 are concerns, as Dr. Heymach alluded to, about
9 overtreatment, particularly in patients who have
10 better outcomes. As we can see here, in patients
11 who had a pCR in either arm of the study,
12 disease-free survival numerically improved in
13 patients who were in the durvalumab arm of the
14 study. The hazard ratio between the arms was 0.31.
15 Of note, the maturity here is is very low,
16 12 percent.

17 If we could also look at slide 3, please,
18 slide 3 up. This is an analysis that's been
19 repeated across multiple studies. Now, in patients
20 with pCR, the EFS hazard ratio was 0.73. We can
21 see here that patients with pCR do have better
22 outcomes compared with those who don't have pCR,

1 but the hazard ratio is 0.73 for those with pCR and
2 0.81, with sustained separation in the larger more
3 mature group. So we do see benefit regardless of
4 pCR status within the study.

5 DR. SPRATT: Okay. Thank you.

6 DR. HORN: This is Leora Horn, AstraZeneca.
7 I just want to highlight, as we're showing this
8 data, which probably adds to the FDA's confusion as
9 we're reviewing, the analysis of pCR in the AEGEAN
10 study was done with IASLC staging, with the IASLC
11 recommendations. The CheckMate-816 and 77T studies
12 had a different analysis of pCR, so it's not quite
13 comparing apples to apples when you look at the pCR
14 populations in AEGEAN, which is a more thorough
15 review of lymph nodes and tumor samples compared to
16 816 and 77T.

17 DR. GHAFOR: Okay. Thank you.

18 This is Azam Ghafoor. I have one more
19 question. Regarding the immune-mediated AEs, the
20 ongoing unresolved, can you comment on the
21 characterization of those, how many patients or
22 what type of hormonal therapy replacement, and what

1 are they, pan-hypopit or diabetes? Can you clarify
2 on those 38 patients?

3 DR. HORN: I'd like to call on Dr. Mayur
4 Patel from patient safety to answer that question.

5 DR. PATEL: Mayur Patel, Patient Safety. If
6 I could have slide up, please? As we noted before,
7 the imAEs were non-serious and low grade and many
8 of them had resolved. When focused on the imAEs
9 that did not resolve, in our briefing document,
10 just to clarify, we had grouped all of the
11 resolving and not resolved together; that's the 29
12 that you see there. When you look further at just
13 those that were not resolved, as you can see here,
14 those that require hormone replacement therapy are
15 mostly hypothyroid events requiring a thyroid
16 medication and one event of adrenal insufficiency.
17 You can see the other events are quite low in the
18 others and they were all low grade. Thank you.

19 DR. GHAFOR: Thank you. I yield back.

20 DR. SPRATT: Great. Thank you.

21 We'll move to Dr. Kunz.

22 DR. KUNZ: Hi. Pamela Kunz, Yale Cancer

1 Center. I have a question that's also related to
2 toxicity, and I think that certainly the FDA
3 presented data highlighting slide 23 on risk of
4 toxicities for adjuvant ICI versus control, versus
5 neoadjuvant period. AstraZeneca really focused on
6 similarities between quality of life. I think
7 there's certainly a financial incentive for the
8 applicant to provide longer treatment, certainly a
9 year, in the adjuvant setting.

10 I'd like perhaps both the agency and the
11 applicant to comment a little bit more on this risk
12 of toxicity and also financial toxicity, which was
13 not addressed. Certainly, a longer period of
14 treatment for patients poses risk of lost time at
15 work and additional issues around financial
16 toxicity.

17 DR. SPRATT: Who would you like to start?

18 DR. KUNZ: Perhaps the agency.

19 DR. GOULART: Bernard Goulart, FDA. I would
20 like to first tackle the question about toxicities.
21 Yes, I'd like to remember that the data I presented
22 on the meta-analysis applies to patients with solid

1 tumors, and these were patients treated in
2 20 trials that had either neoadjuvant ICIs versus a
3 control or adjuvant ICIs versus a control. Then, I
4 also tried to look at severe toxicities and, yes,
5 severe toxicities were numerically more frequent in
6 patients treated with adjuvant ICIs relative to
7 neoadjuvant ICIs.

8 The concern the FDA has about this is that
9 given not only the longer duration of adjuvant
10 regimens, this potential for greater toxicities may
11 have an impact in quality of life in that fraction
12 of patients who experience lasting immune-related
13 events. So even though average quality-of-life
14 metrics may not capture this particular concern in
15 the overall trial population, we maintain a
16 position that a fraction of the patients may have a
17 significant detriment in quality of life because of
18 persistent toxicities as supported by that
19 meta-analysis.

20 Can you remind me what the second part of
21 your question is?

22 DR. KUNZ: Pam Kunz. Yes, it's around

1 financial toxicity.

2 DR. GOULART: Yes, the FDA typically does
3 not comment on financial aspects of cancer care.

4 DR. KLUETZ: Paul Kluetz, FDA. I just
5 wanted to mention, just to keep in mind as we talk
6 about the next discussion topic, the IO-only
7 monotherapy safety is well known, I think, and I
8 think, certainly, we all know monotherapy IO is
9 relatively well tolerated. But as was discussed
10 and described in future trial designs that have
11 been proposed to us, we're getting IO add-ons, so
12 this discussion is going to play into our next
13 discussion, including voting question.

14 DR. HORN: Leora Horn, AstraZeneca. Your
15 second part of your question around financial
16 toxicities, the regimen is already out there with
17 KEYNOTE-671, so AEGEAN would just be another
18 treatment option for patients if perioperative
19 therapy is what would be recommended for that
20 patient population.

21 The meta-analysis that was published, it's a
22 nice analysis. I do think that we need to see that

1 it was a heterogeneous patient population. It's
2 with studies that started a long time ago, and with
3 immunotherapy, when those drugs were first coming
4 out, we didn't understand how to manage them as
5 well as we do today, 15 years later with those
6 drugs in the clinic. It also only compared
7 neoadjuvant or adjuvant. It did not compare
8 perioperative therapies, and in the AEGEAN study,
9 many of the toxicities that we're seeing, the
10 majority are actually in the neoadjuvant phase of
11 therapy.

12 I'd like to call on Dr. Mayur Patel, who
13 will go over the toxicities that we are seeing in
14 the adjuvant phase of the AEGEAN study.

15 DR. PATEL: Mayur Patel, AstraZeneca. If I
16 could have slide 1 up, please? So similar to the
17 slide that I showed in my presentation that was
18 looking at the overall period, this category table
19 is looking at the events in the adjuvant period,
20 and what you can see here is very similar to what I
21 showed in the overall, where the unresolved, again
22 using that unresolved, that was what we provided in

1 the briefing document, but when looking further,
2 the not resolved, there were 15 events. The
3 majority of those unresolved, even 15 events were
4 endocrine events.

5 Our 12 months of therapy, I think you had
6 talked about that, was tied to what we had known at
7 the time, which was the PACIFIC regimen. And if I
8 could have slide 3 up, please, this compares the
9 safety profile of what we observed in AEGEAN with
10 what we knew at the time of designing the study,
11 which was 12 months of immune therapy. And what
12 you can see here in the middle column, which is the
13 AEGEAN adjuvant period, on the right is the PACIFIC
14 12-month regimen, which is approved by FDA in
15 stage III, which was the majority of the patients
16 in stage III. In AEGEAN, you can see the safety
17 profile, and what you see both in terms of
18 all-grade fatal events, as well as in
19 grade 3/grade 4, are very similar to that. So what
20 we see is a very consistent safety profile and no
21 new safety findings in AEGEAN. Thank you.

22 DR. SPRATT: If I could actually just

1 follow up a question, you had a footnote that said
2 "resolved or resolved with sequelae." Can you
3 explain what resolved with sequelae means?

4 DR. PATEL: It's one of the categories in
5 terms of how we capture the resolution of events.
6 There are typically five categories. Resolved
7 recalls with sequelae, where there may be some
8 residual effects; as well as resolving, which are
9 improving; the not resolved which haven't; and then
10 fatal events, so there may be some symptoms. An
11 example would be if a patient who has a stroke
12 would have some residual weakness from the stroke,
13 that would be captured as an adverse event with
14 sequelae. Thank you.

15 DR. SPRATT: Thank you.

16 Alright. Dr. Conaway?

17 DR. CONAWAY: Yes. It looked like some of
18 the endpoints measured at the time of surgery, like
19 pCR, those were done after only the neoadjuvant
20 phase, and there were some differences emerging
21 between the treatment groups. Does that tell us
22 anything about the relative contribution of the

1 neoadjuvant phase to the overall phase, since
2 you're seeing effects from just the neoadjuvant
3 treatment?

4 DR. SPRATT: Who is that question to?

5 DR. CONAWAY: FDA or sponsor.

6 DR. SPRATT: Who would you like to speak
7 first?

8 DR. CONAWAY: The sponsor first.

9 DR. SPRATT: Yes.

10 DR. HORN: So, is your question specifically
11 if the pCR rates give us information about the
12 neoadjuvant and adjuvant phases?

13 DR. CONAWAY: Yes.

14 DR. HORN: The pCR rates that we're seeing,
15 and to highlight, in lung cancer, I think we're
16 excited about 20 percent pCR, but we're nowhere
17 near where we'd like to be with the 65-plus that
18 we're seeing in breast. So it's a small group of
19 patients, but it only speaks to their outcome from
20 the neoadjuvant therapy. The other parts that the
21 neoadjuvant therapy can give us in this regimen is
22 that we're seeing the higher rates of R0 resection

1 with the chemo and immunotherapy, which will allow
2 patients, then, because patients in the AEGEAN had
3 to have an R0 resection and have an updated scan
4 before they went on to the adjuvant portion of
5 therapy.

6 Does the FDA want to respond?

7 DR. GOULART: Bernardo Goulart, FDA.

8 Regarding complete pathologic response in the
9 trials, we will assert two things. First, at this
10 very moment, we do not consider pCR as a clinical
11 endpoint for regulatory approvals or a validated,
12 quote/unquote, surrogate endpoint for EFS or OS,
13 although there's work going on in this sphere. The
14 second point is the analysis we're seeing here,
15 they are exploratory and descriptive because, of
16 course, the randomization takes place before pCR;
17 therefore we cannot infer benefits, or lack
18 thereof, of the adjuvant component or observation
19 in patients who have and do not have pCR.

20 So those are descriptive analysis,
21 hypothesis generating, but remember, randomization
22 in these trials to take place before neoadjuvant

1 therapy, and therefore the comparison between these
2 groups -- adjuvant and neoadjuvant, pCR,
3 non-pCR -- do not benefit from randomization,
4 therefore it should be considered exploratory and
5 descriptive.

6 DR. LARKINS: Hi. And -- sorry.

7 DR. GOULART: Just let me finish my part.

8 DR. LARKINS: Okay.

9 DR. GOULART: Third, there's basically some
10 agreement that pCR implies some better prognosis.
11 I think this is as far as we can go with the data,
12 but not to ascribe any potential benefits of a
13 perioperative regimen based on pCR.

14 DR. LARKINS: Yes. Hi. It's Erin Larkins.
15 I do want to note that we do agree that it does
16 show effect in the neoadjuvant phase, and this is
17 also why when we're talking about future 3-arm
18 trial designs, why we lean towards including a
19 neoadjuvant-only arm, potentially. It's a little
20 easier to maybe consider contribution of phase for
21 neoadjuvant, both biologically, and because you can
22 look at path CR and ctDNA, and things like that, as

1 opposed to the adjuvant where it's very difficult,
2 as Dr. Goulart was saying, to separate out the
3 effect of the neoadjuvant portion versus the
4 adjuvant when you're looking at the long-term
5 outcome.

6 DR. CONAWAY: Perfect. Thank you.

7 DR. SPRATT: Alright. Thank you.

8 Let's keep moving a couple of minutes up at
9 Dr. Advani.

10 DR. ADVANI: Ranjana Advani from Stanford.
11 I have a question for anybody from the FDA. With
12 the approval of 671, it sort of set the standard of
13 care, and it's coming as an NCCN Category 1
14 compared to the others, where you give it only as
15 adjuvant or only as neoadjuvant. You made the
16 point that there's an overall survival advantage,
17 which was not at the time of the initial thing with
18 follow-up; it has proven that.

19 If this current trial under discussion with
20 longer follow-up, but it's trending for the overall
21 survival, if this shows that, would that be
22 acceptable?

1 DR. LARKINS: Hi. Erin Larkins, FDA. As
2 mentioned, the overall survival does not remove the
3 issue of contribution of phase. Could it be taken
4 into consideration as part of the overall
5 risk-benefit assessment? Potentially. Again, I'll
6 turn to Dr. Pazdur after this, briefly, to talk a
7 little bit about one approval versus another, not
8 meaning that we should continue going the same
9 direction when we have new data emerging.

10 As far as the backbone issue, from baseline,
11 the workshop that we had, it is not clear that
12 perioperative is the standard of care throughout
13 practice. There are many reasons why tumor boards
14 go different ways. There are a lot of surgeons who
15 believe that going to surgery immediately is the
16 right course of action for patients and that
17 treatment in the adjuvant phase is appropriate.
18 There are others who feel like giving neoadjuvant
19 alone upfront is enough because we haven't clearly
20 shown that you need the adjuvant after getting
21 neoadjuvant therapy.

22 So as Dr. Goulart mentioned, we do not

1 determine practice of medicine. If there's a clear
2 benefit, we will -- for example, metastatic
3 disease, once IO became established, yes, that
4 became the new comparator arm. We're not in that
5 situation here because we're stuck with cross-trial
6 comparison, so it's very difficult for us to say
7 this is the definitive new standard of care that
8 must be used across all trials adding on new
9 therapies.

10 DR. PAZDUR: Again, I want to emphasize this
11 issue about standard of care. We do not set
12 standard of care, period. The issue here is what
13 the FDA does is approve a marketing application;
14 end of discussion. That's all we're doing.

15 Now, the issue here is if an individual
16 physician for an individual patient wants to
17 prescribe a therapy, that's what's called the
18 practice of medicine, and people do that all the
19 time, use this drug that might be unapproved for
20 this situation because he believes that that's in
21 the best interest of the patient, but that does not
22 mean that this is an approved indication.

1 I also want to emphasize this issue about
2 the prior approval and just be blunt. We are not a
3 victim of our past action, so to speak, and I want
4 to make that quite clear to everybody. That was
5 then and this is now, and we have new information
6 that has come out, and we have to evaluate the
7 situation at the current time. As was stated, even
8 if a survival advantage was shown, that does not
9 mean that you need this extra therapy, an extra
10 year of therapy here.

11 Here again, the other issue also is moving
12 forward with add-on designs, which are quite
13 problematic here, and we're going to need the
14 committee's support on this issue if you guys feel
15 that it is necessary, because if people are not
16 going to listen to our decisions, the only thing
17 that we could do is put these studies on hold
18 sometimes, and that's a very draconian action here,
19 but that may be necessary, or prevent them from
20 going on.

21 DR. SPRATT: Thank you.

22 DR. ADVANI: Thank you.

1 DR. SPRATT: Do you want the sponsor --

2 DR. HORN: Yes. We just wanted to make one
3 comment. In the NCCN guidelines, the regimen is
4 with cisplatin doublet therapy, which is what
5 KEYNOTE-671 had. AEGEAN had carboplatin and
6 cisplatin. I'd just like Dr. Gary Doherty to show
7 the analysis that was done on AEGEAN for the
8 cisplatin-containing doublet. It will be super
9 fast.

10 DR. SPRATT: I think we saw it showed the
11 same as the pembrolizumab data. It was the same
12 hazard ratio of about 0.58 in both.

13 DR. HORN: Correct, and we also have an
14 overall survival hazard ratio of point 0.64.

15 DR. SPRATT: Yes. I think we saw it
16 already.

17 We can move on to Dr. Pantelas, or I can
18 call you a doctor.

19 MR. PANTELAS: Jim. No, I'm Jim Pantelas.
20 I'm not a doctor at all. The intensification of
21 drugs seems to be an ongoing issue. We start off
22 trials saying maximum tolerated dosage, and we

1 never move off of that once we've defined it, it
2 seems. And what we're doing here is addressing
3 that at the front end, it seems, but I think we've
4 got a couple of things that raise questions for me
5 on the FDA side or the agency side.

6 You showed options for moving forward with a
7 4-arm design and a 3-arm design, and I wonder in
8 looking at the proposed 3-arm design, one of the
9 arms is standard of care. And I know we've talked
10 about standard of care, but isn't it possible,
11 through meta-analysis, to define what
12 standard-of-care results might be to make this step
13 a little bit more palatable?

14 My initial inclination is to look at things
15 and say, "Okay. Pembro got approved, and I
16 understand that maybe we're looking at that as a
17 mistake or it was a different time," but out of
18 fairness, do we look at pembro and nivolumab
19 differently than we look at this product?

20 DR. SPRATT: Jim, can you just phrase the
21 question clearly to FDA.

22 MR. PANTELAS: Sorry. Would the 3-arm

1 design accommodate use of meta-analysis for
2 standard of care?

3 DR. LARKINS: Hi. Erin Larkins. You mean
4 for future studies --

5 MR. PANTELAS: Yes --

6 DR. LARKINS: -- where we're looking to add
7 on?

8 MR. PANTELAS: -- moving forward.

9 DR. LARKINS: Yes. So again, the discussion
10 of what the appropriate control arm would be for
11 this study is one we would have with sponsors as we
12 go along. In theory, any approved therapy could be
13 a control arm. If someone wanted to use --

14 DR. SPRATT: I'm sorry to interrupt you. I
15 was told we're not going to discuss how we design
16 these trials --

17 DR. LARKINS: Yes.

18 DR. SPRATT: -- that that's probably a very
19 long discussion.

20 DR. LARKINS: Yes.

21 DR. SPRATT: If that's ok, we'll move on.

22 DR. LARKINS: Fair enough.

1 DR. SPRATT: Is that ok, Jim?

2 MR. PANTELAS: I thought these were proposed
3 designs.

4 DR. SPRATT: They are. I just feel like
5 we're going to resolve the optimal during this
6 ODAC.

7 DR. LARKINS: The backbone was something we
8 didn't want to get into because that's more
9 something to discuss with sponsors.

10 DR. SPRATT: It's conceptual.

11 MR. PANTELAS: Exactly. But I don't know if
12 your question was could we not have a control arm
13 at all, and that's definitely beyond the scope of
14 this.

15 DR. PAZDUR: Are you trying to emphasize an
16 external control here; is that the issue? Because
17 we would like to randomize studies in an adjuvant
18 setting -- that's for sure -- not using an external
19 control. I think that would be fraught with
20 danger.

21 MR. PANTELAS: Okay.

22 DR. SPRATT: We can talk more, Jim.

1 Dr. Frenkl?

2 DR. FRENKL: Thank you. Tara Frenkl,
3 industry representative. I actually have two
4 questions, if that's ok. My first one is, I also
5 really appreciate the proposed trial designs from
6 the FDA. I think it gets us closer to answering
7 this question of contribution of phases, but when I
8 look at the proposals on read of the debrief, I had
9 a couple of concerns that deviate from our
10 standards. One is, first of all, the 80 percent
11 power. Typically, industry, we do 90 percent power
12 like the AEGEAN study on the primary endpoint; less
13 chance of a false negative if the drug really
14 works, and that's the sponsor's risk.

15 The second is really the aggressive -- the
16 HRs -- hazard ratios that are assumed are very
17 optimistic, and if we address both of those
18 concerns, then we know the sample size will very,
19 very quickly go up, and then there's real
20 feasibility concerns. Then there are a lot of
21 other things like dropout and other things that we
22 consider in that feasibility. So I'm wondering how

1 realistic these numbers really are and how you guys
2 considered that, and I'm sure AZ probably thought
3 about this a lot as well, and if I could hear their
4 perspective.

5 DR. LARKINS: Erin Larkins.

6 DR. SPRATT: Could we start --

7 DR. LARKINS: Oh, go ahead.

8 DR. SPRATT: -- with the applicant on this,
9 actually? Just because they had shown their power
10 calcs.

11 DR. HORN: Leora Horn from AstraZeneca. I'd
12 like to call on Helen Mann from Biostatistics to
13 answer this question, please.

14 MS. MANN: Helen Mann, Statistics. There
15 were elements there that you talked about in terms
16 of powering, and I guess what we presented was
17 looking at powering for the contribution of phase
18 for 80 percent power, but we acknowledged that was
19 really to give an indication. We didn't have any
20 guidance for how to power the contribution of phase
21 at the time, so that's why we gave that. But we
22 have looked at it, and we could certainly present

1 options where we have smaller and larger studies
2 that look at a different power for the contribution
3 of phase. It's a problem as an industry, and we're
4 looking into how that is addressed.

5 I think there's, obviously, another issue
6 around dropout rate; again, what we've looked at as
7 an important consideration. We have in some of our
8 sample size trials looked at that, and we need to
9 build that into future designs that we all look at.
10 When we've looked at and thought about the timing
11 and the number of patients, that's made the
12 durations of the trials longer.

13 DR. FRENKL: Sorry, just one question. So
14 the 80 percent power is for looking at the
15 difference between, for example, the 3 arm, the
16 neoadjuvant and the perioperative regimen.

17 MS. MANN: Yes. I presented before the
18 examples of AEGEAN, and that's why the studies
19 were --

20 DR. FRENKL: About 2500 patients or such?

21 MS. MANN: -- yes.

22 DR. FRENKL: Okay. Thank you.

1 DR. FORD: I'd like to invite
2 Dr. Mishra-Kalyani to answer from FDA's
3 perspective.

4 DR. MISHRA-KALYANI: Thank you very much for
5 the excellent question. I'm Pallavi Mishra-Kalyani
6 from FDA Statistics. Just to clarify, in the
7 sample sizes provided in the briefing document, as
8 well as those presented today from FDA's side, the
9 primary comparison does have 90 percent power. We
10 did consider lower power for some of the other
11 comparisons, but the primary comparison of
12 perioperative setting compared to standard of care
13 does have 90 percent power.

14 I should also say that all of the
15 assumptions that we used for our power calculations
16 were informed by observed results from all the
17 perioperative trials that have already read out.
18 We looked at dropout rates, we looked at control
19 arm medians, we looked at survival rates, and we
20 included all that information. So we feel like we
21 took a very reasonable approach to understanding
22 what a sample size might look like in these

1 settings.

2 It's important to note, again, that every
3 sample size is based on the treatment effect size.
4 The larger and more clinically meaningful treatment
5 effect, which is what we are hoping for, for our
6 patients moving forward, will result in smaller
7 sample sizes. If there's a marginal effect, your
8 trial is going to need to be much larger in order
9 to demonstrate that treatment effect. Thank you.

10 DR. SPRATT: Does that answer your question?

11 DR. PAZDUR: As a teaser for the discussion
12 coming up --

13 DR. SPRATT: Can you state your name,
14 please?

15 (Laughter.)

16 DR. PAZDUR: Richard Pazdur, FDA.

17 DR. SPRATT: Thanks.

18 DR. PAZDUR: As a teaser for the discussion
19 coming up, one of the things that we should
20 consider also is pragmatic trials in this
21 situation. This is an ideal situation for a large,
22 pragmatic, simple trial with survival as an

1 endpoint. Because these drugs usually have very
2 well-known safety profiles before they get into the
3 adjuvant setting, they could have very minimal, if
4 any, safety, actually, assessment because the
5 safety of these drugs are quite well known and very
6 broad eligibility criteria. These would be large
7 trials, obviously. They probably would be
8 overpowered in a sense because we're looking at
9 demonstration of -- because there's a lot of noise
10 in these trials. But this is a consideration that
11 we should have in this setting.

12 I'd just like to emphasize this is something
13 that the FDA is very interested in. We have a
14 project called 5 in 5, looking at suggestions for
15 pragmatic trials. But this tends to be an ideal
16 situation where some of these answers can be
17 obtained, especially since we know the safety of
18 many of these drugs by the time they get to this
19 situation.

20 Just to give a brief discussion of a point
21 of the current pragmatic trial at the NCI that's
22 being done in advanced lung cancer, this trial

1 really went off gangbusters. It had very rapid
2 accrual, and what's even more important, it has
3 accrual of minority groups that have been
4 unprecedented into the NCI accrual structure here.
5 So when you have a simple trial with a simple
6 informed consent, people want to go on it. They
7 understand what's going on, and we only have one
8 basic question here, ultimately, is, does this
9 improve overall survival?

10 DR. SPRATT: Thank you.

11 I have one final clarifying question -- my
12 name is Dan Spratt -- to the FDA. I know we're
13 running short on time. We keep talking about
14 contribution of phase, but this assumes that the
15 therapeutic sequencing around an event -- in this
16 case, surgery -- has a proven interaction. So we
17 can talk about duration, sequencing, and
18 Dr. Heymach nicely noted with chemotherapy,
19 ignoring the toxicity or getting to surgery, there
20 is no clear difference in neoadjuvant/adjuvant
21 approaches. Often, there are other advantages of
22 neoadjuvant therapy. It has not been clearly borne

1 out that there is an actual proven -- there's
2 preclinical -- interaction of sequencing with an
3 event. If we all did preclinical, we would all
4 give radiation in IO and pretend we'd get abscopal
5 effects everywhere, which in the lab works great;
6 in clinic, not so much.

7 So can you please direct -- is this really a
8 sequencing specific or phase of contribution, or
9 how do you separate that from duration of therapy
10 if these trials were 2 cycles neoadjuvant and
11 1 cycle adjuvant? Are we just making this up as
12 this magical event of neoadjuvant and adjuvant?
13 Because I would think duration, when you talk about
14 financial toxicity as well as physical toxicity, is
15 what is bankrupting patients, is prolonged
16 durations with no clear need for those durations.

17 DR. KLUETZ: Yes. This is Paul Kluetz with
18 the FDA. I think it's a great question,
19 Dr. Spratt. I think, obviously, the longer
20 duration you have, the more safety risk you have,
21 and the more concern we have; and, in fact, that
22 again plays into the second question. It's going

1 to be more safety risk when you have IO plus new
2 drug in both sequences.

3 I think the key is teasing out neoadjuvant,
4 the actual specific phase. Right now, that's all
5 we can do. Generally speaking, they've been given
6 a similar duration from the adjuvant perspective,
7 but certainly the longer it goes, the more risk to
8 the patient, and the more we would be concerned
9 that there's uncertainty around the need for the
10 adjuvant phase.

11 DR. SPRATT: I would just say it's probably
12 something that should be defined because before you
13 know it, you'll have a year of neoadjuvant therapy
14 in certain diseases, and then you'll ask the
15 question, do you need a year?

16 Thank you. We are right at 11:45, so we
17 will now break for lunch. We will reconvene again
18 in this room at 12:15 pm Eastern Time. Please take
19 any personal belongings you may want with you at
20 this time. Panel members, please remember that
21 there should be no chatting or discussion during
22 the lunch break. Additionally, you should plan to

1 reconvene at around 12:10, for the panel members,
2 to ensure you are seated before we reconvene at
3 12:15 pm. Thank you.

4 (Whereupon, at 11:45 a.m., a lunch recess was
5 taken, and meeting resumed at 12:16 p.m.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(12:16 p.m.)

Open Public Hearing

DR. SPRATT: We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, the FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement, to advise the committee of any financial relationship you may have with the applicant. For example, this financial information may include applicant's payment of your travel, lodging, or other expenses in connection with your participation in the meeting. Likewise, the FDA encourages you at the beginning of your statement to advise the committee

1 if you do not have any such financial
2 relationships. If you choose not to address this
3 issue of financial relationships at the beginning
4 of your statement, it will not preclude you from
5 speaking.

6 The FDA and this committee place great
7 importance in the open public hearing process. The
8 insights and comments provided can help the agency
9 and this committee in their consideration of the
10 issues before them. That said, in many instances
11 and for many topics, there will be a variety of
12 opinions. One of our goals for today is for this
13 open public hearing to be conducted in a fair and
14 open way, where every participant is listened to
15 carefully and treated with dignity, courtesy, and
16 respect, therefore, please speak only when
17 recognized by the chairperson. Thank you for your
18 cooperation.

19 Speaker number 1, please unmute and turn on
20 your webcam. Will speaker number 1 begin and
21 introduce yourself? Please state your name and any
22 organization you are representing for the record.

1 You will have five minutes.

2 DR. STILES: Sure, and thanks for the
3 privilege of the floor. My name is Brendon Stiles.
4 I'm the Chief of Thoracic Surgery and Surgical
5 Oncology at the Montefiore Einstein Comprehensive
6 Cancer Center in the Bronx, and I've served on
7 advisory boards and in consulting positions for
8 AstraZeneca and for other pharma companies.

9 I've been involved with several neoadjuvant
10 and adjuvant trials, and I'm also active in the
11 lung cancer advocacy community, having previously
12 served as the Chair of the Lung Cancer Research
13 Foundation, where I currently serve as the Vice
14 Chair of the Board and as the Vice Chair of the
15 Scientific Advisory Board. I also serve on the
16 Scientific Advisory Board of Lungevity.

17 Additionally, I'm on the Board of the
18 European Society of Thoracic Surgery and the ATS
19 Foundation Advisory Council, and I was the senior
20 author of an expert consensus document review and
21 making recommendations for surgeons on neoadjuvant
22 and adjuvant periop data.

1 I strongly believe that we need to have
2 multiple treatment options for our patients. The
3 EFS primary endpoint with a hazard ratio 0.68,
4 favoring the addition of neoadjuvant and adjuvant
5 durvalumab to neoadjuvant chemotherapy alone,
6 clearly meets the definition of efficacy that we
7 clinicians look for as comparable to what we've
8 seen with other regimens.

9 As mentioned previously in the discussion,
10 the key distinguishing factor of the AEGEAN regimen
11 is that it included patients who were treated with
12 neoadjuvant carboplatin and cisplatin rather than
13 just cisplatin as done in the KEYNOTE-671 trial,
14 and I think the trials really are not comparable
15 given that distinction. AEGEAN therefore extends
16 the benefit of combination therapy to those
17 patients who may be unable to tolerate cisplatin.
18 While the FDA acknowledges that providers may use
19 pembrolizumab with carboplatin in the neoadjuvant
20 setting, I think we have to acknowledge that they
21 do so with a distinct lack of level 1 evidence.

22 Now, critical for me as a surgeon is also

1 the explicit understanding that as many as
2 20 percent of my patients referred for neoadjuvant
3 therapy may not make it to surgery. This is an
4 important group of patients for the AEGEAN
5 treatment paradigm. I think we must acknowledge
6 the definitions of resectability are changing.
7 It's likely that even more marginal resectable
8 patients will begin on neoadjuvant therapy in the
9 future.

10 For me and for patients in this scenario, it
11 makes total sense to start with durvalumab here so
12 that the same immunotherapy could be used in the
13 adjuvant setting for those patients who do not get
14 surgery and instead get chemoradiation. The
15 PACIFIC trial firmly established the benefit of
16 durvalumab in this setting where it's the standard
17 of care, and I will preferentially use this regimen
18 in marginal resectable patients so that they don't
19 have to change immunotherapy agents should they
20 instead get treated with chemotherapy and
21 radiation, which I think is perfectly appropriate.

22 I'm supportive that the FDA should require

1 the new trial design proposals for phase 3 studies
2 of perioperative regimens for resectable non-small
3 cell lung cancer include adequate within-trial
4 assessment of contribution of the treatment phase,
5 and while I appreciate the value of the smart
6 design proposed for future studies, I don't believe
7 the lack of it in this trial should unfairly
8 exclude patients from receiving this combination.

9 I do believe that we'll see a benefit to
10 adjuvant therapy in non-complete path responders in
11 these trials. I don't expect the overall magnitude
12 to be huge, but I do expect it to be clinically
13 meaningful for certain subgroups of patients. I
14 think we need more uptake and use to discover which
15 patients those are.

16 Finally, despite the remarkable improvements
17 we've seen in the treatment outcomes of patients
18 with lung cancer with neoadjuvant therapy, I had
19 the sobering experience of having a patient return
20 just a couple weeks ago to me with progression
21 after having a significant pathological response to
22 neoadjuvant therapy-only regimen.

1 Over a third of patients are suffering
2 recurrences or dying by just two years at follow-up
3 in these trials, and I think we all need to
4 remember that we need to responsibly set the stage
5 and partner with industry to bring novel drugs to
6 the clinic to improve the neoadjuvant response and
7 decrease recurrence with adjuvant therapy.

8 AstraZeneca's COAST and NeoCOAST platforms
9 adding anti-CD73 and anti-NKG2A antibodies to
10 durvalumab are great examples, I think, of where
11 signals of efficacy have already been demonstrated,
12 and the ability to further advance these therapies
13 in the neoadjuvant space to improve outcomes for
14 patients I think will be highly dependent upon
15 approval of the AEGEAN regimen.

16 So for these reasons, use in patients unable
17 to tolerate cisplatin; delivery of single
18 immunotherapy drug for patients who don't make it
19 to surgery and instead are treated with
20 chemoradiation; and the opportunity for
21 improvements in outcomes, on behalf of my patients,
22 I strongly encourage the FDA to approve the

1 treatment regimen. Thanks for the privilege of
2 speaking today.

3 DR. SPRATT: Thank you so much.

4 Speaker number 2, please unmute and turn on
5 your webcam. Will speaker number 2 begin and
6 introduce yourself? Please state your name and any
7 organization you are representing for the record.
8 You will have five minutes.

9 MS. DREW: Thank you, and thank you for the
10 opportunity to speak today. My name is Grace Drew.
11 I'm a medical student at the University of Texas
12 Health Science Center at Houston, and today I'm
13 speaking on behalf of the National Center for
14 Health Research. Our nonprofit research center
15 analyzes scientific and medical data and provides
16 objective health information to patients,
17 providers, and policymakers. We do not accept
18 funding from pharmaceutical companies or any
19 company with financial ties to our work, and
20 therefore we have no conflicts of interest.

21 We appreciate the chance to participate in
22 FDA advisory committee meetings like this one,

1 which bring together experts to examine data based
2 on complex treatment regimens. We agree with the
3 questions raised by FDA scientists about whether
4 the trials conducted under durvalumab adequately
5 address the possible benefits of perioperative
6 treatment compared to neoadjuvant or adjuvant
7 treatment.

8 We all understand the need for improved
9 treatments for non-small cell lung cancer.
10 Patients deserve the best possible treatments based
11 on the best possible evidence. Obviously,
12 overtreatment can be as problematic as
13 undertreatment because excessive drug dosing can
14 cause unpleasant or dangerous adverse effects,
15 toxicity, as well as a significant financial burden
16 to patients.

17 We agree that the AEGEAN trial met its
18 primary endpoint by demonstrating a statistically
19 significant and clinically meaningful improvement
20 in event-free survival; however, we agree with FDA
21 scientists that the design of the AEGEAN study does
22 not allow for a within-trial assessment of the

1 individual contributions of durvalumab given
2 concurrently with chemotherapy in the neoadjuvant
3 phase compared to durvalumab given in the adjuvant
4 phase. This is especially important because
5 emerging data from completed trials of
6 neoadjuvant-only, adjuvant-only, and perioperative
7 immune checkpoint inhibitor regimens across other
8 drugs in the class raise questions about the need
9 for immune checkpoint inhibitors in both
10 perioperative phases of therapy.

11 Even more important, we agree with the FDA's
12 concern that the AEGEAN trial indicated a
13 non-significant reduction in disease-free survival
14 in the patients that received durvalumab both
15 before and after surgery. Since it is not
16 statistically significant, this could have occurred
17 by chance or could be a lasting effect of
18 durvalumab in platinum chemotherapy treatment
19 before surgery. This non-significant finding
20 contributes to the uncertainty about whether it is
21 beneficial for patients to receive durvalumab both
22 before and after surgery rather than one or the

1 other.

2 We agree with the FDA scientists that it is
3 not appropriate to conclude that durvalumab
4 improves disease-free or overall survival, although
5 we also agree that the data suggests that
6 durvalumab probably doesn't reduce disease-free or
7 overall survival. While the overall survival rate
8 exceeded expectation, it was not significantly
9 greater than the overall survival of the placebo
10 patients, and therefore could have occurred by
11 chance. In addition, the results may be biased
12 because the patients in the modified resected set
13 may have differed from the placebo group in ways
14 that affected disease-free survival. Thus, we
15 cannot conclude that durvalumab given both before
16 and after surgery improved overall survival.

17 In conclusion, the one statistically
18 significant benefit, event-free survival, could
19 have been due to durvalumab given either
20 concurrently with chemotherapy in the neoadjuvant
21 phase or in the adjuvant phase. The other results
22 show no statistically significant benefit in terms

1 of disease-free or overall survival. The FDA is
2 responsible for making a decision based on studies
3 that are adequately designed to address the benefit
4 of perioperative treatment as compared to
5 neoadjuvant or adjuvant treatments. Unfortunately,
6 better designed trials are necessary to determine
7 the safest and most effective regimen for
8 durvalumab therapy. Thank you.

9 DR. SPRATT: Thank you.

10 Speaker number 3, please unmute and turn on
11 your webcam.

12 DR. ONDA: Thank you. Mr. Chair, members of
13 the committee --

14 DR. SPRATT: Real quick, sorry, one second.
15 Will speaker number 3 begin and introduce yourself?
16 Please state your name and any organization you are
17 representing for the record. You will have five
18 minutes. Thank you.

19 DR. ONDA: Mr. Chair, members of the
20 committee, thank you for allowing me to speak
21 today. AstraZeneca has not provided any financial
22 support for my testimony today. My name is Pierre

1 Onda, and I'm a recently retired primary care
2 physician. Today, I'm here not as a recipient of
3 durvalumab but simply as the spouse of someone who
4 took it for over a year. I hope to share how my
5 perceptions of terms like "likelihood of improved
6 progression free survival" or "chances of improved
7 survival rates" have changed through my personal
8 experience.

9 My wife Heidi was diagnosed with late-stage,
10 unresectable, non-small cell lung cancer in October
11 of 2018. She will be testifying shortly. On
12 October 15th of 2018, while I was in my office
13 seeing patients, Heidi's gynecologist called me.
14 He wanted to let me know the results of her CAT
15 scan that he had ordered as part of an evaluation
16 of an atypical cyst she had. He was unable to
17 reach her, but I had HIPAA release, and his office
18 was just down the hall from mine, and he wanted to
19 discuss the results with me in person. He informed
20 me that while Heidi's ovarian cyst had shrunk and
21 appeared benign, the radiologist had noted
22 something abnormal in her lung.

1 I rushed to his office, and I had already
2 pulled up her chest CT. I was hoping to see
3 something like a small granulomatous nodule, but I
4 saw a very frightening looking mass in her left
5 upper lobe. I then scanned the report and was
6 devastated to read the following, quote,
7 "2 and a half centimeter spiculated nodule; would
8 favor bronchogenic carcinoma with malignant
9 mediastinal lymphadenopathy; recommend PET CT or
10 CT-guided biopsy."

11 Please try to put yourself in my shoes. The
12 subsequent PET scan, biopsy, and reviews by two
13 tumor boards confirmed inoperable stage IIIA,
14 non-small cell lung cancer, and the treatment plan
15 recommended was for concurrent chemoradiation
16 followed by one year durvalumab infusions. At the
17 time, I knew very little about durvalumab. All I
18 could focus on was really the evaporation of the
19 future plans I had for us.

20 My research through Google and Ovid
21 presented Kaplan-Meier curves with pretty dismal
22 survival rates for her stage. Fortunately, Heidi's

1 medical oncologist informed us that durvalumab had
2 been approved and included in the NCCN treatment
3 guidelines just a month before her diagnosis. He
4 shared data from the PACIFIC trial, highlighting
5 that, quote, "The median time to death or distant
6 metastases was 28 months in the durvalumab group
7 compared to 16 months in the placebo group, along
8 with numerous side effects," but before Heidi's
9 diagnosis, I underestimated the significance of
10 treatment outcomes like these, and now I see any
11 extension of time, or the possibility of time, a
12 day, a month, or a year, is invaluable.

13 Reflecting on the past 66 months since
14 Heidi's diagnosis and everything we've experienced
15 together, I value every moment. Almost
16 irrespective of duration, each day offers the
17 chance for unique and wondrous experiences:
18 another hike through a beautiful forest, witnessing
19 our child's wedding, the birth of a grandchild, or
20 simply enjoying a quiet evening watching a movie
21 together.

22 So as you deliberate on the risks and

1 benefits and evidence-based data of the proposed
2 therapeutic indications, please consider the value
3 of time from the perspective of those affected by
4 the treatment options you are considering. Thank
5 you for your time and for the challenging work that
6 you do.

7 DR. SPRATT: Thank you so much.

8 Will speaker number 4 please unmute and turn
9 on your webcam? Will speaker number 4 begin and
10 introduce yourself? Please state your name in any
11 organization you are representing for the record.
12 You will have five minutes. Thank you.

13 MS. NAFMAN-ONDA: Yes. My name is Heidi
14 Nafman-Onda. I am a lung cancer survivor advocate.
15 I'm representing myself, and I have no connection
16 to AstraZeneca for this testimony today.

17 So this is us. This is me and my family.
18 Being a lifelong health enthusiast, health
19 educator, and a fitness trainer, my family and I
20 were shocked with my stage IIIA inoperable lung
21 cancer diagnosis in October of 2018. What was
22 really scary about this was that I had no symptoms.

1 This was an incidental finding while investigating
2 another health issue. I had no biomarkers come
3 back and no PD-L1, so I was inoperable, and I was
4 told that I was pretty much terminal within
5 4 to 6 months and to get my affairs in order. But
6 then I was given so much hope by my oncologist. He
7 told me about durvalumab, which had been recently
8 FDA approved prior to my diagnosis, and that if I
9 didn't progress after chemoradiation with cisplatin
10 and pemetrexed, and 30 radiation treatments, that I
11 could get this new immunotherapy every other week
12 for a year.

13 I experienced with durvalumab some side
14 effects, but I always refer to them as nuisances
15 because they really didn't affect my quality of
16 life. I would get some mild aches and pains
17 muscularly and sometimes joints in my hands, which
18 were mitigated by taking ibuprofen, and I always
19 had a mild case of psoriasis throughout my life,
20 and it elevated to a moderate case, which I still
21 have today, and a little bit of dry mouth.

22 These are my scans. Here's the incidental

1 finding in October of 2018 and my most recent scan
2 in April of 2024. I am very, very grateful for the
3 FDA approval of durvalumab in 2018, and I live a
4 quality of life that is very important to me, and I
5 have now been able to witness the marriage of
6 another child after my diagnosis and
7 2 grandchildren that were born within 4 months of
8 each other in 2023.

9 I appreciate you giving me the opportunity
10 to be seen today because I am one of those dots on
11 the graphs that you look at in terms of data. I
12 appreciate you seeing me today. We are a family,
13 and we appreciate research and the hope that it
14 gives to others, and I hope that this can also help
15 surgical candidates, people who are diagnosed
16 earlier than I was. Thank you.

17 DR. SPRATT: Thank you so much, and
18 appreciated.

19 Speaker number 5, please unmute and turn on
20 your webcam. Will speaker number 5 begin and
21 introduce yourself? Please state your name and any
22 organization you are representing for the record.

1 You have five minutes.

2 MS. JONES: Hello. My name is Janise Jones.
3 I'm a lung cancer survivor. I have no connections
4 to AstraZeneca. I'm a 54-year-old wife, mother,
5 and grandmother. I was diagnosed with stage IA,
6 non-small cell lung cancer October 2018. From
7 there, November of 2018, I had a lobectomy done to
8 remove the right upper lobe. After that, 3 months
9 later, I went in for a follow-up CT scan after
10 surgery, and they noticed a lymph node on my chest
11 was inflamed. It was recommended for them to keep
12 an eye on it and for me to have another CT scan
13 3 months later. I did that in May. It came back,
14 and it was 2 times the size it was prior.

15 From there, I had to do a PET scan for
16 staging, then an ultrasound of the lymph node, and
17 after that, I started chemo, aggressive cycles of
18 3 chemo cycles, then aggressive chest radiation
19 5 days a week for 31 days. After I was done with
20 treatment in August of 2019, October of 2019, I
21 started my immunotherapy treatment, and it lasted
22 till November of 2020.

1 As far as side effects, they weren't bad. I
2 was feeling nauseated, weakness, a lot of fatigue,
3 body aches, but it was nothing that stopped me from
4 doing my day-to-day tasks. I firmly believe that
5 with me doing immunotherapy, it has kept me in NED
6 ever since, and I'm grateful for that. I feel that
7 it was the best choice that my oncologist made for
8 me, and I feel their research is very important and
9 it does matter. Thank you.

10 DR. SPRATT: Thank you so much.

11 Alright. Speaker number 6, please unmute
12 and turn on your webcam. Will speaker number 6
13 begin and introduce yourself? Please state your
14 name and any organization you are representing for
15 the record. You will have five minutes.

16 MR. BJORK: Thank you very much for this
17 opportunity. My name is David Bjork, and I'm
18 speaking on behalf of myself, and I do not have a
19 financial relationship with AstraZeneca for my
20 testimony today. I'm a lung cancer survivor,
21 patient advocate, and research evangelist. I'm a
22 member of the IASLC Patient Advocacy Committee and

1 the Stars Scholar Program. I'm also a member of
2 the Patient Insights Board of Medidata.

3 The Greek meaning of evangelist is bringing
4 the good news. I'm always hoping for more good
5 news about treatments for lung cancer. I'm
6 speaking here today on behalf of the lung cancer
7 community and those people affected by a lung
8 cancer diagnosis: patients, care partners,
9 families, and healthcare providers. As we all
10 know, in 2024, 325,000 people will die from lung
11 cancer in the United States, and it's by far the
12 leading cause of cancer death.

13 I'm here to share that it was devastating
14 for me when I got my diagnosis, and I was diagnosed
15 several years ago when I was a healthy 35 year old
16 with three young children under the age of 6.
17 There were no good treatment options then, and I
18 will never forget how I felt when my doctor called
19 me and said, "Dave, you have lung cancer. You need
20 to come see me." All you need to do once you're
21 diagnosed is to look up the statistics and realize
22 that your chances of survival are not good. I was

1 devastated, but I'm grateful that I had a good
2 outcome after my lobectomy, and I hope now that
3 other people can have the same outcome as I did.

4 Over the past several years as new treatment
5 regimens have been approved, this has given so much
6 hope to patients and families, and every new
7 treatment means so much to our community and to the
8 healthcare providers that can bring positive news
9 to patients that will benefit from these new
10 treatments. We all get so excited about how
11 targeted therapies and immunotherapies have
12 transformed outcomes for non-small cell lung cancer
13 patients, and we know that early stage is where we
14 can intervene to maximize our treatments.

15 The AEGEAN study adds treatment choices for
16 patients with resectable non-small cell lung
17 cancer, but more needs to be done. I'm not a
18 scientist, but my understanding is the AEGEAN
19 trials have been studied by as much benefit for
20 patients as possible, and that's what matters to
21 me, and that's so important to people like me and
22 friends who are affected by lung cancer. Every new

1 treatment option brings real hope and gives people
2 time, and time is so valued for a lung cancer
3 patient. I have friends who think of time in terms
4 of months being a lifetime because that might be
5 all the time they have left; it is that urgent.

6 Lung cancer is such a deadly disease, and
7 getting a diagnosis is so different than these
8 other diseases. I firmly believe that we need to
9 act with a sense of urgency. I have personally
10 lost many friends to lung cancer in the past few
11 years, which has had a profound impact on me. The
12 disease burden for lung cancer is very high, and
13 experiencing things like worrying about the next
14 scan or not knowing the outcome is heart wrenching,
15 and it's beyond what most people can even
16 comprehend.

17 I'm a person that believes in empathy and
18 more empathy in our healthcare system, so if a
19 member of your family was diagnosed with lung
20 cancer, how can you feel? Any new treatment that
21 brings better outcomes is what gives hope and real
22 benefit to patients and families. In closing, I

1 want to say that in spite of the good news, I
2 believe that there's still unmet need to bring more
3 treatment options to people affected by a lung
4 cancer diagnosis, and I'm super grateful for this
5 opportunity to share my perspective with you today.

6 DR. SPRATT: Thank you so much. I'd like to
7 thank all the speakers.

8 The open public hearing portion of this
9 meeting has now concluded and we will no longer
10 take comments from the audience. I'm going to move
11 forward, in that I feel everyone had asked
12 clarifying questions, so I'm going to move forward
13 to the discussion unless someone feels it is a very
14 important clarifying question.

15 (No audible response.)

16 DR. SPRATT: So then I need to read the
17 following.

18 (Laughter.)

19 **Clarifying Questions (continued)**

20 DR. SPRATT: As we have additional time, we
21 will now take remaining clarifying questions.
22 Please remember to state your name for the record

1 before you speak and direct your question to a
2 specific presenter, if you can. If you wish for a
3 specific slide to be displayed, please let us know
4 the slide number, if possible. As a gentle
5 reminder, it would be helpful to acknowledge the
6 end of your question with a thank you and end your
7 follow-up question with, "That is all for my
8 questions," so we can move on to the next panel
9 member.

10 Are there clarifying questions for the
11 presenters?

12 DR. FRENKL: Tara Frankel, industry rep. I
13 just had a question because FDA brought it up about
14 BR.31, and I noted that it was a slightly different
15 population. So I wanted to ask the applicant if
16 they could elaborate on if there's any
17 considerations that we would have when considering
18 that different patient population, knowing that the
19 data is embargoed until whenever it's going to be
20 presented. I'm not sure when that is.

21 DR. HORN: Leora Horn, AstraZeneca. I'd
22 like to call on Dr. John Heymach to maybe describe

1 the difference between a group of patients as
2 they're being selected for therapy or perioperative
3 study versus an adjuvant study.

4 Dr. Heymach?

5 DR. HEYMACH: Thank you. John Heymach from
6 MD Anderson. For those that don't treat lung
7 cancer, I just thought I would describe in detail
8 what the difference between an adjuvant study is
9 and a perioperative study, and how these
10 populations really are very different.

11 If I could get slide number 4 up? Recall in
12 a perioperative study, or a neoadjuvant study, the
13 randomization occurs before the beginning of any
14 treatment. So you've got neoadjuvant therapy, and
15 in every study that I'm aware of, and there've been
16 many studies done with neoadjuvant therapy, the
17 dropout from the time of that initial randomization
18 to surgery tends to be 15 to 18 percent, and
19 there's remarkable consistency there.

20 Now, after surgery, then you move on to
21 adjuvant immunotherapy, and typically about 65 to
22 68 percent of patients make it to the adjuvant

1 phase. I just want people to remember, one-third
2 of the patients drop out before they make it to
3 adjuvant, so the group that makes it to adjuvant
4 therapy is only the two-thirds of patients that
5 have the best prognosis; that successfully had an
6 R0 resection. And remember, a lot of patients go
7 into surgery and are found to not be operable, you
8 do an exploratory thoracotomy, and then you stop
9 it. At that point, all those patients are taken
10 off the board when it comes to an adjuvant study,
11 so they never make it to the study.

12 When you get to an adjuvant study now, it's
13 only the best two-thirds that made it through, had
14 the R0 resection, had chemo, and want to proceed.
15 If you look at DFS then, that's the two-thirds of
16 patients who already made it through. So when
17 you're comparing an adjuvant study to a
18 perioperative study, any hazard ratios you see
19 dramatically underestimate the true difference in
20 benefit if those patients were to get randomized
21 from the beginning because, again, one-third of the
22 patients that drop out all around the ledger, if

1 you will, for the perioperative study, they never
2 get on the ledger for patients in the adjuvant
3 setting.

4 So if you go to the number 1 slide please,
5 that setting, you'll see the numbers here for the
6 adjuvant studies, the 0.81 and the 0.85 for the
7 KEYNOTE-091 and the IMPower-010. Those numbers
8 that were put up are different than the ones you
9 saw before from the FDA. The difference here is
10 we're showing all the PD-L1 levels because the
11 perioperative studies included all the PD-L1
12 levels. We're not showing just the selected PD-L1
13 levels that the FDA label for the IMPower-010
14 include.

15 So when you include apples to apples of all
16 PD-L1 levels, you see the hazard ratio is 0.81 to
17 0.85, and for the perioperative, 0.59 to 0.69. But
18 again, that underestimates the true difference
19 because of that one-third of patients that drop out
20 before you ever get to the adjuvant study. So this
21 is really just to highlight that the populations
22 are very different between the adjuvant and the

1 perioperative.

2 The last point I'll make, we don't typically
3 put more advanced patients who have N2 nodal
4 disease on to pure adjuvant studies. Those
5 patients, the ones with more advanced disease, we
6 typically want to put on a perioperative or
7 neoadjuvant study because neoadjuvant very commonly
8 downstages patients, expands the number, and
9 they're potentially resectable there. And for that
10 reason, 49 percent of the AEGEAN patients who had
11 N2 disease, 71 percent were stage III disease. So
12 the perioperative population is a more advanced
13 population than the selected patients who make it
14 through into the adjuvant setting. Thank you.

15 DR. SPRATT: Is that sufficient?

16 (No audible response.)

17 DR. SPRATT: Okay.

18 I guess to add on to that, in the slide
19 shown, only 470 out of 800 patients on the AEGEAN
20 trial were resected, so that's the resected
21 population. So it goes back to, these patients, as
22 a radiation oncologist, should they be having

1 surgery?

2 (Laughter.)

3 **Questions to the Committee and Discussion**

4 DR. SPRATT: But anyways, we will move now
5 forward.

6 The committee will now turn its attention to
7 address the task at hand, the careful consideration
8 of the data before the committee, as well as the
9 public comments. We will now proceed with the
10 questions to the committee and panel discussions.
11 I would like to remind public observers that while
12 this meeting is open for public observation, public
13 attendees may not participate, except at the
14 specific request of the panel. After I read each
15 question, we will pause for any questions or
16 comments concerning its wording.

17 We'll proceed with our first question, which
18 is a discussion question. In light of the
19 uncertainty around the need for both phases of
20 treatment, discuss whether an additional trial
21 should be conducted to clarify the contribution of
22 treatment phase for the durvalumab perioperative

1 regimen prior to approval.

2 Are there any questions regarding the
3 wording of this question?

4 (No response.)

5 DR. SPRATT: If there are no questions or
6 comments concerning the wording of the question,
7 we'll now open the question to discussion.

8 Dr. Lieu?

9 DR. LIEU: This is Chris Lieu, University of
10 Colorado. I'll just open up the way I perceive the
11 data. We have two agents, pembrolizumab and
12 durvalumab, in a perioperative setting that show
13 positive results. We know that something about
14 this strategy improves event-free survival, and
15 when you look at KEYNOTE-671, there's an overall
16 survival benefit.

17 So when you look at the risks -- and I think
18 this is really obvious -- what are we worried
19 about? It's that we're giving a year of adjuvant
20 therapy and potentially harming patients in that
21 without any survival benefit. And we just don't
22 know the answer to that, and I think that that's a

1 legitimate risk. But again, the second thing to
2 consider is that if you require a study to
3 determine the benefit of each phase of treatment,
4 you're looking at a 6-plus year time frame of
5 trying to answer that question. And the problem
6 with that, and to go to the extreme, if we apply
7 that standard to pembrolizumab, then you're denying
8 patients access to medications that we know work in
9 terms of disease-free survival and maybe overall
10 survival for 6 years just to figure out which phase
11 is working.

12 I think that's a very, very critical
13 question, but I also don't want to prevent access
14 to at least what we think is a winning strategy,
15 something about that is a winning strategy, so I'd
16 like to put that in the hands of the patients and
17 the physicians. I think there's obviously a lot of
18 confusion about the state of the field and what the
19 data shows, and I understand that the current
20 trials are not perfectly answering the question
21 either.

22 CCTG gives you a little bit of data in the

1 adjuvant setting, although Dr. Heymach made a great
2 point about that patient population, and then we
3 have some cooperative group studies that will
4 answer this question. SWOG has a path CR study
5 that will start to answer this question because we
6 believe a lot of this therapy benefit is in the
7 neoadjuvant setting, and then we have an upcoming
8 cooperative group study that will help answer the
9 question of the non-path CR. So I think in the
10 time frame, I think we will get some clarity, but
11 in the meantime, I'd like to have this as an option
12 available to patients and their providers.

13 DR. SPRATT: Dr. Lieu, this is Dan Spratt.
14 To push you on this a little further for
15 discussion, the applicant sample size for a 3-arm
16 trial extended the duration about 2 years and
17 change, and to say something very provocative,
18 AstraZeneca in 2023 generated \$4.3 billion from
19 durvalumab. About 2 days of revenue, you could
20 generate probably enough to get one more arm of
21 trial for 2 years of data and patients. That's a
22 year of therapy. The financial toxicity part of

1 this is substantial; it's hundreds of thousands of
2 dollars.

3 So do you really think -- because this was
4 discussed prior to the onset, I agree if you're
5 moving the puck after, whether fairness is even
6 relevant. We now are left with the patients to
7 take this burden, so can you respond to that?

8 DR. LIEU: This is Chris. I think it's a
9 great point. I think it's a question that has to
10 be answered, and the question that we're really
11 tasked with here is should we delay the
12 availability of therapy for this amount of time to
13 answer that question? And I think that it would be
14 reasonable for people to go both ways on this.

15 What I would say is that, again, because the
16 strategy has shown disease-free survival benefit
17 and in one trial overall survival benefit, that
18 should be available now while we answer this very
19 critical question. Then, I know what we're going
20 to get on moving forward is, "Well, what should the
21 future look like?" And I'm sure we're going to
22 talk a lot about that, but I don't think it should

1 look like this.

2 DR. SPRATT: I want to go out of order
3 because you asked the question, Dr. van Berkel. As
4 a thoracic oncologist, surgical oncologist, you
5 asked a question earlier, we've got multiple
6 options on the table here with variably similar EFS
7 rates. I guess to ask you the question, if you
8 have a patient where you can give a perioperative
9 regimen versus a neoadjuvant-only with all the
10 flaws of cross-trial comparison with similar EFS
11 hazard ratios of benefit, if we turned the table on
12 you, how would you answer that question?

13 DR. VAN BERKEL: Sure. I'm Victor van
14 Berkel, again, from University of Louisville. I
15 think it's important to say that as a
16 surgeon -- thankfully or unthankfully, I suppose,
17 depending on how you look at it -- I don't end up
18 having to have that conversation too often because
19 when it comes to me, I end up operating on
20 somebody, and then we say, "Alright. You either
21 need to see the medical oncologist or you don't."

22 When it comes to having discussions about

1 neoadjuvant therapy, however, my world has changed
2 a great deal in the last couple years with the
3 trials that have come out because now we have to
4 consider neoadjuvant therapy for people that
5 otherwise we would have just taken to the operating
6 room and worked on, and if we found something
7 surprising afterwards, they would get treatment.

8 Of course, every time someone comes to me in
9 a post-operative setting, and I have to tell them,
10 "Yes, now you're going to need some treatment,"
11 they're there full of questions, and of course I
12 try to answer them as best that I can. And I
13 think, unfortunately, the answer that I have to
14 tell them is that I don't really know what the
15 right answer for them is. And, of course, their
16 question is always like, "Well, if you were me, or
17 if I was your mom, or if I was your sister, or
18 whatever, what would you do?" And it's hard for me
19 to look at some of this data and be able to give
20 them a clear answer about that.

21 I should be clear about this as well. I
22 love immunotherapy. It's incredible, and the

1 impact that it's had, especially in Kentucky,
2 because everybody freaking smokes -- we have cancer
3 out the wazoo in Kentucky, and the impact that
4 immunotherapy has had in our community in the last
5 5 years, in taking people who are stage III and
6 stage IV and giving them meaningful existence
7 afterwards, is incredible, and it's been a
8 wonderful thing to see.

9 My dad died of stage IV lung cancer before
10 this was an opportunity for him, and I regret that
11 every time that I think about it. So I am very
12 much in favor of immunotherapy and the benefit that
13 it has done for people, but that's clear for
14 stage III and stage IV patients. Me, I tend to see
15 people at the earlier stages, and a lot of these
16 adjuvant therapies, the benefit is kind of marginal
17 sometimes.

18 Knowing how to balance that, the potential
19 risks of their treatment -- for example, in the
20 studies that were put up here, there was a
21 1 percent overall mortality rate from the
22 immunologic adverse events. That's not

1 insignificant. It's not zero. It's not high, and
2 most of the impact is relatively minor. And I
3 always tell people, immunotherapy is a lot easier
4 to deal with than chemotherapy is, for sure, but it
5 still has problems.

6 So this is a very rambling way to tell you I
7 don't know how to answer that question for people.
8 I think, unfortunately, I don't know that we're
9 going to -- to speak to what Dr. Lieu was saying, I
10 worry that trying to get an answer to that question
11 is going to prevent people from getting care that
12 they would benefit from, and finding that balance
13 is, to be sure, a challenge.

14 DR. SPRATT: Thank you.

15 Dr. Madan?

16 DR. MADAN: Yes. Thank you. Ravi Madan,
17 medical oncologist, National Cancer Institute. I
18 think that the question here is important because
19 we need to figure out what the contribution is of
20 all the therapies we're using in clinic; and it's
21 getting more and more complicated with the
22 proliferation of options that are available, but I

1 think we need to be more deliberate in how we
2 design these trials.

3 Now that having been said, we're on a path
4 that was set forth six years ago and whatever
5 happened, happened, and now we're here with data
6 that's pretty good for patients, and you can't
7 unring that bell. So as a purist and from an
8 idealist perspective, it would be great to do this
9 trial, and it would take 5 to 6 years. You did the
10 cost analysis, and that was pretty good.

11 But there's a pragmatic component here of
12 how do you tell a patient that we've got some data,
13 it's pretty good, and it may be adding a little bit
14 of added toxicity? Fortunately, the immunotherapy
15 is not adding a ton of toxicity here, but it's a
16 little bit of an individual roll of the dice for
17 patients to say I'm going to forgo what standard
18 options are and do a trial where I may be getting
19 less than is helpful for me. I don't know how I
20 would handle that. I'm kind of leaning in towards
21 not doing a trial like that, and that's going to be
22 a huge obstacle for accrual, so some of these

1 timelines that we're kicking around could be a lot
2 longer. Then you create a situation where we've
3 got to go to other regions of the world, and then
4 it creates an ethical dilemma of should we be doing
5 this at all in those environments just because
6 they're in a different part of the world?

7 So I do hope that we'll get more
8 understanding from ongoing trials and future data,
9 and we can revisit this, but I think to delay this
10 at this point is very complicated for patients and
11 their providers.

12 DR. SPRATT: Thank you, Dr. Madan.

13 To that point, they showed the data from
14 PACIFIC-1, which is practice changing. I think
15 many of the open public hearing speakers were
16 basically treated on the PACIFIC regimen and
17 clearly very impactful. Not shown was PACIFIC-2,
18 which was concurrent and we'll call it adjuvant or
19 consolidated therapy, which was negative. So the
20 standard still remains just the adjuvant or
21 consolidated therapy.

22 A question that comes up is if you had just

1 run all of that in one trial and, A, if they
2 started with concurrent and adjuvant, you would
3 have just killed this option for patients saying
4 this doesn't help when actually it has a massive
5 benefit, as we heard from speakers and the data, so
6 it goes both ways. It's nice when it's positive,
7 but it can go the opposite way as well. I guess I
8 still question is 2 years too long to wait for the
9 potential for decades to come, but very valid
10 points that you bring up, of course.

11 DR. MADAN: Ravi Madan, NCI. I would just
12 say that part of what the future should entail, as
13 Mr. Pantelas highlighted earlier, is we start off
14 big and then de-escalate, and I would hope that
15 there would be trials in the future, maybe say
16 6 months versus 12 months. Maybe that can be done.
17 Maybe that's done in a cooperative group. So I do
18 think there are ways to re-evaluate this over time.
19 The question is, is the immediate delay required
20 and feasible?

21 DR. SPRATT: Thanks.

22 Actually, Dr. Mitchell, I believe is on

1 Zoom. Sorry. Mr. Mitchell.

2 MR. MITCHELL: Yes, I am Mr. Mitchell. I'm
3 the consumer representative for today's ODAC, and I
4 want to start from a consumer perspective. Folks
5 keep mentioning the issue of the cost of treatment
6 and they have it go on for a year. I always try to
7 bear in mind that the job of the FDA is to decide
8 whether a drug is safe and effective and it doesn't
9 have a direct role in cost. Whether we take that
10 into account or not as an advisory committee I
11 suppose is another matter.

12 But the question here has nothing to do with
13 cost, and Dr. Lieu and Dr. Madan both have touched
14 on how I think about what I have heard today and
15 read in preparation for this meeting. This drug
16 and this trial met its primary endpoint, and
17 patients were helped, and it's kind of that simple
18 for me. I also happen to be a multiple myeloma
19 patient that takes 4 drugs right now, a lot of
20 drugs, very expensive, and side effects.

21 But the question is, should we require
22 another study that would extend the time before

1 people get access to this drug that has been shown
2 to meet the primary endpoint, it has been shown to
3 help patients, and should we require that before
4 the FDA allows approval? My answer would be no, we
5 shouldn't. We should make this drug available
6 because it helps patients now.

7 What took place -- someone mentioned 6 years
8 back -- is kind of like water under the bridge, or
9 over the dam, whichever you like. We have before
10 us something that can help patients, and the
11 toxicities appear to be tolerable. We don't know
12 whether it's the neoadjuvant phase or the adjuvant
13 phase; we don't, but we know that it does help
14 patients.

15 So I do not think that we should be
16 requiring a study to determine which phase of
17 treatment is doing what, now, prior to approval,
18 but we're going to talk, I think, next about
19 whether we should be requiring studies in these
20 circumstances that address that question in the
21 future, so I will be discussing that issue in that
22 context when we get to the next question. I hope

1 that was clear.

2 DR. SPRATT: Thank you, very helpful.

3 Mr. Pantelas?

4 MR. PANTELAS: Jim Pantelas. As somebody
5 that's lived through a diagnosis of lung cancer,
6 has been through surgery, chemo, radiation and
7 18 years of life since then, I have to say I know
8 most of the people that called in. This is a very
9 tight community and we're losing too many people
10 daily.

11 We have a product here that has strong
12 indications of working. I mean, we've got proof
13 that it is helping. I understand the concern about
14 the 1 percent death rate that may be attributable.
15 When I was diagnosed, I was given less than
16 2 percent chance of making it to 2 years, so from a
17 patient perspective, I think every patient would
18 take that gamble. Ninety-nine percent you'll
19 survive and 1 percent you won't, for a lung cancer
20 patient, those are wonderful odds.

21 I think what we're asking here is the right
22 question but maybe in the wrong way. Is there a

1 way to incentivize the drug manufacturers of these
2 three drugs to do the add-on work to look at a
3 de-escalation of the drug if we approve this? I'm
4 all for de-escalating the amount of drug that we're
5 asked to take, but I wouldn't take this away from
6 the community.

7 DR. SPRATT: Thank you so much.

8 Dr. Rosko?

9 DR. ROSKO: Ashley Rosko. The one point
10 that I also wanted to bring up was about
11 three-quarters of these patients received
12 carboplatin as part of their neoadjuvant therapy,
13 which a lot of clinicians use in practice, so it
14 provides that additional support and additional
15 added benefit for patients in the perioperative
16 setting to receive a drug that they would commonly
17 receive anyways.

18 Then I just also wanted to mention about the
19 adjuvant therapy in terms of that one year. I do
20 trust that clinicians are comfortable with the side
21 effects and toxicities of this therapy and that if
22 a patient were to be experiencing adverse events,

1 that they can discontinue the therapy as an option
2 as well. I think this study as it's been designed
3 doesn't answer the question about phases, and,
4 really, I again agree with some of the sentiment
5 that this offers an important opportunity for
6 patients to receive a neoadjuvant with a
7 carboplatin-based therapy, and then also to trust
8 the clinicians to be able to withdraw therapy in
9 the event that they're experiencing toxicities.

10 I also want to mention that adjuvant therapy
11 or maintenance therapy becomes a slippery slope. I
12 know we're talking about phases of research as it
13 applies to the post-surgical, but I also know that
14 that maintenance phase also become slippery in
15 terms of the duration of therapy that a patient
16 would benefit from, so it just lends to making sure
17 that this doesn't come into some type of perpetual
18 type of adjuvant therapy either.

19 DR. SPRATT: Great points.

20 Dr. van Berkel?

21 DR. VAN BERKEL: Thank you. It's Victor
22 van Berkel again. I guess my question perhaps is

1 more directly relevant to question number 2, but I
2 think it actually bleeds into a little bit of
3 question number 1, and I apologize if this ends up
4 being a bit of an inflammatory question for the
5 FDA. I guess my question is, we're going to say we
6 want trials to look like this, and as a scientist,
7 I understand that we want the best possible data
8 about things. We say we're going to do a 4-arm
9 trial and figure out really what things are going
10 on.

11 Six years ago, you guys told AstraZeneca,
12 "Okay. We think we would like you to do this," and
13 they didn't, and now we're talking about approving
14 it anyways. The other trial, I don't know if
15 similar discussions were had at that
16 time -- imagine that they were -- and that drug was
17 approved also. So if we say, "Well, you didn't do
18 that, but okay, we're going to approve you anyway,"
19 and now we come to question number 2, and we say,
20 "You need to have a 4-arm trial," and they go,
21 "Well, alright," and then 6 years from now, we have
22 another set of data that they don't have a 4-arm

1 trial and they didn't do that, at what point does
2 your recommendation for what they should do have
3 teeth?

4 DR. LARKINS: Hi. This is Erin Larkins from
5 FDA. Thank you. That's exactly the point of why
6 this was brought here. As we discussed before, we
7 want exactly what you're giving us, your opinion.
8 You'll notice we didn't ask a risk-positive/
9 risk-benefit question. We want it to be discussed
10 what you think is reasonable, is there a
11 risk-benefit, and that's your purview for
12 discussion, and we want to hear that opinion. But
13 we've tried to separate out data in hand, which
14 you're dealing with here, and future, and that's
15 the point.

16 At the time we didn't have any IO data. We
17 didn't know what IO or any of these would do in
18 this setting, so we didn't have a strong scientific
19 safety argument to say we're going to put your
20 study on hold if you don't do a 3-arm study design.
21 We feel now that we do have enough data generated
22 to say that this is really not the best approach to

1 continue taking.

2 That's a large part of what the second
3 discussion is for, is to say do you think we should
4 have more teeth to say we're potentially going to
5 put a study on hold because you can't meet stated
6 objectives if you do a 2-arm study design? Because
7 your stated objective should really be to prove
8 that both parts of the regimen are having an
9 effect.

10 To be clear, in an ideal world, do we love a
11 forum study design with formal comparisons between
12 each arm so that we can say exactly where the
13 benefit is? Yes, but we realize that's not
14 realistic. We are open to discussions with
15 companies, as we were at that time for 3-arm study
16 design proposals, proposals for prespecified
17 descriptive comparisons between the two
18 experimental arms. That's where a big part of the
19 upsizing comes in these trials because you're not
20 expecting, necessarily, a massive difference
21 between, say, neoadjuvant only and a perioperative
22 regimen when you're adding them together. Maybe

1 you're expecting an incremental bump.

2 We are open to discussions on whether a
3 descriptive analysis would be fine. We don't want
4 to let the perfect --

5 DR. SPRATT: Be the enemy of good.

6 DR. LARKINS: -- thank you. Yes. I was
7 trying to remember the phrase. We feel that having
8 some information on this will be helpful in making
9 approval decisions.

10 I also was very happy to hear Dr. Spratt
11 bring up PACIFIC-2 because this was an issue that
12 came out at our FDA public workshop as well. There
13 does get to a point when you're adding things,
14 where the toxicity probably outbalances the
15 benefit. I actually feel that this is a risk
16 mitigation and protective strategy for both
17 patients enrolling in trials and companies going
18 forward to potentially have a neoadjuvant-only arm.

19 It could be quite possible that you're
20 seeing a really great benefit by adding a new drug
21 on to, say, a perioperative IO backbone, but when
22 you then try to give a little more in the adjuvant,

1 maybe that's too much, maybe that doesn't do
2 enough. And we've seen this in not just PACIFIC-2.
3 There was another study in the concurrent
4 chemoradiation setting that had the same exact
5 outcome, where they tried to add the IO to both the
6 concurrent chemo RT phase and after, and it did not
7 look better than just giving it after.

8 So to your point, Dr. van Berkel, that's
9 sort of why we're here, is to say, should we have a
10 little more weight behind us to say, "Look, we
11 really don't think we can keep doing this going
12 forward. It's just going to create more of a
13 mess."

14 DR. SPRATT: And to layer on
15 challenging -- this is Dan Spratt -- the FDA, for
16 industry, if EFS is a sufficient endpoint, assuming
17 there's not worsening of survival, looking at the
18 CheckMate trial with just neoadjuvant, a very small
19 trial, wildly positive, and the AEGEAN trial, those
20 p-values of the primary endpoint were insanely
21 positive. It didn't, in hindsight, need to be as
22 large. Probably the total sample size required may

1 be smaller.

2 Dr. Conaway?

3 DR. CONAWAY: I was sort of hoping for more
4 discussion. I really haven't formalized this in my
5 head. There are so many difficult issues
6 circulating, so I'll try and make this somewhat
7 comprehensible. We're talking about precedent for
8 this, and someone made the point this is dosing,
9 and there is precedent for taking drugs back to
10 look at alternative doses before moving forward.
11 So I think that saying, "Oh, well, this is never
12 done, or these other drugs have been approved,"
13 there is precedent for looking at other options for
14 dosing.

15 Looking at the data, I think, yes, we cannot
16 separate out the effective phases, but looking at
17 the effect on the short-term outcomes, if I were a
18 betting man, I'd be betting that most of the action
19 is in the neoadjuvant setting. So I think that's
20 an important thing to explore for all the reasons
21 we said, that the adjuvant may just be adding
22 toxicity with no benefit. And at the end of the

1 day, we just don't know; and I have some sympathy
2 for the FDA trying to make a decision about
3 risk-benefit when we honestly don't know the
4 benefit. We do know some of the risks of the
5 adjuvant, but we don't know the benefit.

6 So I still haven't quite said in my mind
7 what I think the ultimate answer is about the prior
8 to approval phrase in that question, but these are
9 the issues that I'm thinking about.

10 DR. SPRATT: Thank you.

11 Dr. Kunz?

12 DR. KUNZ: Pam Kunz. I'm reflecting on
13 what's all been said and lots of great discussion,
14 and the fact that this is incredibly complicated.
15 I think there are multiple truths here. There's a
16 truth that the AZ study met its primary endpoint.
17 There's a truth that it's incredibly muddy in terms
18 of what to actually offer patients in terms of
19 neoadjuvant, adjuvant, and/or perioperative, and I
20 think in terms of this discussion point at hand, I
21 wonder if there's an intermediary kind of question.

22 We're asked whether an additional trial

1 should be conducted, and I'm wondering if we should
2 be thinking about -- I know we'll in the future
3 talk about what to do moving forward, but for the
4 existing trials like AEGEAN that's just completed,
5 is there a way for us to think about what other,
6 perhaps, simple question could be asked?

7 We talked about pragmatic trials. Is there
8 something along the lines of the accelerated drug
9 approval process where a confirmatory trial is
10 required, but for trials that are either in
11 progress or have just been completed but don't
12 quite meet this new bar that we're talking about,
13 we can do something in a simpler way where we
14 perhaps say, yes, we don't want to waste the work
15 that's been done. We want durvalumab to be
16 available to patients, but we're really going to
17 require something that confirms.

18 DR. SPRATT: And ideally -- Dan
19 Spratt -- applied to all companies as well. With
20 pembro, while perioperative, with all the flaws
21 we've discussed, looked better than adjuvant, it
22 still doesn't answer the question to neoadjuvant.

1 Alright. Dr. Advani?

2 DR. ADVANI: This is Ranjana Advani. I had
3 a similar thought, what Pam just brought up, about
4 can we find an intermediary. This trial met its
5 endpoint, and it's hard to take that away. In
6 hindsight, yes, the design wasn't rigorous and
7 doesn't answer, and moving forward, things need to
8 be different, but can we require that there be
9 longer term follow-up mandated for the toxicity
10 part of it, at least, so that companies are forced
11 to actually report that very rigorously? Not just
12 like it's met, and 5 years and we're done, no, but
13 substantially more time so that at least we can say
14 with fair conscience, then, that it's not doing
15 more harm and that things have settled down. Thank
16 you.

17 DR. SPRATT: Thank you.

18 Dr. Frenkl?

19 DR. FRENKL: Thank you. I wanted to go back
20 a little bit and comment on what you were saying,
21 Dr. van Berkel, about FDA told you to do this. I
22 just want to give a little bit more background on

1 how industry approaches the FDA meetings and the
2 advice that we get. From an industry perspective,
3 we request these meetings and really value
4 obtaining the FDA feedback, and the goal is to
5 reach an agreement with the FDA about a trial
6 design that would eventually be approvable so we
7 could get the drug to patients should it work.

8 So speaking from my experience only -- and
9 I've worked in three large pharmas now -- I've
10 never really experienced a situation where FDA
11 clearly says we object to this design, don't do it,
12 it won't result in approval, and then we would move
13 forward. Again, our goal is to reach some type of
14 an agreement with you so that it would work.

15 So I don't question the FDA -- and I
16 probably experienced it actually in some of my past
17 experiences -- where they said the contribution of
18 phases cannot be addressed and that alternative
19 design options could be continued; however, then
20 there's the context of the implication of this.

21 In Appendix 9 here, the applicant actually
22 provides it, where the implication is that the

1 label on this study would need to specify that both
2 neoadjuvant and adjuvant therapy are necessary to
3 provide the clinical benefit. So that's the
4 implication, and that's what we as industry would
5 decide, is that what we can live with, or if we
6 really wanted a neoadjuvant and a separate adjuvant
7 indication, then we would perhaps proceed with the
8 longer 2-arm trial.

9 So that was the context back then with the
10 data. And I understand that things evolve and
11 change, but it's not that we're like blatantly
12 saying, "Okay. FDA said this, but we don't care.
13 We're moving forward with our idea, and we just
14 don't want to do this bigger study." It's much
15 more complex, and we take it all into
16 consideration.

17 DR. SPRATT: Thank you. It's Dan Spratt.
18 So there's something I think is relevant to this
19 discussion. First of all, I agree with many of the
20 comments. This is a floridly positive trial, and I
21 think it's challenging, as we hear. When you're
22 designing a trial, people will say skate to where

1 the puck is going. Well, you can't necessarily in
2 2018 know what's going to be today, so you have to
3 design a trial and put massive amounts of resources
4 into it. I think there are clinically meaningful
5 benefits to patients with this regimen, and as
6 we've said, it's probably going to come down to the
7 art of medicine.

8 I think the BR.31 trial, as well as a lot of
9 the data shown, the adjuvant phase seems -- and I
10 think it was said by some of the people today,
11 maybe it provides some benefit in some patients,
12 but it's unclear who, but future trials hopefully
13 will establish this.

14 So I guess to the question at hand here, I
15 think this is going to be something that gets
16 sorted out after approval, and would be, in my
17 opinion, the appropriate stance. But the problem
18 with that, just to be clear -- and I mentioned this
19 previously -- as a prostate cancer oncologist, the
20 duration of hormone therapies established in the
21 1970s, we still today, 50 years later, have gone
22 from lifelong, to 36 months, to 18 months, and now

1 we have trials of 12 months, and still, it is very
2 hard to run noninferiority trials. Industry has no
3 incentive to actually run those trials, so there's
4 a lot of burden, and we've seen a lot of morbidity
5 to patients, but this is a very lethal disease, and
6 these patients need options.

7 The one other comment I would make, and
8 we'll say tangentially related, I am surprised by
9 such an aggressive and lethal disease. While I'm
10 not a thoracic oncologist, the endpoints for
11 approval in this setting, looking back at the FDA's
12 history, DFS was established over a decade ago, two
13 decades ago, and with individual patient data, had
14 an R-squared for overall survival of 0.99 in
15 radiotherapy trials with chemotherapy.

16 When you look at all these immunotherapy
17 trials, EFS correlation to OS treatment effect is
18 0.27. So again, the bar has been set, but it is
19 something very surprising to me that we have many
20 trials now that don't show quantity-of-life
21 benefits, not survival benefits, and there's not
22 quality-of-life benefits, but we're saying there

1 are benefits. Again, we hear from patients that
2 these are meaningful benefits, but it is just
3 something in this era. Are these endpoints the
4 right endpoints, and if path CR is so important,
5 you can only do that in a neoadjuvant setting. I
6 don't know if anyone has comments on that, but
7 these seem like very soft endpoints for such a
8 lethal disease, in my opinion.

9 Go for it, Ravi.

10 DR. MADAN: Ravi Madan, NCI. I mean, just
11 to piggyback on that a little bit, because I'm also
12 not a lung cancer specialist, but EFS, really, has
13 been established in this, but is that right?
14 Because another way to look at this is -- and this
15 is beyond the scope of this question but very much
16 in line with, I think, what you're thinking
17 here -- is it better to get adjuvant therapy or is
18 it better to get sequential therapy at recurrence?
19 But you can't really ask that question because of
20 the established endpoints and things. So I do
21 think that some imagination as we move forward, now
22 that we have therapies that work, will be a benefit

1 for industry and patients alike.

2 DR. SPRATT: Any other discussion comments?

3 I think this has been a good discussion.

4 (No response.)

5 DR. SPRATT: I will summarize the
6 discussion. I will try.

7 I think to summarize a lot of the
8 discussion, the AEGEAN trial demonstrated that
9 perioperative durvalumab met its primary endpoints,
10 improved path CR and EFS. While not meeting the
11 prespecified p-value threshold for DFS, it's pretty
12 dang close. I think OS is clearly not worse, and
13 we'll see over time the events increase over time,
14 but I will say it's a small relative and absolute
15 difference on survival.

16 But ultimately why we're here is that we
17 can't necessarily clearly assign -- and I think
18 even the applicant, as well as the FDA, and like
19 all of us have said, we can't clarify the
20 contribution of each phase. It's very clear from
21 the trial that neoadjuvant had effect. We looked
22 at some of these endpoints. The path CR rates,

1 there is effect. It's unclear what the adjuvant
2 effect is. It seems that the side effect profile,
3 most would say it's very tolerable, well received.
4 We heard from patients that were on a similar, I
5 guess, type of regimen that it was annoying. It
6 wasn't devastating.

7 People did bring up it was about 1.5 percent
8 versus less than a percent of patients in each arm,
9 and the adjuvant phase did have mortality events,
10 that that still is relevant. And some of the
11 chronic lower grade side effects may still be
12 clinically meaningful, and we don't know.
13 Obviously, when you're talking about kidney disease
14 or diabetes, those can have multiyear chronic
15 effects.

16 I think many of the panel members discussed
17 that this is an important regimen that should be
18 available to patients, and it's something that we
19 can optimize going forward to who should have it
20 for how long. I think others brought up, we'll
21 say, disappointment that this wasn't addressed
22 initially upon discussion with the FDA as a

1 recommendation, but also that maybe that discussion
2 is not always as crystal clear as do this, or else.

3 I think that many of the panel members think
4 that we need answers, though, to this question
5 probably sooner than later, and that there are
6 suboptimal consequences once a regimen's approved,
7 and that it is not simple to go back to optimize
8 sequencing or duration.

9 If there are no further questions or
10 comments, we will now proceed to question 2, which
11 is a voting question. We will be using an
12 electronic voting system for this meeting. Once we
13 begin the vote, the buttons will start flashing,
14 and will continue to flash even after you've
15 entered your vote. Please press the button firmly
16 that corresponds to your vote. If you are unsure
17 of your vote or you wish to change your vote, you
18 may press the corresponding button until the vote
19 is closed.

20 After everyone has completed their vote, the
21 vote will be locked in. The vote will then be
22 displayed on the screen. The DFO will read the

1 vote from the screen into the record. Next, we
2 will go around the room and each individual who
3 voted will state their name and vote into the
4 record. You can also state the reason why you
5 voted as you did, if you want to. We will continue
6 in the same manner until all questions have been
7 answered or discussed.

8 Question 2 is, should the FDA require that
9 new trial design proposals for perioperative
10 regimens for resectable non-small cell lung cancer
11 include adequate within-trial assessment of
12 contribution of treatment phase?

13 Are there any questions to the wording of
14 the question.

15 Jim?

16 MR. PANTELAS: Is there a reason why we're
17 limiting this to non-small cell lung cancer?

18 DR. SPRATT: I'll go to the FDA for that.

19 DR. PAZDUR: Because that's how people were
20 cleared, okay?

21 (Laughter.)

22 DR. KLUETZ: This is Paul Kluetz. I would

1 say that this is obviously a situation that's
2 relevant across resectable disease that has
3 neoadjuvant and adjuvant components, but the
4 question should be answered as it is stated, with
5 respect to non-small cell lung cancer, given that's
6 what we've been talking about today.

7 DR. PAZDUR: There are issues on clearance
8 of people in other discussions, so that's why we're
9 focusing it on a specific disease.

10 DR. SPRATT: That was Dr. Pazdur talking.

11 Any other questions on the wording?

12 Dr. Rosko?

13 DR. ROSKO: Ashley Rosko. I just want to be
14 crystal clear, because it's saying they require
15 that new trial designs. The AEGEAN, the study that
16 we're discussing, is an existing trial. This is
17 about future trials.

18 DR. LARKINS: Correct.

19 DR. ROSKO: I just want to be a hundred
20 percent clear that we're all discussing future
21 clinical trials.

22 DR. LARKINS: Yes. This is Erin Larkins,

1 FDA. This is not for studies that are fully
2 enrolled and about to read out next week. This is
3 for what we're dealing with right now, which is
4 sponsors coming to us with new trial designs to add
5 on in this space.

6 DR. SPRATT: Any other clarifications to the
7 wording?

8 (No response.)

9 DR. SPRATT: Okay.

10 If there are no further questions concerning
11 the wording of the question, we will now begin the
12 voting process. Please press the button on your
13 microphone that corresponds to your vote. You have
14 approximately 20 seconds to vote. Please press the
15 button firmly. After you have made your selection,
16 the light may continue to flash. If you are unsure
17 of your vote or you wish to change your vote,
18 please press the corresponding button again before
19 the vote is closed.

20 (Voting.)

21 DR. FRENKL: Could I ask a process question?
22 In the past, there's been a discussion --

1 DR. SPRATT: State your name, please.

2 DR. FRENKL: -- before the vote.

3 DR. SPRATT: State your name so people know
4 that you have questions on how to vote.

5 DR. FRENKL: I'm sorry, Tara Frenkl,
6 industry rep. I am non-voting, but the way the
7 agenda was, and in the past, there was a discussion
8 before the vote. Is that different in this session
9 than the past?

10 DR. SPRATT: You'll able, for voting
11 members, to then explain and clarify why you voted
12 the way you voted after the vote.

13 DR. FRENKL: Thank you.

14 DR. SPRATT: Takyiah Stevenson, DFO. For
15 the record, there are 11 yeses, 0 noes, and
16 0 abstentions. Thank you.

17 DR. SPRATT: Now that the vote is complete,
18 we will go around the table and have everyone who
19 voted state their name and vote, and if you want
20 to, you can state the reason why you voted as you
21 did into the record.

22 Mr. Mitchell, can you go first?

1 MR. MITCHELL: I can. I'm David Mitchell.
2 I voted yes. I think that we need to know, in a
3 situation like this, which phase of treatment is
4 contributing what. And especially, the thought of
5 giving patients a year of adjuvant therapy with the
6 risks involved, the toxicities involved, continued
7 treatment for that length of time not knowing if
8 it's doing any good whatsoever, is not acceptable,
9 I think, for patients.

10 So I believe that in the future, the FDA
11 should be requiring that we have study designs that
12 will answer the question so we're making sure that
13 we're giving people treatments that are safe and
14 effective, but also making sure that they're
15 needed; that they're doing good; that patients are
16 not being subjected to a long period of time with
17 treatment that isn't necessarily helping them at
18 all.

19 DR. SPRATT: Thank you so much.

20 Dr. Madan?

21 DR. MADAN: Ravi Madan, National Cancer
22 Institute. We struggle with this dilemma here

1 because of, actually, the success in the field over
2 the last several years, and that's a credit to
3 industry, it's a credit to the investigators, and
4 it's of great benefit to patients. But with that
5 success, now comes the complicated path about how
6 to move forward. Things are going to be harder now
7 because we have more therapies, and this
8 consideration here is very important in that
9 regard.

10 I think that it's going to be more
11 complicated when we move forward because not only
12 are there more therapies, but likely they're not
13 going to be as well tolerated as the immune
14 checkpoint inhibitor, and the mortality issues are
15 going to be higher than the 1 percent we talked
16 about today.

17 But I also think there are different ways to
18 approach this. There are more ways to use vision
19 and imagination, and the only path isn't a phase 3
20 with an extra 2 arms and an extra 1500 patients;
21 the other path is a more deliberate preregistration
22 approach, whether it's preclinical or phase 2

1 trials with rich correlatives, that can better
2 inform a phase 3 such that you move forward focused
3 on either neoadjuvant or adjuvant with a
4 well-informed rationale. I think that's something
5 that we lost sight of a little bit in this
6 conversation, but it's another way to refocus on
7 getting the answers that, really, patients deserve
8 when they embark on a therapy. Thank you.

9 DR. SPRATT: And, Dr. Madan, can you state
10 what your vote was?

11 DR. MADAN: Oh, sorry.

12 DR. SPRATT: Sorry.

13 DR. MADAN: I voted yes. My apologies.

14 DR. SPRATT: Dr. Conaway?

15 DR. CONAWAY: Mark Conaway, University of
16 Virginia. I voted yes because from the discussion,
17 I think we'd all agree it's an important question
18 that needs to be addressed and I'd say
19 understanding the challenges. Future trials will
20 be essentially trying to establish superiority, and
21 to some extent noninferiority, all within the same
22 trial. But having said that, I think the point was

1 made that having some information is infinitely
2 better than no information at all, so I think the
3 trials do need to collect the contribution of phase
4 information.

5 DR. SPRATT: Thank you. Dan Spratt. I
6 voted yes I think for all the reasons stated
7 previously. I think this is very challenging to
8 answer after the fact the optimal sequencing. I
9 would say, as I mentioned earlier, I think duration
10 is probably equally, if not more, important here,
11 especially when we don't have proven interaction of
12 the event and sequencing; so I think the questions
13 of duration, why even 1 year, or in some diseases
14 2 years or 6 months, and where this is coming from
15 for the exact same reasons.

16 I would also like to say that when you look
17 at the trial portfolio that was put up -- which is
18 very impressive -- from just AZ, let alone many
19 companies, there are a lot of trials going on in
20 diseases like non-small cell lung cancer. So I
21 don't agree that this is not a feasible option to
22 be done, but if it's not a requirement, it's

1 probably just not going to be done. Thank you.

2 Dr. Kunz?

3 DR. KUNZ: Pam Kunz. I voted yes. I,
4 again, agree with all that's been stated, and I
5 think that moving forward, my hope is that we
6 eliminate some of this ambiguity with the patient
7 physician conversations. I think it's a big burden
8 to put on patients to have them make the decision,
9 and I think that more is not always more. We
10 really owe it to our patients to provide them with
11 some of that clarity and really provide them with
12 that high-level evidence. I would also hope,
13 though -- Jim raised this around does this apply to
14 other cancers. As a GI oncologist, where this for
15 sure applies, I hope that the FDA considers this
16 conversation in other solid tumors.

17 As a final comment, I think that as we think
18 about requiring this for future, we also, I think
19 as Dr. Madan stated, really need to think about not
20 slowing down the process and making it more
21 inefficient; so are there ways that we can, really,
22 I think raise our own bar, but really increase

1 efficiency as we do it? Thank you.

2 DR. SPRATT: Thank you.

3 Jim Pantelas?

4 MR. PANTELAS: This is Jim Pantelas. I
5 voted yes. The only thing that I would add to all
6 of this is the consideration that less can be more;
7 that maybe we're trying to accomplish too much out
8 of one trial, and maybe what we're looking at here
9 is three that could run consecutively with smaller
10 ends on each. There are other ways of doing this,
11 but I think we need another way.

12 DR. SPRATT: Thank you.

13 Dr. Advani?

14 DR. ADVANI: Ranjana Advani. I voted yes
15 for most of the reasons already stated. I do think
16 it's an important question, and in hindsight, what
17 happened, happened, and we're moving forward. I
18 really hope that you'll apply to all tumors, not
19 just this case and example, but also the question
20 of having testing the shorter maintenance versus
21 longer, but also considering some novel endpoints.
22 One was the pathological CR, but it's not used for

1 many things.

2 But circulating to AEGEAN, especially in
3 lung cancers, showing a lot of promising results, I
4 wonder if there's an opportunity to use some of
5 those metrics to define so that trials can be read
6 out a little faster to see if they're meaningful.

7 Thank you.

8 DR. SPRATT: Thank you.

9 Dr. Lieu?

10 DR. LIEU: This is Chris Lieu, University of
11 Colorado. I voted yes. I, obviously, agree with
12 all the comments. I'll use the extremes here. We
13 have an easy, non-toxic drug that we just give a
14 little bit on both sides; that's one end of the
15 spectrum. The perioperative therapy in that
16 setting doesn't really require additional data, but
17 the problem is that we don't know what's coming
18 down the pipeline.

19 In fact, we probably actually do. There are
20 some cellular therapies that are coming down the
21 pipeline that are incredibly toxic and very hard to
22 give, and that's the end of the spectrum that I

1 think we're all worried about, that if we don't
2 answer this question upfront, then we're left just
3 creating a ton of toxicity with potentially very
4 effective therapeutics but are incredibly difficult
5 to give and sometimes to tolerate, and then we're
6 going to be left with not answering this question.
7 We have to answer this question now.

8 Now, I would make the point -- because this
9 is not a subtle thing -- that as a group, we're
10 going to cost a lot of millions of dollars by
11 making this decision and potentially delaying drug
12 development. So to Dr. Kunz and Dr. Madan's point,
13 and to Dr. Advani's point, we have to find better
14 surrogate markers, particularly in this space. It
15 might be ctDNA, it might be better readout on
16 path CR, but that is an incredible amount of work,
17 as we saw from the multiple myeloma group when they
18 presented to this committee in April. But it is
19 incumbent upon this group and industry to work
20 together to find those surrogate endpoints because
21 otherwise, we're going to start delaying drug
22 approvals by 5-6 years.

1 DR. SPRATT: Thank you so much.

2 Dr. Rosko?

3 DR. ROSKO: Ashley Rosko. I voted yes. I
4 think looking forward, I really want to focus on
5 the fact of the type of patients that get enrolled
6 into neoadjuvant studies versus the type of
7 patients that get enrolled into adjuvant is really
8 being able to better characterize the health of
9 those patients in terms of their overall fitness or
10 frailty. I worry about the types of patients and
11 selecting out for patients that are more fit to be
12 able to benefit from a type of neoadjuvant therapy,
13 whether it's in this setting or other disease
14 settings, and really urge the FDA to be able to
15 support fitness and frailty metrics that are
16 embedded into clinical trial design.

17 Health-related, quality-of-life metrics that
18 were provided here are not quite the same thing in
19 terms of being able to measure trajectories over
20 time, and I really urge, that way we are able to
21 better characterize the type of patient that is
22 actually able to receive the therapy that's

1 indicated.

2 DR. SPRATT: Thank you.

3 Dr. Ghafoor?

4 DR. GHAFOR: Hi. My name is Azam Ghafoor
5 from NCI. I agree. I think we need to clarify
6 this question. I think, really, we need to
7 determine the design studies that actually look at
8 the adjuvant setting in the perioperative setting,
9 if that's really the focus here. We know from
10 prior trials that the induction chemoimmunotherapy,
11 neoadjuvant, has very strong data, especially
12 Patrick Ford's data. You can downsize tumors, more
13 R0 sections. I think really the question here is
14 whether we can design trials that clarify the
15 adjuvant setting so we don't commit patients to
16 unnecessary year-long immunotherapy.

17 So that's my stance. I think incorporating
18 biomarkers will be important. We know from other
19 trials, early clearance of ctDNA, as mentioned
20 before, pathological CR, can have a profound effect
21 on EFS. I think incorporating those in the
22 trials -- and going back, it may not have to be a

1 4-arm trial, but going to a 3-arm trial and
2 excluding the purely adjuvant setting so you get a
3 better clear readout of the perioperative setting.

4 DR. SPRATT: Dr. Ghafoor, can you just state
5 what your vote was?

6 DR. GHAFOR: Yes.

7 DR. SPRATT: Thank you.

8 DR. GHAFOR: I voted yes.

9 DR. SPRATT: Sorry. Thank you so much.

10 Dr. van Berkel?

11 DR. VAN BERKEL: This is Victor van Berkel,
12 and I voted yes. As a clinician and as a
13 scientist, my life is often in quite a bit of
14 tension. As a scientist, I want the cleanest data
15 possible that will give me the best answer for a
16 question, rather as a clinician, I want to fix the
17 person in front of me today and not have to wait
18 for 6 years to get a perfect trial done. I
19 understand that is the conflict that arises
20 throughout all of clinical trials.

21 I do think that having a more rigorous
22 requirement for these trials is going to make

1 things more complicated, more expensive, and take
2 longer. I understand all of those things. I think
3 to echo what Dr. Larkins and both Dr. Spratt said,
4 I think that increased rigor may actually behoove
5 the companies that are doing it because they may
6 find applications that they were not expecting that
7 will be used by more patients in the long run, so I
8 think that there is potential benefit there as
9 well.

10 DR. SPRATT: Thank you so much.

11 While not a voting member, Dr. Frenkl, if
12 there are any comments you'd like to add on, we
13 shall allow.

14 DR. FRENKL: Why, thank you very much.

15 Thanks. Tara Frenkl. I think it can be
16 challenging to have a blanket statement about this,
17 and many of you mentioned take into account the
18 mechanism of action, the safety, the disease course
19 itself, and what else is out there. I do think
20 that moving forward, it's going to be really
21 important to have industry as part of this
22 conversation as we're talking about the trial

1 designs, as well as medical experts, so we can
2 really focus on where the value is for the patient
3 when we're designing this, and trying to come up
4 with new surrogate endpoints that would help the
5 trials read out faster.

6 I do still have concerns, a lot, about the
7 feasibility of these studies, and I think it is a
8 little bit underestimated here, so really
9 understanding the difference between the
10 perioperative arm and the adjuvant, and the
11 neoadjuvant arm and that contribution. Even though
12 it's descriptive, really trying to understand what
13 that is, is going to be critically important to us
14 as we make decisions on how we spend our resources
15 as well. Then the whole timeframe, I think 6 years
16 is actually a little bit optimistic as well, and
17 it's probably going to be somewhere closer to 8 to
18 10 to have these trials read down. I don't want to
19 slow down drug development for patients either. So
20 just a lot to consider, and I think the
21 conversation needs to continue, so thanks so much.

22 DR. SPRATT: Thank you.

1 So to summarize, there were unanimous votes
2 for yes, that this should be something factored
3 into new trial designs to better understand the
4 contribution of phase. I think the panel generally
5 agrees that this is something, ideally, that can be
6 addressed upfront; ideally, that it is harder to
7 address after the fact. Comments were made that it
8 probably extends beyond simply sequence or phase,
9 as well as duration of therapy.

10 There were multiple comments made about that
11 this will increase cost of drug development,
12 potentially time as well, potentially complexity,
13 but that, overall, the value may be substantial,
14 especially to patients, and that less sometimes may
15 be more. I think that probably, in lung cancer
16 where it's a common disease, if we're only focused
17 on that, it may be something far more feasible,
18 especially if this extends -- while many made
19 comments -- outside to other solid tumors and rare
20 diseases, and that becomes its own separate
21 challenge. But overall, there was strong consensus
22 that this is an important thing to mandate or

1 figure out how best to incorporate into future
2 trial designs.

3 So before we adjourn, are there any last
4 comments from the FDA?

5 DR. LARKINS: Hi. This is Erin Larkins from
6 FDA. We just wanted to thank the advisory
7 committee for all your excellent discussion today
8 and feedback, which we'll take under consideration.
9 And as always, we want to thank the public, the
10 open public hearing speakers, for their input, as
11 well as all the investigators and the patients who
12 participate on clinical trials and the sponsors
13 that run them.

14 We want to advance patient care as much as
15 the next person. A lot of us have seen patients
16 ourselves for many, many years, so we're not coming
17 at this from just an academic perspective either.
18 So we just want to acknowledge everything that goes
19 into all of these trials being conducted, and that
20 the ultimate end we want is benefit for patients.
21 Thank you.

22 DR. SPRATT: Dr. Pazdur, you had brought

1 up -- real quick -- you wanted to get people's
2 thoughts on the formatting of the point/
3 counterpoint.

4 DR. PAZDUR: Yes. We used the unified
5 briefing document, and I just wondered, again, how
6 people felt, the use of that versus having two
7 separate briefing documents, if people could
8 comment on that. Is it a unanimous vote, the
9 briefing document being one --

10 DR. SPRATT: Yes, it was quite helpful.

11 DR. PAZDUR: -- rather than having -- okay.

12 Thank you.

13 **Adjournment**

14 DR. SPRATT: I did want to take the time to
15 thank the FDA, AstraZeneca, the public, the OPH
16 presenters, the panel, and of course all the
17 patients that enrolled on this practice-changing
18 trial. Thank you so much. We will now adjourn the
19 meeting. Thank you, everyone.

20 (Whereupon, at 1:49 p.m., the meeting was
21 adjourned.)

22