

Food and Drug Administration (FDA)
Center for Biologics Evaluation and Research (CBER)
Division of Scientific Advisors and Consultants
188th Meeting of the Vaccines and Related Biological Products Advisory Committee
(VRBPAC)

Zoom Video Conference
(Open Session)

December 12, 2024

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

Transcript Produced By: ACSI Translations
1025 Connecticut Avenue, NW, Suite 1000, Washington, DC 20036
<https://acsitranslations.com/>

Chair

Hana El Sahly, MD	Professor, Department of Molecular Virology and Microbiology and Medicine, Baylor College of Medicine	Houston, TX
----------------------	---	-------------

Members

Adam C. Berger, PhD	Director, Division of Clinical and Healthcare Research Policy, Office of Science Policy, Office of the Director, National Institutes of Health	Bethesda, MD
Henry H. Bernstein, DO, MHCM, FAAP	Professor of Pediatrics, Zucker School of Medicine at Hofstra/Northwell, Department of Pediatrics, Cohen Children's Medical Center	New Hyde Park, NY
Archana Chatterjee, MD, PhD (Topic II Only)	Dean, Chicago Medical School, Vice President for Medical Affairs, Rosalind Franklin University of Medicine and Science	North Chicago, IL
Hayley Gans, MD	Clinical Professor, Pediatrics-Infectious Diseases, Stanford Medicine Children's Health	Stanford, CA
Holly Janes, PhD	Professor, Vaccine and Infectious Disease Division, Public Health Sciences Division, Fred Hutchinson Cancer Center	Seattle, WA
CAPT Sarah Meyer, MD, MPH	Chief Medical Officer, Immunization Services Division, National Center for Immunization and Respiratory Diseases, Centers for Disease Control and Prevention	Atlanta, GA
Arnold S. Monto, MD	Thomas Francis Jr. Collegiate Professor, Emeritus of Public Health, Professor Emeritus of Epidemiology, School of Public Health, University of Michigan	Ann Arbor, MI
Michael R. Nelson, MD, PhD	Chief, Asthma, Allergy, and Immunology Division, UVA Health & UVA School of Medicine	Charlottesville, VA
Paul Offit, MD	Director, Vaccine Education Center, Professor of Pediatrics, Division of Infectious Diseases, Children's Hospital of Philadelphia, Maurice R. Hilleman Professor of Vaccinology, Perelman School of Medicine, University of Pennsylvania	Philadelphia, PA
Stanley Perlman, MD, PhD	Professor, University of Iowa Distinguished Chair, Departments of Microbiology and Immunology, Carver College of Medicine, University of Iowa	Iowa City, IA

Andrea Shane, MD, MPH, MSc (Topic II Only)	Professor of Pediatrics, Division of Infectious Disease, Marcus Professor of Hospital Epidemiology and Infection Prevention, Emory University School of Medicine	Atlanta, GA
--	--	-------------

Temporary Voting Members

Karen Kotloff, MD (Topic I Only)	John A. Scholl, MD and Mary Louise School, MD, Distinguished Professor, Associate Director, Clinical Research, Center for Vaccine Development and Global Health, University of Maryland School of Medicine	Baltimore, MD
Sarah Long, MD (Topic I Only)	Emeritus Chief, Infectious Diseases, Section of Infectious Diseases, St. Christopher's Hospital for Children, Professor of Pediatrics, Drexel University College of Medicine	Philadelphia, PA
Allison Malloy, MD, MSc (Topic I Only)	Associate Professor, Department of Pediatrics, Infectious Disease Faculty, F. Edward Herbert School of Medicine, Uniformed Services University of Health Sciences (USUHS)	Bethesda, MD
Tracy Ruckwardt, PhD (Topic I Only)	Staff Scientist and Chief of the Respiratory, Viruses Core at VRC, National Institute of Allergy and Infectious Diseases (NIAID), National Institutes of Health (NIH)	Bethesda, MD

Alternate Industry Representative

Robert S. Janssen, MD	Chief Medical Officer and Senior Vice President, Clinical Development, Dynavax Technologies Corporation	Emeryville, CA
--------------------------	---	----------------

Consumer Representative

Jay M. Portnoy, MD	Professor of Pediatrics, University of Missouri – Kansas City, School of Medicine, Director, Division of Allergy, Asthma, and Immunology, Children's Mercy Hospitals and Clinics	Kansas City, MO
-----------------------	--	-----------------

Speakers and Guest Speakers

Fatimah Dawood, MD (Speaker, Topic I)	Team Lead, Epidemiology and Vaccine Assessment Team, Coronavirus and Other Respiratory Virus Division, National Center for Immunization and Respiratory Diseases, Centers for Disease Control and Prevention (CDC)	Atlanta, GA
Pedro A. Piedra, M.D. (Guest Speaker, Topic I)	Professor, Department of Molecular Virology and Microbiology and Pediatrics, Director, Pandemic Threat Technology Center, Director, Respiratory Virus Diagnostic Laboratory, Baylor College of Medicine	Houston, TX
Christine Shaw, PhD (Industry Speaker, Topic I)	Vice President, Portfolio Head, Infectious Disease Vaccines, ModernaTX, Inc.	Cambridge, MA
Matthew Snape, MBBS, MD, FRCPCH, FMedSci, MBE (Industry Speaker, Topic I)	Vice President, Clinical Development, Infectious Diseases, Pediatric and Maternal Vaccines, Moderna Biotech Distributor UK Limited, Harwell Science and Innovation Campus	United Kingdom

FDA CBER Participants

Peter Marks, MD, PhD	Director, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Celia Witten, PhD, MD	Deputy Director, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Karen Elkins, PhD (Speaker, Topic II)	Associate Director for Science, Office of the Director, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
David C. Kaslow, MD (Speaker, Topic I)	Director, Office of Vaccines Research & Review, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Karin Bok, M.S., PhD	Deputy Director, Office of Vaccines Research & Review, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Sudhakar Agnihotram, BPharm, PhD	Associate Director of Office Regulatory Initiatives, Office of Vaccines Research & Review, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Tod Merkel, PhD (Speaker, Topic II)	Associate Director for Research, Office of Vaccines Research & Review, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD

Rebecca Reindel, MD	Director, Division of Clinical and Toxicology Review, Office of Vaccines Research & Review, Center for Biological Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Hana Golding, PhD (Speaker, Topic II)	Chief and Principal Investigator, Laboratory of Retroviruses (LR), Division of Viral Products, Office of Vaccines Research & Review, Center for Biologics Evaluation and Research, Food and Drug Administration	Silver Spring, MD
Carol Weiss, MD, PhD (Speaker, Topic II)	Chief and Principal Investigator, Laboratory of Immunoregulation (LI), Division of Viral Products, Office of Vaccines Research & Review, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
Mark Connelly, MD (Speaker, Topic I)	Team Leader, Clinical Review Branch 3, Division of Clinical and Toxicology Review, Office of Vaccines Research & Review, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD

Designated Federal Officer

Sussan Paydar, PhD	Division of Scientific Advisors & Consultants, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
-----------------------	---	-------------------

Alternate Designated Federal Officer

Kathleen Hayes, MPH	Division of Scientific Advisors & Consultants, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
------------------------	---	-------------------

Director

Prabhakara Atreya, PhD	Division of Scientific Advisors & Consultants, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
---------------------------	---	-------------------

Committee Management Officer

Joanne Lipkind, MS	Division of Scientific Advisors & Consultants, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
-----------------------	---	-------------------

Committee Management Specialist

Lisa Johnson	Division of Scientific Advisors & Consultants, Center for Biologics Evaluation & Research, Food and Drug Administration	Silver Spring, MD
--------------	---	-------------------

Table of Contents

Opening Remarks: Call to Order and Welcome.....	8
Administrative Announcements	8
Roll Call and Introduction of Committee.....	9
Conflict of Interest Statement.....	13
Overview of Topic I	16
RSV Epidemiology.....	19
Epidemiology of Respiratory Syncytial Virus in U.S. Children	19
Epidemiology of Respiratory Syncytial Virus in U.S. Children – Q&A	27
Vaccine-Associated Enhanced Respiratory Syncytial Virus Disease	31
Clinical and Nonclinical Aspects of RSV Vaccine Safety in Young Children.....	31
Clinical and Nonclinical Aspects of RSV Vaccine Safety in Young Children – Q&A .	43
Moderna Presentation: Review of Investigational RSV (mRNA-1345) and RSV/hMPV (mRNA-1365) Vaccines in Infants and Children < 2 Years	48
Review of Investigational RSV (mRNA-1345) and RSV/hMPV (mRNA-1365) Vaccines in Infants and Children < 2 Years – Q&A	65
Open Public Hearing	94
Committee Discussion of Considerations for Respiratory Syncytial Virus [RSV] Vaccine Safety in Pediatric Populations.....	115
Topic I Adjournment	162
Topic II.....	162
Opening Remarks: Call to Order and Welcome.....	162
Roll Call.....	162
Conflict of Interest Statement.....	163
Overview of Research/Site Visit Process, CBER.....	164
Overview of Research/Site Visit Process, CBER – Q&A.....	168
Overview of Research Conducted in Office of Vaccine Research and Review, CBER and Division of Viral Products	169
Overview of Research Conducted in Office of Vaccine Research and Review, CBER and Division of Viral Products – Q&A	172
Overview of Laboratory of Immunoregulation	173
Overview of Laboratory of Immunoregulation – Q&A	178

Overview of Laboratory of Retroviruses.....	179
Overview of Laboratory of Retroviruses – Q&A.....	186
Open Public Hearing	186
Transition to Closed Session	187

Opening Remarks: Call to Order and Welcome

1
2 Dr. El Sahly: Good morning, everyone, and welcome to the 188th meeting of the
3 Vaccines and Related Biological Products Advisory Committee meeting to the FDA
4 Center for Biologics Evaluation and Research. We will begin the day with Topic I.
5 Topic I will be a discussion of the considerations for RSV vaccine safety in pediatric
6 populations. To kick off the meeting, I would like to invite Dr. Sussan Paydar. Dr.
7 Sussan Paydar will give us some administrative announcements pertaining to the
8 meeting. Dr. Paydar

Administrative Announcements

9
10 Dr. Paydar: Thank you, Dr. El Sahly. Good morning, everyone. This is Sussan
11 Paydar, and it is my great honor to serve as the Designated Federal Officer for today's
12 188th Vaccines and Related Biological Products Advisory Committee meeting. On
13 behalf of the FDA, the Center for Biologics Evaluation and Research, CBER, and the
14 Committee, I'm happy to welcome everyone for today's virtual meeting. Today the
15 Committee will meet in open session to discuss considerations for Respiratory Syncytial
16 Virus, RSV, vaccine safety in pediatric populations. We'll also hear overviews of the
17 Laboratory of Immunoregulation and Laboratory of Retroviruses Research Programs in
18 the Division of Viral Products, Office of Vaccines Research and Review, Center for
19 Biologics Evaluation and Research. Today's meeting and the topics were announced in
20 the Federal Register Notice that was published on October 24, 2024. Next slide, please.

21 At this time, I would like to acknowledge outstanding leadership of Dr. Peter
22 Marks, Director of Center for Biologics Evaluation and Research; Dr. David Kaslow,
23 Director of Office of Vaccines Research and Review; Dr. Karin Bok, Deputy Director,

1 Office of Vaccines Research and Review; Dr. Sudhakar Agnihothram, Associate
2 Director of Office Regulatory Initiatives, OVR; and Dr. Rebecca Reindel, Director of
3 Division of Clinical and Toxicology Review, OVR. Next slide, please.

4 I also would like to thank my Division Director, Dr. Prabhakara Atreya, for her
5 excellent leadership, and my team, whose contributions have been critical for preparing
6 today's meeting: Ms. Kathleen Hayes, Ms. Joanne Lipkind, and Ms. Lisa Johnson. Next
7 slide, please. I also would like to express our sincere appreciation to AV team, Mr.
8 Derek Bonner, Mr. Corey Farley and Mr. Deon Wrenn, in facilitating the meeting
9 today. Also, our sincere gratitude goes to many CBER and FDA staff working very hard
10 behind the scenes trying to ensure that today's virtual meeting will also be a successful
11 one like all the previous VRBPAC meetings. Please direct any press media questions
12 for today's meeting to FDA's Office of the Media Affairs at FDAOMA@fda.hhs.gov.
13 The transcriptionists for today's meeting are Myra Angulo and Virginia Diaz from
14 Andean Consulting Solutions International. We'll begin today's meeting by taking a
15 formal roll call for the Committee Members and Temporary Voting Members. When it
16 is your turn, please turn on your video camera, unmute your phone, and then state your
17 first and last name, institution, and areas of expertise. And when finished, you can turn
18 your camera off, so we can proceed to the next person. Please see the member roster
19 slides in which we'll begin with the Chair, Dr. Hana El Sahly.

20 **Roll Call and Introduction of Committee**

21 Dr. El Sahly: Good morning, everyone. My name is Hana El Sahly. I'm an adult ID
22 physician at Baylor College of Medicine and my research focus is clinical vaccine
23 development.

24 Dr. Paydar: Great. Thank you. Next is Dr. Adam Berger.

1 Dr. Berger: Hi, my name is Adam Berger. I'm the Director of the Division of
2 Clinical and Healthcare Research Policy at the National Institutes of Health. My
3 background-- I'm a geneticist with additional training in immunology. Thank you.

4 Dr. Paydar: Thank you. Dr. Henry Bernstein.

5 Dr. Bernstein: Good morning, everyone. My name is Hank Bernstein. I'm a Professor
6 of Pediatrics at the Zucker School of Medicine at Hofstra/Northwell. My areas of
7 special interest are vaccinology, including vaccination delivery. Thank you.

8 Dr. Paydar: Thank you. Dr. Archana Chatterjee, she will join us for Topic II, so
9 please, next slide, please. Dr. Holly-- Hayley Gans.

10 Dr. Gans: Good morning. I'm Dr. Hayley Gans. I'm a Professor of Pediatrics and
11 Pediatric Infectious Disease at Stanford, and my area of research is host pathogen
12 interface, including immune responses to vaccine. Thank you.

13 Dr. Paydar: Thank you. Dr. Holly Janes.

14 Dr. Janes: Good morning. I'm Holly Janes. I'm a Biostatistician by training. I am at
15 the Fred Hutchinson Cancer Research Center in Seattle and my specialty is in vaccine
16 evaluation.

17 Dr. Paydar: Great. Thank you. Dr. Robert Janssen, our Alternate Industry
18 Representative. Dr. Janssen.

19 Dr. Janssen: I'm Dr. Robert Janssen. I'm Chief Medical Officer at Dynavax
20 Technologies and my area of interest is clinical vaccine research.

21 Dr. Paydar: CAPT Sarah Meyer. Next slide.

1 CAPT Meyer: Morning. My name is Sarah Meyer. I'm a Pediatrician and I serve as the
2 Director of the Immunization Safety Office at the CDC.

3 Dr. Paydar: Thank you. Dr. Arnold Monto.

4 Dr. Monto: I'm Arnold Monto. I'm at the University of Michigan School of Public
5 Health, where I have been studying respiratory infections, particularly in the
6 community, their occurrence and prevention. Thank you.

7 Dr. Paydar: Thank you, Dr. Monto. Dr. Michael Nelson.

8 Dr. Nelson: Hi, I'm Michael Nelson, Chief of the Asthma, Allergy, and Immunology
9 Division at the University of Virginia. I'm a trained allergist and immunologist, and my
10 area of expertise is vaccine adverse events. Thank you.

11 Dr. Paydar: Great. Thank you. Dr. Stanley Perlman. Actually-- I'm so sorry. Dr. Paul
12 Offit. I jumped him.

13 Dr. Offit: Good morning, Sussan. I'm Paul Offit from the Division of Infectious
14 Diseases and the Professor of Pediatrics at the Children's Hospital of Philadelphia and
15 the University of Pennsylvania School of Medicine. My interest is in mucosal vaccines
16 and vaccine safety. Thank you.

17 Dr. Paydar: Thank you, Dr. Offit. Next is Dr. Stanley Perlman.

18 Dr. Pergam: Hi, I am Stanley Perlman. I'm a Pediatric Infectious Diseases Specialist
19 and a Professor of the Department of Microbiology and Immunology at the University
20 of Iowa, and my expertise is in coronaviruses.

21 Dr. Paydar: Thank you. Dr. Jay Portnoy, our Consumer Representative.

1 Dr. Portnoy: Good morning. I'm Dr. Jay Portnoy. I'm a Professor of Pediatrics at the
2 University of Missouri – Kansas City School of Medicine. I'm an allergist
3 immunologist at Children's Mercy Hospital in Kansas City.

4 Dr. Paydar: Great. Thank you. Dr. Andrea Shane, she will also join us for Topic II.
5 Next we'll do a roll call of our Temporary Voting Members for Topic I. We'll start with
6 Dr. Karen Kotloff.

7 Dr. Kotloff: Hi, I'm Karen Kotloff. I'm a Professor of Pediatrics and Pediatric
8 Infectious Disease at the University of Maryland School of Medicine, Center for
9 Vaccine Development and Global Health. My interest is in clinical vaccine development
10 and the epidemiology of infectious diseases in the U.S. and in developing countries.

11 Dr. Paydar: Great. Thank you. Dr. Sarah Long.

12 Dr. Long: Good morning. I am Sarah Long. I'm a Professor of Pediatrics and
13 Pediatric Infectious Diseases at Drexel University College of Medicine and a recent
14 member of CDC's ACIP, where I chaired the work group on maternal infant vaccine
15 and monoclonal antibody to protect infants from RSV.

16 Dr. Paydar: Thank you. Dr. Allison Malloy.

17 Dr. Malloy: Hi, my name is Allison. I'm a Pediatric Infectious Disease Specialist and
18 I work at the Uniformed Services University of Health Sciences and our research
19 focuses on respiratory viruses and mucosal immunology. Thanks.

20 Dr. Paydar: Great. Thank you. Dr. Tracy Ruckwardt.

21 Dr. Ruckwardt: Good morning. My name is Tracy Ruckwardt. I'm a staff
22 scientist and Head of the Respiratory Viruses Core at the Vaccine Research Center in

1 NIAID at NIH. I've been studying RSV for more than 20 years, including work on age-
2 dependent differences in adaptive immune responses and evaluation of immunity
3 following preF vaccination in humans. Thank you.

4 Dr. Paydar: Great. Thank you so much. Thanks, everyone. For Topic I, we have a
5 total of 16 participants: 15 voting and one non-voting member. Now I'll proceed with
6 reading the FDA Conflict of Interest Disclosure Statement for the public record.

7 **Conflict of Interest Statement**

8 The Food and Drug Administration, FDA, is convening virtually today,
9 December 12, 2024, for the 188th meeting of the Vaccines and Related Biological
10 Products Advisory Committee, VRBPAC, under the authority of the Federal Advisory
11 Committee Act, FACA, of 1972. Dr. Hana El Sahly is serving as the Voting Chair for
12 today's meeting. Today on December 12, 2024, under Topic I, the Committee will meet
13 in open session to discuss considerations for Respiratory Syncytial Virus, RSV, vaccine
14 safety in pediatric populations. This topic is determined to be a Particular Matter of
15 General Applicability, PMGA. With the exception of the Industry Representative
16 Member, all Standing and Temporary Voting Members of the VRBPAC are appointed
17 Special Government Employees, SGEs, or Regular Government Employees, RGEs,
18 from other agencies and are subject to federal conflict of interest laws and regulations.

19 The following information on the status of this Committee's compliance with
20 federal ethics and conflict of interest laws, including but not limited to 18 U.S.C.,
21 Section 208, is being provided to participants in today's meeting and to the public.
22 Related to the discussions at this meeting, all members RGE and SGE consultants of
23 this Committee have been screened for potential financial conflict of interest of their
24 own, as well as those imputed to them, including those of their spouse or minor

1 children, and for the purposes of 18 U.S. Code 208, their employers. These interests
2 may include investments, consulting, expert witness testimony, contracts and grants,
3 cooperative research and development agreements, teaching, speaking, writing, patents
4 and royalties, and primary employment. These may include interests that are occurring
5 or under negotiation. FDA has determined that all members of this Advisory
6 Committee, both regular and temporary members, are in compliance with federal ethics
7 and conflict of interest laws.

8 Under 18 U.S.C., Section 208, Congress has authorized FDA to grant waivers to
9 Special Government Employees and Regular Government Employees who have
10 financial conflicts of interest when it is determined that the agency's need for the
11 Special Government Employee's services outweighs the potential for a conflict of
12 interest created by the financial interest involved, or when the interest of a Regular
13 Government Employee is not so substantial as to be deemed likely to affect the integrity
14 of the services which the Government may expect from the employee.

15 Based on today's agenda and all financial interests reported by Committee
16 members and consultants, there have been no conflict-of-interest waivers issued under
17 18 U.S. Code 208, in connection with this meeting.

18 We have the following consultants serving as Temporary Voting Members. Dr.
19 Karen Kotloff, Dr. Sarah Long, Dr. Allison Malloy and Dr. Tracy Ruckwardt. Dr.
20 Robert Janssen of Dynavax will serve as the Alternate Industry Representative for
21 today's meeting. Industry representatives are not appointed as Special Government
22 Employees and serve as Non-Voting Members of the Committee. Industry
23 Representatives act on behalf of all regulated industry and bring general industry
24 perspective to the Committee. Dr. Jay Portnoy is serving as the Consumer

1 Representative for this Committee. Consumer representatives are appointed Special
2 Government Employees and are screened and cleared prior to their participation in the
3 meeting. They are Voting Members of the Committee.

4 We have several federal and non-federal guest speakers as well as industry guest
5 speakers today making various presentations on timely and relevant topics. The
6 following speakers and guest speakers were invited for this meeting. Dr. Fatima
7 Dawood, Team Lead Epidemiology and Vaccine Assessment Team, Coronavirus and
8 Other Respiratory Virus Division, National Center for Immunization and Respiratory
9 Diseases, Centers for Disease Control and Prevention, Atlanta, Georgia; Dr. Pedro
10 Piedra, Professor, Department of Molecular Virology and Microbiology and Pediatrics,
11 Director, Pandemic Threat Technology Center, Director, Respiratory Virus Diagnostic
12 Laboratory, Baylor College of Medicine, Houston, Texas; Dr. Christine Shaw, Vice
13 President, Portfolio Head, Infectious Disease Vaccines, Moderna; Dr. Matthew Snape,
14 Vice President Clinical Development, Infectious Diseases, Pediatric and Maternal
15 Vaccines, Moderna.

16 Disclosure of conflicts of interest for speakers, guest speakers and responders
17 follow applicable federal laws, regulations, and FDA guidance. FDA encourages all
18 meeting participants, including Open Public Hearing speakers, to advise the Committee
19 of any financial relationships that they may have with any affected firms, its products
20 and, if known, its direct competitors. We would like to remind Standing and Temporary
21 Members that if the discussions involve any other products or firms not already on the
22 agenda for which an FDA participant has a personal or imputed financial interest, the
23 participants need to inform the DFO and exclude themselves from the discussion, and
24 their exclusion will be noted for the record. This concludes my reading of the Conflict

1 of Interest Statement for the public record. At this time, I would like to hand over the
2 meeting to our chair, Dr. Hana El Sahly. Thank you.

3 Dr. El Sahly: Great. Thank you, Sussan. I would like to invite now Dr. David Kaslow.
4 Dr. David Kaslow is the Director of the Office of Vaccine Research and Review,
5 OVR, at the FDA. Dr. Kaslow will introduce Topic I to the Committee and the public.
6 Dr. Kaslow.

7 **Overview of Topic I**

8 Dr. Kaslow: Great. Thank you, Dr. El Sahly. And on behalf of the Office of Vaccines
9 Research and Review, let me welcome all to this 188th VRBPAC convening. We're
10 asking the Advisory Committee to consider two topics. Next slide, please. For Topic I,
11 we're asking VRBPAC to discuss considerations for evaluating RSV vaccine candidates
12 in infants and children, specifically in light of recent observations of clinically
13 significant severe to very severe RSV lower respiratory tract infections following
14 administration of investigational RSV vaccines in infants. We're also asking VRBPAC
15 to consider two research programs. One in the Laboratory of Immunoregulation, the
16 other in the Laboratory of Retroviruses, both in the Division of Viral Products. More on
17 that topic later this afternoon. Next slide. Thank you.

18 A bit of context for Topic I. As discussed at the 2017 VRBPAC meeting, the
19 observation of enhanced respiratory disease in studies of formalin-inactivated RSV
20 vaccines conducted in the 1960s cast a decades-long shadow over RSV vaccine
21 development. However, recent advances, including in various vaccine technologies,
22 structural immunology and plausible mechanisms to explain vaccine-associated
23 enhanced respiratory disease, have facilitated RSV vaccine development and evaluation
24 in adults and in pregnant individuals and in pediatric populations. More recently,

1 approval of a long-acting RSV monoclonal antibody and a vaccine for maternal
2 immunization, each of which provide passive RSV immunity during infancy, have
3 partially addressed the unmet need for pediatric RSV vaccines. With that current
4 context in mind, we asked VRBPAC to now consider two recent observations. First,
5 five cases of severe to very severe RSV lower respiratory tract disease following
6 administration of mRNA-based RSV vaccine candidates to presumed RSV-naïve
7 infants, noting that the cause and mechanism of this observation have yet to be
8 established. And second, a potential RSV monoclonal antibody RSV vaccine interaction
9 observed after a first dose of RSV vaccine that may impact active immunization in
10 infants and toddlers who are administered RSV vaccines after receiving long-acting
11 RSV monoclonal antibodies. Next slide, please.

12 With that context and those new considerations in mind, and to frame the
13 VRBPAC discussion on Topic I, we have asked our CDC colleague, Dr. Dawood, to
14 review the epidemiology of RSV in U.S. children, and Dr. Piedra from the Baylor
15 College of Medicine to cover clinical and nonclinical aspects of RSV vaccine safety in
16 young children. We have then asked, and Moderna kindly agreed, to review nonclinical
17 and clinical findings of their investigational RSV and RSV plus human
18 metapneumovirus mRNA vaccines with a focus on infants and children less than two
19 years of age. That will be followed by an FDA presentation by Dr. Connelly. After an
20 additional Question and Answer Period and a brief lunch break, VRBPAC will go into
21 Open Public Hearing Session with four speakers, including two sponsors of RSV
22 vaccine candidates. As always, during the Open Public Hearing Session, the Chair or
23 Committee Member may question a person concerning the scientific content of that
24 person's presentation. Thereafter, 120 minutes have been allotted for VRBPAC to
25 consider two discussion topics. Next slide, please.

1 First in follow-up to the 2017 VRBPAC, we are asking VRBPAC to have
2 another focused discussion on RSV vaccine safety in pediatric populations based on the
3 currently available evidence, namely the imbalance in severe RSV cases and available
4 immunological data following mRNA RSV vaccination, and whether that evidence
5 indicates a potential safety concern more broadly applicable to the evaluation of RSV
6 vaccines in infants and toddlers, specifically the applicability to the broad range of other
7 vaccine technologies and different antigenic confirmations in development. Then, based
8 on that discussion, we are then asking VRBPAC to discuss whether the current
9 nonclinical and clinical safeguards are sufficient and/or whether any additional
10 nonclinical and clinical information should be considered and/or precautions should be
11 taken when evaluating RSV vaccine candidates in infants and toddlers. Next slide,
12 please.

13 Second, we're asking VRBPAC to discuss whether the preliminary
14 immunogenicity data after a single dose of RSV vaccine in individuals who had
15 previously received nirsevimab suggest a potential monoclonal vaccine interaction that
16 may affect active immunization in infants and toddlers. And if so, whether any
17 additional factors and data should be considered when evaluating sequential
18 administration of RSV monoclonal antibodies followed by RSV vaccines in infants and
19 toddlers, including potential impact on safety and/or effectiveness of subsequent
20 parentally or mucosally administered RSV vaccines. Next slide, please.

21 Finally, I would like to draw VRBPAC's attention to this slide, which is slide
22 26, and Dr. Connelly's FDA presentation. I won't go through the seven items listed on
23 this slide. Rather I wanted to note that first, these are potential considerations if the
24 Committee determines that recent observations warrant for the recommendations
25 beyond those made at the 2017 VRBPAC meeting. Second, and I want to be clear about

1 this, none of the RSV vaccine candidates based on live-attenuated RSV vectors are
2 currently on clinical hold. And third, this list is not meant to be exhaustive, but rather to
3 be representative of the topics we seek VRBPAC's advice today. I suggest this slide
4 might be helpful as you listen to this morning's presentations and discuss any
5 recommendations on today's Topic I. Next slide, please.

6 So let me conclude by again welcoming all; by thanking the VRBPAC members,
7 including our four Topic I Temporary Voting Members, for your time preparing for and
8 participating in today's VRBPAC Topic I; by thanking all of today's speakers, both
9 invited and those in the Open Public Hearing Session; by thanking my colleagues here
10 at FDA, who helped prepare for and organize this meeting on very short notice; and by
11 thanking those of you who have joined this Open Public Meeting virtually. We do look
12 forward to another productive VRBPAC meeting today. And with that, back to you, Dr.
13 El Sahly.

14 Dr. El Sahly: Thank you so much, Dr. Kaslow, for this informative introduction. So, as
15 Dr. Kaslow indicated, we have a rather involved task on hand today and I foresee a very
16 engaging discussion. And to kick us off, Dr. Fatimah Dawood, Team Lead,
17 Epidemiology and Vaccine Assessment Team, Coronavirus and Other Respiratory
18 Viruses Division, National Center for Immunization and Respiratory Diseases at the
19 CDC, will give us an overview of RSV epidemiology in U.S. children. Dr. Dawood.

20 **RSV Epidemiology**

21 **Epidemiology of Respiratory Syncytial Virus in U.S. Children**

22 Dr. Dawood: Thank you. Good morning. Next slide, please. During this talk we will
23 review RSV disease burden and seroprevalence in U.S. children. We will review the

1 current RSV immunization recommendations for infants and young children, and then
2 review data on the immune response after RSV immunization with nirsevimab.
3 Throughout the first three sections of the talk, I will touch on several unmet medical
4 needs and data gaps for pediatric RSV prevention, and we'll again summarize those at
5 the end of the talk. Next slide. Next, please.

6 RSV burden is high in children less than five years of age in the United States.
7 CDC estimates that each year among children in this age group, RSV leads to
8 approximately 2 million medical encounters, 58 to 80,000 hospitalizations, and 100 to
9 300 deaths. Next slide. In the United States RSV is also the leading cause of
10 hospitalization in infants. Overall, 2 to 3% of young infants in the U.S. will be
11 hospitalized for RSV. All infants are at risk for hospitalization, including those who are
12 born at term and those without underlying medical conditions. The highest RSV
13 hospitalization rates occur in the first months of life and risk of hospitalization declines
14 with increasing age in early childhood. Certain conditions further increase the risk of
15 severe RSV disease in infants or young children as listed here. Next slide.

16 This slide summarizes laboratory-confirmed RSV-associated hospitalization
17 rates across the lifespan for six seasons from 2018 through 2024. These rates are
18 estimated from CDC's RSV Net Surveillance System, which conducts population-based
19 surveillance in a catchment area that covers approximately 8% of the U.S. population.
20 What we can see here in the darker red box on the left of the slide is that RSV-
21 associated hospitalization rates are consistently highest among infants, particularly the
22 youngest infants, compared to all other age groups. But now note in the lighter red box
23 that there is a lower, but still substantial, burden of RSV-associated hospitalizations in
24 children 12 to 23 months of age. After this age group, rates decline in older children and
25 younger adults, before again increasing in older adult age groups. Next slide, please.

1 It's also notable that RSV-associated hospitalization rates in children less than
2 five years of age exceed rates of other immunization preventable respiratory viruses,
3 namely influenza and COVID-19. This slide shows hospitalization rates per 100,000
4 children—note the difference in scale from the prior slide,— where rates for RSV are
5 shown in orange, COVID-19 in blue, and influenza in gray. Rates here are for last
6 season, the 2023-2024 season, and are estimated from data from the New Vaccine
7 Surveillance Network, which conducts prospective surveillance with systematic testing
8 for the three respiratory viruses shown here at seven U.S. medical centers. What we see
9 here is that in the youngest infant, 0 to 2 months of age, during last season, RSV
10 hospitalization rates were approximately seven to tenfold higher than COVID-19 and
11 influenza hospitalization rates. And RSV hospitalization rates remained elevated above
12 those of COVID-19 and influenza hospitalization rates across all age groups through 59
13 months of age. Next slide.

14 We have seen thus far that RSV results in a high burden of hospitalization in
15 children, but RSV is also a common cause of non-medically attended community level
16 illness and infection in infants and young children, as has been documented by three
17 U.S. longitudinal birth cohorts that are summarized here. The earliest of these cohorts
18 was the pivotal Houston Family Study conducted from 1975 to 1980. The most recent
19 of the cohorts was conducted from 2017 to 2020. So, all cohorts were conducted before
20 the introduction of new RSV prevention products that we'll discuss later. All three
21 cohorts conducted prospective surveillance with respiratory sample collection for RSV
22 testing, as well as periodic serum collection, and that allowed the investigators to
23 estimate cumulative incidents by age. Findings from these cohorts suggest that at least
24 50% of children have had at least one RSV infection by one year of age and at least
25 75% have had at least one RSV infection by two years of age. Next slide, please.

1 The Houston Family Study also observed that RSV reinfection was common in
2 children less than five years of age. The investigators examined the relationship
3 between preseason RSV neutralizing antibody titers, shown by the red box on the left of
4 the table, and the frequency of reinfection, shown in the right box on the table-- Right
5 red box on the table. And they observed that RSV reinfection risk was inversely
6 associated with RSV neutralizing antibody titers, which suggests that neutralizing
7 antibody played a key role in protection from infection. Next slide.

8 So, to summarize, RSV infection is common in infants and young children, with
9 at least half of infants having an RSV infection by one year of age and at least three
10 quarters by two years of age. RSV is also the most common cause of hospitalization in
11 U.S. infants. The highest hospitalization rates are in infants, particularly the youngest
12 infants, followed by children 12 to 23 months of age. And lastly, most hospitalizations
13 in children less than two years of age occur in healthy infants and children. Next slide.

14 From here we'll transition to discussing current recommendations for RSV
15 immunization in infants and young children. Next slide. There are currently two
16 products recommended to protect infants and young children from RSV lower
17 respiratory tract infection through passive immunization. All infants in their first RSV
18 season are recommended for protection through either maternal RSV vaccine given to
19 pregnant people at 32 to 36 weeks gestation, or through infant receipt of nirsevimab, a
20 monoclonal antibody with an extended half-life. Some children in their second RSV
21 season are also recommended for protection with nirsevimab and those are children in
22 selected groups considered at increased risk for severe disease in their second season.
23 The current recommendations for RSV prevention in young children highlight two gaps
24 related to current prevention strategies. Next slide, please.

1 The first of these gaps is a data gap related to additional RSV vaccine doses in
2 subsequent pregnancies after the first lifetime dose. Currently, the Advisory Committee
3 on Immunization Practices recommends that people who receive a maternal RSV
4 vaccine during a previous pregnancy are not recommended to receive additional doses
5 during future pregnancies and infants born to people who were vaccinated only during a
6 prior pregnancy should instead receive nirsevimab. These recommendations were made
7 based on the absence of data on the safety, immunogenicity and effectiveness of
8 additional RSV vaccine doses in subsequent pregnancies and in the U.S. contexts,
9 where we have two recommended options for protecting infants from severe RSV
10 disease. Next slide, please.

11 The second data gap is noted here in the terms of the groups recommended for
12 second season RSV immunization. These groups include children with chronic lung
13 disease, children with severe immunocompromised, and children with cystic fibrosis as
14 well as American Indian and Alaska native children. Next slide, please.

15 Keeping the groups that we just saw in mind, this slide shows data from RSV
16 Net on RSV associated hospitalizations and Intensive Care Unit admissions in children
17 12 to 23 months of age, an age group which approximates, but note is not exactly
18 identical to the age group of children in their second RSV season. The data here are
19 from the 2022-2023 season for illustration purposes. The Y axis shows us counts of
20 children with each RSV-associated outcome, and in each bar the lighter blue shade
21 shows the proportion of children with each outcome who are not in a high risk group
22 currently recommended for nirsevimab receipt in their second RSV season. What we
23 can see here is that overall, more than 90% of children 12 to 23 months of age
24 hospitalized with RSV, as well as those requiring Intensive Care Unit admission, would

1 not have been eligible to receive nirsevimab in their second RSV season based on
2 current recommendations. Next slide, please.

3 The Advisory Committee on Immunization Practices' decisions about which
4 groups of children to recommend for nirsevimab receipt in their second RSV season
5 were informed in part by cost effectiveness analyses. This slide shows key findings
6 from those analyses which were recently published. The Y axis of this figure shows us
7 the incremental cost effectiveness ratio, or ICER, where a lower ICER is preferable with
8 respect to cost effectiveness. The X axis shows us varying costs of the recommended
9 dose of nirsevimab for children in their second RSV season. The different colored lines
10 show us different theoretical risk groups with 2, 3, 6 or 10 times the level of risk for an
11 RSV-associated hospitalization compared to a base risk among the general population
12 of children in their second season, which is depicted by purple. What this graph shows
13 us is that the ICER of nirsevimab for children in their second RSV season is highly
14 variable and strongly influenced by both product cost and risk level, where a lower
15 product cost results in a lower ICER in children with lower risk. In other words, a lower
16 cost per dose would make nirsevimab more cost effective in lower risk children in their
17 second season. Next slide, please.

18 So from here we'll shift to an overview of data related to immune responses
19 after RSV immunization with nirsevimab. Next slide. RSV fusion glycoprotein or F
20 protein is a protein on the surface of the respiratory syncytial virus. The F protein
21 facilitates virus entry into host cells and importantly it exists in two key states. The first
22 is a prefusion conformation, which is shown on the left in this figure. The prefusion F
23 protein is the target of most neutralizing antibody after natural infection, and it is also
24 the target of current vaccines and monoclonal antibodies. For example, nirsevimab

1 targets site \emptyset on the prefusion protein, which is depicted in red, and notice that that site
2 is not accessible on the second confirmation on the right, which is the postfusion
3 confirmation. So, antibodies to the postF protein can be used to differentiate responses
4 to natural infection versus immunization in infants who have received nirsevimab. Next
5 slide.

6 So, on the next two slides are summaries of serologic data from infants in two
7 prelicensure, placebo-controlled, randomized trials of nirsevimab that provide insights
8 about the immune response to RSV infection in children after nirsevimab receipt. The
9 Phase II B trial with data shown on the left studied nirsevimab in preterm infants 29
10 through 34 weeks gestation. The melody Phase III trial on the right studied nirsevimab
11 in term and late preterm infants. In this analysis shown here, the investigators examined
12 geometric mean RSV neutralizing antibody titers, which are shown on the Y axis, over
13 time starting at baseline before either nirsevimab or placebo receipt. Then again at either
14 31 or 91 days; at 151 days, which corresponded to the end of the RSV season; and then
15 again once more at 361 days, which corresponds approximately to the start of the
16 participant's second RSV season.

17 The lines on the graph show the trajectory of neutralizing antibody titers for four
18 groups. The blue lines are the nirsevimab recipients, the gray lines are the placebo
19 recipients. And the solid lines in each color indicate participants who had medically
20 attended diagnostic-confirmed RSV infection, which was one of the outcomes in the
21 trials, during the follow-up period. The dash lines in each color show participants
22 without RSV infection. So, what we can see here is that participants started out with
23 similar neutralizing antibody titers; that nirsevimab recipients overall then had higher
24 neutralizing antibody titers than placebo recipients over time, irrespective of whether

1 participants had a medically-attended RSV infection during follow-up. Nirsevimab
2 recipients also continue to have elevated neutralizing antibody titers at 361 days after
3 receipt, suggesting some residual neutralizing antibody for those children going into
4 their second RSV season. Next slide, please.

5 This slide shows data for the same analysis comparison groups, but here the
6 investigators assessed RSV postF antibody levels over time to examine infant immune
7 responses to medically-attended, diagnostically-confirmed RSV infection after either
8 nirsevimab or placebo. Recall that nirsevimab binds specifically to the site Ø epitope on
9 preF and doesn't bind postF. Thus, postF titers here can be attributed either to maternal
10 acquired antibody, which wanes in the early months of life, or from natural infection. So
11 what we see here is that antibody levels to postF increased in both nirsevimab and
12 placebo recipients with medically-attended, diagnostically-confirmed RSV infection,
13 with a slightly greater increase in titers in the placebo recipients, suggesting that infants
14 with RSV infection still mount an immune response in the presence of nirsevimab, but
15 responses may be slightly blunted compared to placebo recipients. The investigators
16 also examined their proportion of participants who had sero-response to RSV exposure
17 but who did not have a medically-attended, diagnostically-confirmed RSV infection in
18 the study. They found that rates of sero-response to RSV postF were similar among
19 nirsevimab and placebo recipients, suggesting similar rates of mild or subclinical
20 infection despite differences in rates of more severe RSV illness in the trials. Next slide,
21 please.

22 So, to summarize data from prelicensure nirsevimab trials suggest that
23 nirsevimab results in higher neutralizing antibody titers than natural infection in infants,
24 and titers remain elevated through at least one year. Data from the trials also suggest

1 that nirsevimab does not reduce rates of infant antibody response to natural infection,
2 although antibody responses to natural infection after nirsevimab receipt may be lower
3 compared to placebo. In the trial, 63 to 70% of all infants had evidence of sero-response
4 to RSV exposure at the end of their first RSV season. Next slide, please. And next,
5 please.

6 And I'll close here by summarizing several unmet medical needs and data gaps
7 for RSV prevention products. And with respect to unmet medical needs, a clear need is
8 low cost and effective RSV immunizations to expand protection to a broader population
9 of U.S. children in their second RSV season. With respect to data gaps-- A data gap is
10 needed data on safety, immunogenicity and effectiveness of additional doses of
11 maternal RSV vaccine after the first lifetime dose during subsequent pregnancies. A
12 second data gap remains the population level immune landscape in infants and young
13 children in the era of new RSV prevention products, which may influence epidemiology
14 of RSV in children as product uptake increases. Next slide, please.

15 And I'll close by acknowledging many collaborators who contributed to today's
16 presentation. Thank you.

17 **Epidemiology of Respiratory Syncytial Virus in U.S. Children – Q&A**

18 Dr. El Sahly: Thank you so much, Dr. Dawood. I would like to invite my colleagues to
19 use the raise-your-hand function should they have a question for Dr. Dawood, and I will
20 kick us off by the first question and it pertains to unmet need number one that you
21 highlighted in your last slide. We do know that the first season of nirsevimab was rolled
22 out last year. The availability was very limited. The uptake was not where it'll
23 eventually be as a status quo. So, potentially the dent that this particular preventive
24 measure could make is not fully materialized yet. So, this year promises to be better.

1 And in light of the data you showed us, that the antibody-- Neutralizing antibody titers
2 persist even up to year one, did any of the CDC colleagues perform modeling studies
3 based on what you showed us looking at what went into morbidity will nirsevimab have
4 into year two or into season two for an average child or average infant?

5 Dr. Dawood: Thank you for that question. I'm not aware of any modeling studies
6 looking at the impact of first season receipt of nirsevimab on second season illness.
7 However, there was a publication from, again, the Phase II B and Phase III trial
8 investigators from nirsevimab that looked at rates of medically-attended RSV illness in
9 the second season and severity of illness. And what they found in their analysis was no
10 difference in rates in the second season between nirsevimab and placebo recipients.

11 Dr. El Sahly: Thank you. Dr. Gans.

12 Dr. Gans: Thank you, Dr. Dawood, for that wonderful presentation which really got
13 us started. I did have a question. You've spent some time elaborating what the immune
14 or at least antibody responses after nirsevimab. Do you have comparator data after
15 maternal immunization and how that actually affects the immunity of-- The immune
16 response after those infants actually have attended RSV infection? So that we can
17 compare them.

18 Dr. Dawood: I'm not aware of analogous data for maternal immunization-- Antibody
19 from maternal immunization. Dr. Natalie Thornburg from CDC is joining me as a
20 respondent and I'll just give her the opportunity to comment if she'd like to.

21 Dr. Thornburg: I'm also not aware of any data like that for parental
22 immunization. Parental immunization would be a little bit more akin to infection of a
23 pregnant person, excuse me, because a polyclonal response would be transmitted to the

1 infant, and we know that infants do mount antibody response after infection, because it
2 happens all the time. So, we don't have that data, but it would probably be a little bit
3 more similar to just a mother having high antibodies from previous infection.

4 Dr. Gans: Yeah, the question was really about the immune response of the infants,
5 because we're doing a lot of analysis of what the nirsevimab or a passive immunity to
6 just the fusion prefab. Anyway, I think those are important so we can understand which
7 option for infants actually is most protective, especially in the global availability of
8 some of these therapeutics. Thanks.

9 Dr. El Sahly: Dr. Sarah Long. Dr. Long, you're on mute.

10 Dr. Long: I'm sorry, my unmute button didn't work, but here we go. I have a
11 follow-up question for Dr. Thornburg because I was surprised by her answer. I have
12 thought that what the baby sees from either nirsevimab or prefusion vaccination of the
13 mother is identical. Can you please educate us on why it would be different?

14 Dr. Thornburg: Wait, I'm sorry. Can you repeat that question Dr. Long? I want to
15 make sure I understand exactly.

16 Dr. Long: Yeah. So, the mother who is immunized passes only antibody to the baby
17 and she has received a prefusion vaccine that is not unlike nirsevimab, which is a
18 prefusion antibody. But you said the maternal would more simulate the response of
19 infection in the mother. Why would that be?

20 Dr. Thornburg: Because by the time a person is an adult, they have had many
21 infections and there is data to suggest that through repeated infections in your lifetime,
22 you get a very diverse antibody response that includes neutralizing antibodies against
23 site Ø. It's not a single antibody. So nirsevimab is one single antibody that binds to

1 prefusion F, a very specific part of prefusion F. A person might have one antibody that
2 looks like nirsevimab, but it's one in 10,000 antibodies. So that's just one antibody that
3 gets boosted. A mother gets that vaccine, and they will be boosted presumably against
4 prefusion F, but they should have many antibodies that bind prefusion F. So, all of those
5 antibodies should be boosted against prefusion F, and so a maternal vaccination would
6 not exactly mimic infection, but it would be a lot more like infection than just receiving
7 a monoclonal antibody because it would be a very diverse antibody response.

8 Dr. Long: Thank you.

9 Dr. El Sahly: Thank you. And the last question comes from Dr. Kotloff.

10 Dr. Kotloff: Yes. I'm wondering about the concern related to nirsevimab interfering
11 with active immunization responses and wondering if the level of maternal antibody
12 shows a similar effect.

13 Dr. Thornburg: Likely, yes. If an infant has high levels of neutralizing antibody,
14 whether through parental immunization or through a mother having high levels of
15 neutralizing antibodies from previous infection that get transmitted to an infant, those
16 high levels of antibody should abrogate replication in that infant. And so you should
17 have some blunting of an antibody response because more virus replication makes more
18 viral protein, and that more viral protein should drive higher titers of antibodies. So yes,
19 that absolutely would be expected.

20 Dr. El Sahly: Okay. We're almost out of time, but Dr. Bernstein, last question.

21 Dr. Bernstein: Thank you. This is for Dr. Dawood. I just wanted to clarify, you had
22 mentioned about 2 million medical encounters, 50 to 80,000 hospitalizations, 100 to 300
23 deaths annually. When someone looks at a cohort in a given year and compares those

1 children who have received nirsevimab versus those that have not received nirsevimab,
2 are there differences there?

3 Dr. Dawood: Thank you for that question. I think it's too early for us to say in the
4 United States for some of the reasons that Dr. El Sahly pointed out. Last season was our
5 first season of introduction, there were some nirsevimab shortages earlier on in the
6 season, and so I think we would hope that we would have more infants protected earlier
7 in the season, in future seasons where we could look at impact like that at a population
8 level. CDC does monitor that. For example, one of our systems, the New Vaccine
9 Surveillance Network, is poised to look at hospitalization rates and be able to look at
10 impact after introduction versus before, to get at some of the questions that you're
11 asking.

12 Dr. Bernstein: Thank you for clarifying.

13 Dr. El Sahly: Thank you, Dr. Dawood and Dr. Thornburg. I would like to invite now
14 Dr. Pedro Piedra. Dr. Pedro Piedra is a Professor, Department of MVM and Pediatrics
15 at Baylor College of Medicine. Dr. Pedro Piedra will give us an overview of vaccine-
16 associated enhanced respiratory syncytial virus disease, clinical and nonclinical aspects
17 of RSV vaccine safety in young children. Dr. Piedra.

18 **Vaccine-Associated Enhanced Respiratory Syncytial Virus Disease**

19 **Clinical and Nonclinical Aspects of RSV Vaccine Safety in Young Children**

20 Dr. Piedra: Thank you, Hana, and good morning. May I have the next slide, please?
21 This is a disclosure statement. Next slide, please. And this is more or less the objectives
22 that I'm going to be covering. I will focus on the 1960's experience and what we have
23 learned and not learned from that experience. Some of what we believe is the

1 immunology behind vaccine-enhanced disease, and then touch on what Fatimah had
2 pointed out on promising vaccine development and the utilization of maternal
3 vaccination and monoclonal antibodies in that context with promising vaccines as
4 they're rolled out, and some of the safety parameters that we need to think about. Next
5 slide.

6 But first I want to highlight that vaccines have done a tremendous good and the
7 expanded program on immunization that started in 1974 has saved over 146 million
8 lives of children younger than five years of age, and during this time, vaccination has
9 accounted for 40% of the observed decline in global infant mortality. Next slide.

10 From a global perspective, we know that there are significant number of causes
11 of mortality in children under five years of age. This was a study done in 2019 and I
12 want you to point to the right table and note that lower respiratory tract illness is the
13 major cause of death in children under five years of age. Next slide, please. And as
14 Fatimah has indicated, RSV is a major global pathogen; causes significant lower
15 respiratory tract disease. Something that we forget about, about a third of all pneumonia
16 hospitalization in the U.S. included is caused by RSV. It is the leading cost of
17 hospitalization for bronchiolitis. And annually, globally, there are in the range of 55,000
18 to 200,000 deaths that are attributed to RSV. And if one considers children under six
19 months of age, almost every 15-- For 1 in every 15 deaths are caused by RSV in infants
20 28 days to less than six months. So, it has a significant impact globally. Next.

21 There is, without a doubt as a pediatrician, a major need for a safe and
22 efficacious vaccine for children under five years of age. However, the experience of the
23 1960s that resulted in vaccine-enhanced disease upon natural RSV infection has delayed
24 vaccine development for decades for this population. Next. So, I want to take you back

1 to the beginning. And this is Robert Chanock, that many of you all are aware, and was
2 the one that put the link between RSV or, if you go to the next slide, between the
3 chimpanzee coryza agent and RSV, and it became very quickly recognized in the early
4 1960s and late 1950s that RSV was a major pathogen for young children. Because of
5 that—next slide— there was significant effort that was undertaken to develop a vaccine
6 that could protect against RSV in infants and young children.

7 The vaccine was prepared, as it was standard practice at that time, with formalin
8 inactivation and also alum precipitation. There were four trials that were done with the
9 Pfizer formalin-inactivated RSV vaccine in 1966 and 1967, and they're listed here by
10 these references in this table. I want to focus the attention to children 2 to 23 months of
11 age because vaccine-enhanced disease was not observed in all children. And if one
12 looks at the vaccinated versus the control group, and if we look at Kim in particular,
13 those were the children that received the vaccine when they were the youngest. They
14 also had the greatest impact with regards to vaccine-enhanced disease upon natural RSV
15 infection. So, of those that were infected with RSV, 16 of 31, were hospitalized, of the
16 formalin-inactivated RSV vaccine recipients versus 1 of 40 in the control group.
17 Unfortunately, in that particular study, two infants died. Those two infants died at 14
18 and 16 months of age, upon natural infection with RSV. They were vaccinated. The first
19 dose that they received was at two and five months of age. And in that study, most
20 children received two or three doses, and the regimen was one month vaccination, then
21 the following month, and then three months later. And these four trials, the vaccination-
22 - The regimen for vaccination was somewhat different. But in general, they received
23 two doses one month apart and the third dose may have been one month later or several
24 months later.

1 The other study that I think is very important highlighted here by Dr. Kapikian is
2 that of the children that were infected with RSV, 9 of 13 ended having pneumonia. And
3 of the control group 4 of 47, or 9%, had pneumonia associated with their RSV. Next
4 slide, please.

5 If we look a little bit more closely at the experience by the Kapikian study and
6 look at those that had pneumonia in the context of formalin-inactivated RSV
7 vaccination or in the control, one can see that they both had absolute levels of coryza.
8 Cough was biased more in the vaccinees-- Formalin-inactivated vaccinees, so was
9 wheezing. Rales was comparable between the two groups. And if one look at
10 radiographic evidence of pneumonia, one could see that in the formalin-inactivated RSV
11 vaccine, 10 of 11 had evidence of pneumonia, and 5 of the 13 were hospitalized
12 compared to 1 of 9 in the non-vaccinated or 1 of 11, 9%, in the control group.

13 If we look at the study by Kim, I want to point out that the control arm received
14 a formalin-inactivated parainfluenza vaccine, either parainfluenza vaccine type 1, and in
15 other studies a triple combination of parainfluenza type 1, 2 and 3. The point that I want
16 to state here is that in those infants that received the formalin-inactivated parainfluenza
17 vaccine upon natural infection with parainfluenza or RSV, vaccine-enhanced disease
18 was not observed. It was only observed in the formalin-inactivated RSV vaccine
19 recipients that were naturally infected with RSV.

20 If we look at the study by Kim, we can see that at the end of three doses, the
21 geometric mean neutralizing antibody titers and the vaccinees-- Formalin-inactivated
22 RSV vaccinees was low at 48, versus the parainfluenza vaccinees, which one would
23 expect is basically undetectable. If we look at RSV infection, they were comparable
24 between the two groups, so there was not an enhanced RSV infection rate in those that

1 received the RSV vaccine. If we look at the number of hospitalizations, 80%, or 16 of
2 the 20 that were infected with RSV ended up in the hospital compared to 1 of 21 for the
3 parainfluenza vaccinee. The mean length of hospitalization was much longer. Likewise,
4 the diagnosis of pneumonia was 95% in the RSV vaccinees versus 19% in the
5 parainfluenza vaccinees. And very unfortunately, there were two deaths. Next slide.

6 If we look at the study by Fulginiti, this was a study-- A larger study that was
7 conducted. Here the control group was the triple parainfluenza vaccine that was
8 formalin-inactivated and they vaccinated children beyond two years of life, and one can
9 see very clearly, if you look at the age group of 6 to 11, the risk-- And go to the very far
10 right column. The risk for hospitalization was 13.7 per 100 children versus 1.5 in the
11 control group. As the age increased 12 to 23 months, there was still a significant
12 increase at 5 per 100 versus 0.92, and over 24 months of age there is no longer an
13 increased risk for hospitalization attributed to the formalin-inactivated RSV vaccine
14 followed by RSV infection. Next.

15 What people often forget is the Merck experience also with a formalin-
16 inactivated RSV vaccine, also conducted during the 1966-1967 seasons. And their
17 vaccine was very similar to the Pfizer vaccine. It was formalin-inactivated, it was
18 concentrated, it was alum-absorbed. A major difference was they often combined it with
19 other vaccines or other antigens, whether it be parainfluenza or mycoplasma or even
20 more flu. And also the age group was older. However, I do want to call to your attention
21 that there were age groups like Woodhour, where children were one year of age and
22 younger, where the vaccine induced very poor neutralizing antibody response and there
23 is no evidence of enhanced disease. In fact, in somewhat older children, three to five,
24 there may have been evidence of some degree of protection, very low, against severe

1 RSV disease and about 40% of the children were at that time considered seronegative
2 based on the assay used. Next slide.

3 To date, a clear mechanistic understanding of the formalin-inactivated vaccine-
4 enhanced disease has not been established, although there are leading immunological
5 mechanisms that are considered plausible. But first, just a little bit about the virus to
6 better understand the immune response and vaccine development. Next.

7 As Fatimah has already indicated, RSV is a negative-sense, single-stranded
8 RNA virus. The two major surface glycoproteins that induced a host neutralizing
9 antibodies are the G, which is heavily glycosylated and important in viral attachment,
10 and the F, which is well conserved among the two subgroups RSV A and RSV B and
11 required for fusion to the cell. In recent years. In the last decade, one of the major
12 contributions made in the RSV field was the understanding that the F protein came in
13 more than one form. And the form that we had not realized was the prefusion form that
14 is required for infection. That is the active form of the fusion protein and it has some
15 unique antigenic sites, such as site Ø, which nirsevimab targets; site V; and then in the
16 postfusion form, which is the stable form, but it's no longer an active form, there are
17 some shared sites that one can find in the prefusion and postfusion, and that's site IV
18 and site II. Site II, as you may recall, is the target for palivizumab, which was used very
19 effectively to prevent severe disease in a very select subgroup of high-risk infants. Next.

20 What does the formalin inactivation do as well as heat treatment over a three-
21 day period, which is the way the Pfizer and Merck vaccines were produced. They were
22 formalin-inactivated and they were heat treated over a three-day period, concentrated
23 and absorbed, and using monoclonal antibodies that target both unique sites and shared
24 sites. One can see what happens under these treatments. Motavizumab, which targets

1 site II, which is shared by both the prefusion and postfusion form, remains equally
2 bound to the target over time. But that is not true for the monoclonal antibodies that
3 target unique sites on the prefusion form. AM14 that targets site V and D25 that targets
4 site \emptyset . And with the disappearance of the binding over a 24 to 48-hour period, one can
5 see in the illustration figure on the far right that the virus loses its ability to infect the
6 whole cell during that time period. So, basically formalin inactivation transitions the
7 preF to a postF form. Next.

8 So, in my opinion, the antibody response as an unlikely cause of the formalin-
9 inactivated RSV vaccine-enhanced disease. And I'll show you some data why I think
10 so. Next. First, this was an important study that was done by Murphy and others using
11 serum that had been stored from the original Kim study and this is the one where there
12 was the highest hospitalization rate. And if one compares the formalin-inactivated RSV
13 vaccine group, this is two to seven months, versus the formalin-inactivated
14 parainfluenza vaccine control, again two to seven months, versus natural infection. And
15 this is complicated, so I'm not going to go over all the binding and neutralization, but
16 what I'm going to show you is if you go to the far right column and look at the ratio of
17 binding antibodies to neutralization or neutralizing antibody titers, and this is in log 10,
18 you can see that for those that received the formalin-inactivated RSV vaccine, they had
19 a high binding to neutralizing antibody ratio; 251 to 1, versus natural infection, which
20 was 12.6 to 1. Or the formalin-inactivated parainfluenza vaccine, which means that's
21 maternal antibodies that the infant has at that time, which again shows a ratio of 10 to 1.
22 So, basically the vaccine-- Formalin-inactivated vaccine is inducing poor neutralizing
23 antibodies but high binding antibodies. Next.

1 So, what do we know about some animal data? First, in humans, the formalin-
2 inactivated appears to have induced high titers of binding antibodies with weak to
3 moderate neutralizing and fusion-inhibitory activity consistent with low avidity
4 antibody response. These antibodies in the context of a large antigen load is thought to
5 have led to immune complex deposition and complement activation in the airways of
6 infants upon subsequent RSV infection. Vaccine-enhanced pathology can be mediated
7 by immune complexes and abolished in complement component C3 and B cell-deficient
8 mice. The two infants who died from vaccine-enhanced disease had peribronchiolar
9 deposition of C4d, a complement cleavage marker of complement activation by the
10 classical pathway. However, cell-bound C3 is present during the convalescent phase of
11 natural RSV infection and RSV antigen-containing immune complexes are easily
12 detectable in the upper airways of infected infants from three days up to 36 days after
13 illness onset. Also, antibodies induced by the formalin-inactivated RSV vaccine, either
14 passively administered or maternally transferred, have not been associated with vaccine-
15 enhanced pathology in the cotton rats or mouse model for vaccine-enhanced pathology
16 studies. Next.

17 This is the first study that was ever done in pregnant women with an RSV
18 vaccine and it was a purified fusion protein vaccine that we think was mostly in the
19 postF form. And if one looks at the far right column, that vaccine induces very low
20 levels of neutralizing antibodies that were passed to the infant. I'm not showing you
21 here the binding antibodies through the fusion protein, but they were elicited strongly,
22 and the infants were followed for one to two years following birth and none of them had
23 any safety concerns or vaccine-enhanced disease experience following RSV season.
24 Next.

1 So what about the cellular immune response as a potential cause of the vaccine-
2 enhanced disease? Next. So there was a study done by Kim et al. with the population
3 that received the original formalin-inactivated RSV vaccine, and they looked at the
4 lymphoproliferative response after they had learned about the vaccine-enhanced disease.
5 12 of the 21 formalin-inactivated RSV vaccinees were infected with RSV before the
6 lymphocyte collection was performed. And so I'm going to call your attention to the
7 percent transformation for the formalin-inactivated RSV vaccinees; whether they were
8 infected with RSV or not, it was increased compared to the formalin-inactivated
9 parainfluenza vaccinees, their control group. Very importantly, the cell in which the
10 virus was grown to produce the formalin-inactivated RSV vaccine was not associated
11 with increased lympho proliferation as observed here. And if one looked at the serum
12 antibody response that was detected, the plaque reduction neutralizing antibodies appear
13 to be of good titers. But if you look at those that were not naturally infected, it suggests
14 that it may have been mostly derived from the mother-- Maternally derived. And they
15 had generated complement fixing antibodies. Next.

16 So, if we look at the animal models and what have they provided, what insight
17 have they provided, it suggests that the formalin-inactivated RSV vaccine has induced a
18 Th2-biased CD4 T cell response, and after a natural RSV infection results in an
19 eosinophilic parabronchial infiltrate and neutrophilic alveolitis, resulting in the vaccine-
20 enhanced disease phenotype. The biopsy mouse model, the cotton rat, and the African
21 green monkey have often been used to study this, and these models are semi-permissive
22 to RSV. You require high virus load to infect them. In the mouse model, formalin-
23 inactivated RSV priming in RSV-naïve mice has been linked to an imbalance Th2
24 response with production of IL-4 and IL-5 with pulmonary eosinophilic response upon
25 experimental RSV infection and enhanced mucus production, airway

1 hyperresponsiveness and a reduction of cellular cytotoxicity activity. This Th2-biased
2 immune response appears to mediate airway hyperreactivity and mucus hypersecretion.
3 And in addition, a CD4 cell producing TNF- α appears to be associated with airway
4 obstruction in the mouse model. The recombinant RSV G protein vaccine has also been
5 associated with vaccine-enhanced pathology with increased cellular infiltrates in the
6 lungs and a Th2-cell mediated IL-13 induced mucin hypersecretion.

7 Although the CD4 imbalance seems to be a major culprit for vaccine-enhanced
8 disease, I want to indicate that memory CD8 T cells with high interferon production in
9 the absence of RSV-specific CD4 T cells and antibodies will result in viral clearance but
10 also lethal immunopathology. So, in the animal model, enhanced pathology results
11 from-- Appears to result from an unbalanced T cell priming rather than infection
12 enhancing or sensitizing antibodies. Next.

13 So what are vaccines that are not associated with vaccine-enhanced disease?
14 Next. An early study was conducted with a live RSV vaccine that was administered
15 subcutaneously. The live RSV vaccine was about 10^4 tissue culture infectious dose, and
16 was produced in WI-38 cells. And there was no evidence of vaccine-enhanced disease
17 upon RSV infection. If you look at the far down, neither protection against RSV disease
18 or development of vaccine-enhanced disease was observed with RSV infection in these
19 vaccinee groups, in particular in children under 24 months of age. Next. If one looks at
20 vaccine-enhanced disease has not been observed with live RSV vaccines administered
21 intranasally. This was a nice overview by Peter Wright and others that demonstrated
22 about eight years of experience with seven different live RSV vaccines administered
23 intranasally to infants and young children and whether they received two doses when
24 they were 1 to 3 months or one dose when they were 6 to 24 months of age, there is no
25 evidence of enhanced disease when they get infected with RSV. Look at the middle

1 column compared to the control, or the far right column when you look at more severe
2 disease; it was very comparable between both groups. Next.

3 Fatimah has already commented that there are two new preventive measures and
4 this has been a major breakthrough. It has been six decades in waiting that maternal
5 antibodies with a preF vaccine against both RSV A and RSV B has been approved, as
6 well as the long-acting monoclonal antibody called nirsevimab that targets site Ø and
7 there have also been three vaccines that have been approved for adults 60 years of age
8 and older. Next. In the pipeline, there are five vaccines that are being targeted for
9 pediatrics. The vaccine in Phase III, a live/chimeric RSV vaccine by Sanofi. Vaccine in
10 Phase II, a parainfluenza 5 vector vaccine. And in Phase I the codagenix. There's a
11 protein-based vaccine based on the G-- The central conserved domain of the G protein,
12 and then there's the Moderna vaccine. Next.

13 So we need to study RSV vaccine immunogenicity that targets young children in
14 the context of other preventive measures that protect infants against severe RSV
15 infection, and that has been stated very nicely by Dr. Dawood. Next. This is just to
16 remind you that there was very good evidence of protection against lower respiratory
17 tract disease in infants born to mothers that received a preF vaccine that lasted
18 for at least six months. Next. And likewise with nirsevimab that was given to late-
19 preterm and term infants, there is a significant reduction in hospitalization and
20 medically attended RSV lower respiratory tract disease. Next.

21 So, one needs to think about the risk versus benefit ratio of RSV vaccines for
22 young children. We know that there is significant benefit if we can protect against
23 severe disease and so one needs to kind of de-risk the risk that may be associated with
24 vaccines in the very young. And so it's important to define the immune profile and

1 safety in preclinical animal models. It is important that the vaccine formulation be
2 determined or nearly settled before going into the pediatric population. Depending on
3 the vaccine, it is important that it be stable and non-transmissible. We need to be able to
4 accept-- Establish an acceptable reactogenicity profile initially in adults as one
5 progresses into children and ensure there's no significant safety signals that are
6 observed for participants followed during one to two RSV seasons, initially in RSV-
7 experienced children and later in RSV-inexperienced infants. And now that we have
8 new methods for protection, we need to study them in the context of RSV maternal
9 vaccination and nirsevimab and potentially other immunoprophylaxis compounds that
10 will come later. Next.

11 And so, what are some of the major safety concerns for RSV vaccine in young
12 children? I think we all know vaccine-enhanced disease is a significant major concern
13 for children under two years of age, but you also need to think about adverse events of
14 special interest and that will be likely driven by the platform in which the vaccine is
15 presented. Just as some examples, issues with febrile seizures possibly with adjuvanted
16 or high dose vaccines or during co-administration, autoimmunity with new adjuvants,
17 wheezing illness or respiratory distress with live-attenuated vaccines or intranasally
18 administered vaccines, systemic illnesses with vector-based or messenger RNA
19 vaccines.

20 And to finish-- Next. So, what are some of the characteristics that one would
21 like for an RSV vaccine for children: to have a safety profile demonstrated that causes
22 no or mild transient reactogenicity with no evidence of vaccine-enhanced disease; to
23 have high level of efficacy against confirmed severe RSV disease caused by both RSV
24 A and RSV B subgroups; determine the impact on non-severe RSV disease and also on
25 recurrent wheezing and other respiratory viruses; establish an immune profile of the

1 vaccine and hopefully correlates a protection; co-administration with other vaccines and
2 demonstrate their safety and non-inferior immunogenicity; and lastly with these new
3 preventive measures, understand whether there's an interference that may be occurring
4 with maternally acquired or monoclonal antibodies on vaccine immunogenicity. With
5 that, thank you.

6 **Clinical and Nonclinical Aspects of RSV Vaccine Safety in Young Children – Q&A**

7 Dr. El Sahly: Thank you so much, Tony, for going over a very complicated and
8 involved topic in such a short time. We learned a lot. I would like to invite the
9 Committee members to use the raise-your-hand function to ask a question to Dr. Piedra
10 and I will begin by one brief question. To your knowledge, was the Merck product, the
11 formalin-inactivated that was given to seronegative infants but didn't result in vaccine-
12 enhanced disease, compared to the other formalin-inactivated products in an animal
13 model where a particular-- The immunology was dissected to these two in a head-to-
14 head animal model study?

15 Dr. Piedra: The answer is no. One, with the Merck study, I'll just point out that most
16 of the studies were done with somewhat older children, although there were some with
17 one year of age and older. But something that was unique with the Merck study that was
18 not done with a Pfizer study is that in general these were polyvalent vaccines, so they
19 contained more than just RSV and that may have had an impact on altering the type of
20 response that one saw during vaccination.

21 Dr. El Sahly: Yeah, so that particular alteration with modern immunology would've
22 been interesting to dissect, especially with the low predictive value of many of these
23 animal models. Dr. Gans?

1 Dr. Gans: Thank you, Dr. Piedra, for that. I did have a question because it seems
2 like we're sort of dancing around the idea that we need a comparator of what an
3 immune response to actually natural infection is in terms of how that compares to a
4 vaccine response and what in particular is causing-- Because it appears that someone
5 who's immune who then gets vaccinated doesn't get enhanced disease, rather someone
6 who's naïve, who gets the vaccines that we're giving them, has the potential for then on
7 subsequent exposure being at risk for enhanced disease. I know that the model that
8 we're all worried about are these formalin inactivated. I mean, the same thing happened
9 with measles, which happens to be in the same paramyxovirus family. We understood
10 that it was also-- I mean, the immunology is not settled but there does seem to be non-
11 neutralizing antibodies in both those scenarios. I know that your evidence is also
12 showing some impact of the cell-mediated immunity. Clearly, though, natural disease
13 will give us likely not a shift to the Th2, but a good CD4 Th1 response. So I'm
14 wondering-- My question really is around is there the-- I think what we need actually is
15 very settled and better data on what actually an RSV infection does to the immune
16 system that is helpful in predicting how these immunizations given as a primary
17 response could be protective, and I'm not sort of hearing that or seeing that information
18 coming forward, because-- And then I'm not seeing the difference between the immune
19 response in children who are already immune getting vaccines versus those who are not,
20 so that we can start parsing out what are the things that we should be looking for.

21 Dr. Piedra: That's an excellent question. We do know that under the umbrella of
22 maternal antibodies that when an infant becomes infected with RSV, you don't see
23 much of a neutralizing antibody augmentation, and whether the maternal antibody is
24 attenuating the response or whether you're not able to see the response it's happening,
25 but because they have maternal antibodies on board, you're not seeing an enhancement

1 of it. As they get older, still under a year of age and out of the influence of maternal
2 antibodies, we have done some studies to demonstrate that the major site that we appear
3 to be detecting is actually to site IV and site II, and that would make sense because these
4 infants with primary infection don't mount a very robust neutralizing antibody response
5 as you would see with reinfection, where later on site I or your preFusion sites become
6 more dominant. And so it may be that maternal antibodies or low levels of maternal
7 antibodies may interfere with some of the sites that are directed to-- That are unique to
8 the preF, and that is why we may be seeing a site II, site IV response. Depending on the
9 studies that we look at with cellular immunity, there is really a broad-- What I would
10 say, broad level of-- Let me restate this. Timing of infection matters and when you're
11 very young it suggests that you may actually have more of a Th2 type response in the
12 very young, and as you get older you develop more of a balanced response. And so in
13 my mind, I think an important aspect as we begin vaccinating children having a
14 balanced response both from an antibody perspective and a cell-mediated immune
15 perspective is highly relevant.

16 Dr. Gans: Thank you very much.

17 Dr. El Sahly: Dr. Perlman.

18 Dr. Perlman: Yeah, so I have a question I think that follows up on that question. So,
19 one of the things that was striking in the information that you presented was the fact that
20 CDA T cells by themselves could cause severe disease. So, here we're not dealing with
21 Th2 responses or antibodies, but we have what might be considered-- What might've
22 been expected to be a protective response. Do you think it led to lethal disease because,
23 as a word, "too much of a good thing" or that the absence of antibodies made this effect
24 so overwhelming? So, this might suggest that the mechanism could be more than one

1 way of getting to a lethal disease here, because it could either be too much of the right
2 response or a response that's dysregulated. I just wanted to know your thoughts.

3 Dr. Piedra: Agree totally. That was work done by Steve Varga and others, and they
4 highlighted very nicely that it cleared the virus. So, viral infection was cleared, but it
5 caused significant airway disease and so there was a lot of cell damage that was
6 occurring, and these mice that normally don't succumb to RSV infection, did. And so it
7 was like you stated; too much of a good thing. And so that's why I keep on saying that
8 we don't know exactly the right immune profile that one needs, but it appears to be a
9 balanced profile that is needed and nothing too much in excess.

10 Dr. Perlman: Thank you.

11 Dr. El Sahly: Dr. Long?

12 Dr. Long: Yes, thank you so much. This just keeps getting more and more
13 complicated. I have two-- First an observation of pertussis vaccine. It's the primary,
14 your first experience with the vaccine, that biases if it's a cellular, a Th2 response, that
15 gets worse and worse and worse and worse the more you see pertussis vaccine. So, I
16 don't know how or if RSV vaccines would become more balanced with time, but--
17 Maybe your thoughts about that. But my other question really is we talked-- You
18 showed experienced and inexperienced infants, and the question is where do you
19 consider the infant who has had passive protection from maternal antibody, that I've
20 just learned today is a little different from nirsevimab, because they're both experienced
21 in a way and it's when that neutralizing antibody begins to fade in the sixties, in the
22 formalin-inactivated, that the badness became. So do we have to have a truly naïve-- Do
23 we have to consider-- When we're thinking about safety, do we have to consider a truly
24 naïve, somebody who didn't get a preventive passive protection, as well as those who

1 are naïve but had prophylaxis and the rest of everybody who might've already had
2 infection? It's going to be increasingly difficult, I hope, to find babies who have not
3 received passive protection one way or another because it's so effective.

4 Dr. Piedra: I think the scheme that most-- And I won't speak for industry, but one
5 can see the studies, that the target population is generally going to be six months of age
6 and older. And before, we had preventive measures; maternal antibodies by six months
7 most would have been cleared. So that when one then becomes vaccinated or enrolled in
8 those types of studies, they are both seronegative and RSV inexperienced. So, normally
9 when we think about RSV experienced, we're thinking about infection rather than
10 antibodies, and one can with serologic assays distinguish most of the time whether
11 you've been infected or whether it was maternally derived or in this case nirsevimab-
12 acquired. And so the issue for the vaccine-enhanced disease was mostly RSV
13 inexperienced. They received the vaccine; many infants received the vaccine under the
14 influence of maternal antibodies and it was the youngest when they were vaccinated that
15 had the worst outcome later when they were exposed to RSV compared to older infants
16 or young children when they were vaccinated and then subsequently infected. So, there
17 was something unique with the vaccination regimen that whether it was in the presence
18 or in the absence of maternal antibodies, making those infants susceptible to enhanced
19 pathology and enhanced disease.

20 Dr. Long: Thank you.

21 Dr. El Sahly: My question now is to Jay and Karen. Can your question wait for the
22 discussion portion of the meeting which is a two-hour time slot? Because we are a bit
23 behind on time. Is that okay? Okay, thank you. Thank you, Tony, and I'm sure many of
24 us will have a whole lot more to ask you in the discussion portion. I would like to invite

1 now our Moderna colleagues, Dr. Christine Shaw, Vice President, Portfolio Head,
2 Infectious Disease Vaccines, and Dr. Matthew Snape, Vice President, Clinical
3 Development, Infectious Diseases, Paediatric and Maternal Vaccines at Moderna. They
4 will give us a review of investigational RSV mRNA-1345 and RSV human
5 metapneumo mRNA-1365 vaccines and infants and children under two years of age.
6 Take it away.

7 **Moderna Presentation: Review of Investigational RSV (mRNA-1345) and**
8 **RSV/hMPV (mRNA-1365) Vaccines in Infants and Children < 2 Years**

9 Dr. Shaw: Good morning. We would like to thank the FDA for the invitation to
10 review Moderna's pediatric RSV vaccine programs today. My name is Christine Shaw
11 and I'm the Portfolio Head of Infectious Disease Vaccines at Moderna. As background
12 for today's presentation, the Moderna RSV vaccine, mRESVIA, is licensed for use in
13 adults above 60 years of age as of May, 2024. Safety and efficacy were demonstrated in
14 a large global Phase III study. The only RSV hospitalizations in the study were in
15 placebo recipients. We've also been developing this vaccine for pediatrics given the
16 significant unmet medical need, and we've taken a conservative stepwise approach.
17 Development has been in consultation with multiple regulatory agencies and following
18 established RSV vaccine guidance. In a recent Phase I trial, we have identified a
19 potential imbalance of severe or hospitalized RSV in RSV-naïve infants, five to seven
20 months of age, with more cases in the vaccine than the placebo recipients. Specifically,
21 5 out of 35 children, or 14%, in the vaccine group; in 1 out of 18, or 6%, in the placebo
22 group. We have paused study dosing in July. There has been no subsequent enrollment
23 or dosing since, and surveillance continues. There's currently no plan to continue this
24 program in children under two years of age.

1 Our goal today is to share our available data to help inform pediatric RSV
2 vaccine guidance and development. Here's our agenda. I will provide a brief
3 introduction and share our nonclinical data, and my colleague Matthew Snape will then
4 describe the clinical program.

5 As you heard this morning already, respiratory syncytial virus is the leading
6 cause of infant hospitalizations in the U.S., with about 17 of every thousand children
7 under six months of age hospitalized for RSV each year, and nearly every child has had
8 at least one infection by two years of age and lifelong sequelae such as wheezing and
9 asthma are common. The recent licensure of a maternal vaccine and a monoclonal
10 antibody for infants have begun to address the pediatric burden. However, there remains
11 a high unmet need for prevention of RSV in young children through active
12 immunization. So, human metapneumovirus is closely related to RSV, and is similar in
13 terms of virology, epidemiology, seasonality and disease. hMPV is the third most
14 common cause of community-acquired pneumonia in young children, and more than
15 two of every thousand children below 11 months of age are hospitalized from RSV--
16 From hMPV, sorry. Most children have been infected by five, and there is no specific
17 vaccine or treatment available.

18 Before introducing Moderna's pediatric vaccines for these two viruses, I'd like
19 to take a moment to touch on the history of pediatric vaccine development. A formalin-
20 inactivated, called RSV vaccine, formulated with alum was studied as an intramuscular
21 injection in the 1960s. As you've heard this morning, this vaccine resulted in enhanced
22 respiratory disease, or ERD, in RSV-naïve infants after subsequent natural RSV
23 infection. In a study that enrolled infants aged two to seven months, 80% of
24 subsequently infected children were hospitalized and two died. After decades of
25 research, it is thought that the contributing humoral factors to ERD included induction

1 of very little neutralizing antibody, which led to lack of virus control, and also induction
2 of non-neutralizing binding antibody which led to immune complex deposition and
3 associated complement activation in airways.

4 A likely contributing cellular factor was a high T-helper type two response
5 resulting in airway inflammation. There is also increased understanding about what
6 does not cause enhanced respiratory disease. RSV-experienced children and adults are
7 not considered at risk. Specifically, ERD has not been observed after repeat natural
8 infection or after vaccination of RSV-experienced children or adults. As for RSV-naïve
9 infants, the perceived risk of ERD is dependent on the vaccine type. Some vaccine types
10 were considered lower risk for ERD because they induce an immune response profile
11 that is similar to that induced by natural RSV infection. This includes live-attenuated
12 viruses and messenger RNA. These vaccine types incorporate intracellular antigen
13 processing and host cell expression. mRNA is manufactured differently than formalin-
14 inactivated RSV and has a different mechanism of action.

15 So, to address the need for pediatric RSV and hMPV vaccines, Moderna is
16 developing two mRNA-based lipid nanoparticle-encapsulated vaccines. The first is
17 RSV vaccine mRNA-1345, or mRESVIA, which as I mentioned earlier is already
18 licensed for use in older adults. This vaccine encodes the membrane-anchored fusion
19 protein stabilized in the preF conformation. The second is a combination vaccine against
20 RSV and hMPV, and it's called mRNA-1365. It also contains a second mRNA that
21 encodes the membrane-anchored fusion protein of hMPV. These two mRNAs in the
22 vaccine are present in an equal mass ratio. Both of the vaccines are delivered
23 intramuscularly. The same mRNA platform is authorized or licensed to prevent
24 COVID-19 in persons above six months of age. No enhanced respiratory disease has
25 been reported with the licensed RSV vaccine or COVID-19 mRNA vaccines.

1 So a bit more about the RSV preF antigen encoded by these vaccines. RSV F
2 protein is highly conserved across the A and B subtypes. It exists in two conformational
3 states: prefusion and postfusion, as shown on the right. The preF antigen was selected
4 for the vaccine because the protein surface displays all of the antigenic sites known to
5 elicit potentially neutralizing antibody. This includes sites unique to preF as well as sites
6 that are shared between the preF and postF conformations. Therefore, our RSV vaccine
7 was rationally designed to focus the immune response on the parts of the protein that
8 induce protective immunity. Formalin-inactivated RSV, on the other hand, does not
9 display any of the sites unique to preF. Those sites were destroyed by the formalin and
10 heat inactivation process used to produce that vaccine.

11 Given the history of ERD, Moderna has taken a conservative approach to
12 pediatric RSV vaccine development. It is aligned with established RSV vaccine
13 guidance. So, the guidance states nonclinical studies should discriminate a candidate
14 vaccine from formalin-inactivated RSV, and clinical evaluation should proceed stepwise
15 from adults to RSV-experienced children and before RSV-naïve infants. There is no
16 specific hMPV vaccine guidance that we are aware of, and no clinical precedent of
17 hMPV ERD. However, given the similarity of these two viruses, Moderna has applied
18 the same conservative approach to pediatric hMPV vaccine development.

19 So, now I will share our nonclinical data. These data have recently been
20 submitted for publication and you can find the pre-print on the link shown on the slide.
21 So, the WHO guidance outlines nonclinical testing requirements for pediatric RSV
22 candidates in more detail: In at least one animal model, the candidate vaccine should
23 induce neutralizing antibody; it should avoid induction of excess non-neutralizing
24 antibody; it should avoid a Th2-biased response, and it states a CD8 T-cell response
25 may be helpful; and it should avoid lung inflammation and specifically alveolitis after

1 challenge from RSV. Overall, this profile will differentiate the candidate vaccine from
2 formalin-inactivated RSV.

3 So, we have followed this nonclinical guidance in the evaluation of our RSV
4 mRNA vaccines. Shown here in mice, both vaccines induce a strong RSV
5 neutralizing—on the left plot,—and binding antibody—in the middle plot—against the
6 preF confirmation. The formalin-inactivated RSV vaccine shown in orange on these
7 images also induces RSV antibody, but as mentioned earlier, they are not neutralizing
8 and they bind only to the postF confirmation as shown in the right panel. In terms of a
9 cellular response, both RSV and mRNA vaccines induce a T helper type 1 CD4 T-cell
10 response. That means they produce more interferon gamma than IL-5 and this is shown
11 on the left plot. The mRNA vaccines also induce a strong CD8 T-cell response as
12 shown on the right. In contrast, formalin-inactivated RSV induces an IL5-based T
13 helper type 2 response on the left, and it does not induce a CD8 response.

14 We have also evaluated the RSV vaccine in the cotton rat RSV challenge model.
15 We closely followed the WHO guidelines when designing this study, and this includes a
16 number of important study controls. We tested a wide dose range of the RSV vaccine as
17 administered as a two-injection series. A single injection of 0.3 microgram was also
18 included, because it induces a weak immune response that provides just partial
19 protection. This is an important condition under which to evaluate enhanced respiratory
20 disease, given the formalin-inactivated virus induces a weak immune response and
21 provides partial protection. The ERD positive control was formalin-inactivated RSV,
22 and the ERD negative control was RSV infection. We also included a number of other
23 negative controls to demonstrate the specificity of the response.

1 So, in cotton rats shown here, the RSV mRNA vaccine shown in blue induces a
2 dose-dependent RSV neutralizing and preF binding antibody response shown in the left
3 and the middle panels. The magnitude of the antibody response is similar or higher than
4 the response to RSV infection which is shown in green. In contrast, formalin-inactivated
5 RSV shown in orange induces antibodies that only bind postF and have weak or no
6 neutralizing activity, similar to what we saw in mice. The immune response induced by
7 the mRNA vaccine provided dose-dependent protection from an RSV challenge in the
8 cotton rat model, as demonstrated by undetectable virus in the lungs of animals that
9 received a high mRNA dose—in the left panel—compared to the negative control
10 groups shown in gray. And as mentioned, a single 0.3 microgram mRNA dose, now
11 highlighted in yellow, and this dose induced a weak immune response. It provided only
12 partial protection, as you can see on this left plot. The protection mediated by the
13 vaccine was not associated with lung type 2 cytokines, as represented in the right panel
14 by IL-5-- IL-4. As expected, formalin-inactivated RSV—shown in orange—provided
15 partial protection from RSV challenge and did have an IL-4 response in the lung.

16 So after the RSV challenge, cotton rat lung inflammation was evaluated by
17 histopathology. The formalin-inactivated RSV induced the characteristic enhanced lung
18 alveolitis and overall inflammation shown on the two panels. The RSV mRNA vaccine
19 in the blue did not promote alveolitis or overall lung inflammation. Instead, the lungs
20 from these animals appeared similar to those in the negative controls in green and gray.
21 These data together demonstrate the RSV mRNA vaccine does not induce enhanced
22 respiratory disease in the cotton rat model, even with a suboptimal 0.3 microgram single
23 dose vaccination highlighted in yellow.

24 So, to summarize our nonclinical findings. We conducted studies in mice and in
25 cotton rats, and we demonstrated the mRNA RSV vaccines induce protective immune

1 responses without enhanced respiratory disease. The profile induced by the mRNA
2 vaccine is clearly distinct from that induced by the formalin-inactivated RSV vaccine.
3 These data not only fulfilled the nonclinical testing requirements for pediatric RSV
4 vaccines, but were very reassuring to us and to regulators, and they supported
5 evaluation of the vaccine RSV-naïve children. We also conducted a similar set of
6 nonclinical studies to de-risk hMPV enhanced respiratory disease with our combination
7 RSV hMPV vaccine. So, formalin-inactivated hMPV also causes enhanced lung
8 inflammation in the cotton rat model after hMPV challenge, as shown in the first
9 column on this slide. As expected, the profile induced by our hMPV mRNA vaccine
10 looks very similar to that observed with the RSV mRNA vaccine, and both look
11 different from the formalin-inactivated viruses. So altogether the nonclinical data were
12 very reassuring and they supported the clinical evaluation of both the RSV and RSV
13 hMPV combination vaccine into RSV and hMPV-naïve infants.

14 So now I will pass the presentation to Matthew Snape who will share a summary
15 of our pediatric clinical data.

16 Dr. Snape: Many thanks, Dr. Shaw, for providing that background and for
17 presenting the nonclinical data. My name is Matthew Snape and I'm a pediatrician who
18 is the Clinical Lead for Pediatric RSV Vaccines at Moderna, and I'll present on our
19 current status of our RSV Pediatric Vaccine Program. Prior to initiation of clinical trials
20 in under 2-year-old children, we demonstrated the immunogenicity and safety profile of
21 both the RSV and hMPV components in adults and in seropositive 1 to 4-year-old
22 children. The hMPV component was initially evaluated in combination with
23 parainfluenza virus 3, PIV3, another important respiratory virus. While the hMPV/PIV3
24 Program has not continued, the hMPV component was then brought into a new
25 combination with RSV, hence the RSV hMPV vaccine. These therefore provided the

1 appropriate data to allow progression of both the RSV and combination RSV hMPV
2 vaccines to under 2-year-old children, according to international guidelines.

3 I will now present the results from our under 2-year-old RSV and hMPV study.
4 These are also available online at the pre-print shown. The study design for 5 to 23-
5 month-old children was developed in consultation with regulatory agencies and
6 involved children 8 to 23 months receiving either the standalone RSV vaccine, the
7 combination RSV hMPV vaccine, or placebo. These were administered as three
8 intramuscular injections given two months apart. Following the DSMB review of data
9 from these children, we could then age de-escalate to enroll 5 to 7-month-olds, with the
10 initial cohorts receiving 15 micrograms of RSV or RSV hMPV, followed, after further
11 DSMB review, by a dose escalation to 30 micrograms. Also, we have enrolled 8 to 11-
12 month-old children to assess the impact of prior receipt for the monoclonal antibody
13 nirsevimab on RSV vaccine safety and immunogenicity.

14 Here are the study objectives. Of note is that the primary objective was the
15 safety of vaccines, with the secondary safety objective of evaluation the occurrence of
16 RSV and hMPV infections over two complete RSV seasons, thus allowing compliance
17 with international RSV vaccine evaluation guidelines. And of course we evaluated the
18 immunogenicity of these vaccines.

19 The surveillance for RSV and hMPV illnesses was undertaken in an active
20 manner during the local RSV and hMPV seasons. Parents received weekly prompts to
21 complete an e-diary reporting new onset respiratory symptoms, following which an in-
22 person visit was arranged within five days of symptom onset. Should two or more
23 severe RSV or hMPV LRTIs occur, then a pause rule would be triggered. This allowed

1 for a very active process of monitoring, reporting, and acting on any concerns regarding
2 excess severe RSV hMPV LRTI cases.

3 As will be discussed, this dosing pause was triggered in July. Here are the
4 protocol definitions for the severity of respiratory infections which were derived from
5 WHO guidelines. When implementing these, it was identified that a post-hoc composite
6 definition of severe LRTI, very severe LRTI, or hospital admission as highlighted by
7 the orange box, was best able to distinguish the most clinically significant LRTIs. And
8 it is cases meeting this composite definition of severe hospitalized RSV illness that
9 we'll focus on when discussing severe disease.

10 This study greatly benefited from oversight by an independent Data and Safety
11 Monitoring Board with the responsibilities as shown, as well as overseeing age de-
12 escalation and dose escalation, that the DSMB has been extensively involved in
13 monitoring the respiratory surveillance and the decision to initiate and maintain the
14 pause of further dosing in this study.

15 Lastly, before moving on to results, here are the definitions of RSV-naïve or
16 experienced we will be using, which are based on postF binding IgG antibody
17 concentrations at baseline. PostF antibody concentrations were used given that they're
18 minimally impacted by prior receipt of nirsevimab, so they could be used for all cohorts
19 in this study. The threshold used for different age groups are shown here with the
20 younger children requiring a higher threshold due to the presence of maternal antibody.

21 I'll now present data from the age 23-month-old part of the study starting with
22 immunogenicity and then safety data. This section of the study enrolled 90 children
23 aged 8 to 23 months nearly all of whom received all three doses of vaccine or placebo.
24 As can be seen, 42% were RSV-naïve at baseline. Sixty-five of these children were

1 recruited in Panama and 25 in the USA. Shown here is evidence of a robust increase in
2 RSV A neutralizing antibody titers in 8 to 23-month-old children as measured at one
3 month after each of the three study injections given at two-month intervals. Here the
4 lighter colored lines represent participants classified as previously RSV-experienced,
5 and this population responded well to a single dose of vaccine. By contrast, RSV-naïve
6 participants, represented by the darker lines here, required two or three doses before
7 achieving similar neutralizing antibody titers.

8 We also saw a robust binding IgG response. And when looking at the ratio of
9 preF to postF antibody concentrations, we see a marked preF bias. This is especially
10 pronounced amongst those who are RSV-naïve at baseline shown in the top row here.

11 T-cell responses showed a marked Th1 bias in keeping with the preferred cell
12 immune response outlined in WHO guidelines. These responses were evaluated at one
13 month after the second dose of vaccine and a subset of 12 to 23-month-old children.
14 Given the small numbers of participants with these results, we've combined the vaccine
15 groups here and analyzed these according to those who are RSV-naïve or experienced at
16 baseline. It can be observed in the top row that there is a robust rise in the Th1
17 cytokines, interferon gamma, IL-2, and TNF- α in both RSV-naïve and RSV-
18 experienced participants.

19 In contrast, for the Th2 cytokines shown in the bottom row, there was a minimal
20 increase in IL-4 and IL-13. We do see a small increase in the Th2 cytokine IL-5 in
21 RSV-naïve participants and in a subset of the RSV-experienced participants. Of note is
22 that among those with detectable IL-5, the concentrations are similar amongst those
23 who are RSV-naïve and experienced. Also, it's worth remembering that these data are
24 displayed on a log scale and although we are seeing detectable IL-5 responses, these

1 concentrations are at least tenfold lower than the Th1 cytokines analyzed at a group
2 level. This Th1 bias is also evident at an individual level. For example, this participant
3 labeled blue with the highest IL-5 concentration also has the highest interferon gamma
4 concentrations demonstrating a Th1 bias response in this child, and this participant is
5 maintained across all these participants. This pattern is maintained across all these
6 participants.

7 Respiratory surveillance showed no cases of RSV severe or hospitalized RSV
8 illnesses in this age 23-month-old children up to the end of the first RSV season, the
9 time point for the DSMB age de-escalation decision.

10 For these risk tables showing results of RSV respiratory surveillance here and
11 for the rest of the presentation, we've combined both vaccine groups and compared to
12 placebo, so the focus will be on the last two columns. Severe/hospitalized cases are
13 shown here in yellow, showing no cases up to the DSMB review in March 2024.
14 Therefore, the safety and immunogenicity data from 8 to 23-month-old children, nearly
15 half of whom were RSV-naïve, supported further age de-escalation. Specifically, there
16 were no safety concerns observed after the conclusion of a full RSV season. No
17 severe/hospitalized RSV illnesses occurred up until the end of March 2024. And robust
18 RSV A and B neutralizing antibodies and a preF biased binding antibody response was
19 observed. There was evidence of the induction of a Th1 bias T-cell immune response.
20 Together these data suggested a profile very similar to that observed in nonclinical
21 studies in which no evidence of enhanced disease was found. This allowed age de-
22 escalation according to WHO guidelines.

23 This data was shared with the DSMB who in March 2024 supported age de-
24 escalation to 5 to 7-month-olds. Now, I've just shown surveillance data up to March for

1 the 8 to 23-month-old children. Surveillance has, however, been ongoing, so we'll
2 finish this section by showing the most up-to-date results for surveillance in this age
3 group.

4 Among RSV-naïve children by the time of the data cutoff in October 2024, there
5 was one child who received the RSV/hMPV vaccine who had a severe/hospitalized
6 RSV illness compared to none in the placebo participants. This was in a Panamanian
7 child who was RSV-naïve at enrollment, and the case occurred in August 2024 during
8 the second RSV surveillance season. This was 333 days after the child's third dose and
9 after the DSMB decision to age de-escalate. The child was 2 years old at the time of the
10 illness and had a co-infection with rhinovirus/enterovirus and was hospitalized for two
11 days, required oxygen with therapy and made a full recovery. There were no
12 severe/hospitalized RSV illness episodes in RSV-experienced children.

13 Now I'll share the results in 5 to 7-month-old children. The study progressed to
14 Part B in which 5 to 7-month-old children received three doses of either 15 micrograms,
15 or after a DSMB review of safety data, a dose escalation to 30 micrograms of vaccine or
16 placebo. The study enrollment and dosing were paused on the 17th of July at which
17 time 59 of these children had received two doses of the planned three doses of 15
18 micrograms of vaccine or placebo. All of these were recruited in Panama shortly before
19 their first RSV season. We'd also recruited 21 children to receive 30 micrograms of
20 vaccine or placebo. Twenty of these were from Panama and one was from the UK.
21 These all received just one of the planned three doses.

22 Again, I will address immunogenicity first and then safety. It can be seen that
23 two 15 microgram doses of the RSV vaccine induced neutralizing antibodies against
24 both RSV A and B with a geometric mean fold rise of 55 and 37 respectively. Robust

1 responses for RSV were also seen after the combined RSV/hMPV vaccine. This is
2 despite 88% of these children being RSV-naïve at baseline. We are just showing data
3 for Cohorts 3 and 4, receiving 15 micrograms as we do not yet have the
4 immunogenicity data for Cohorts 5 and 6. These children were recruited just ahead of a
5 period of intense RSV activity and some were experiencing RSV infections between the
6 two blood sampling time points.

7 This slide shows us individual level data and separates those who had a detected
8 symptomatic RSV infection between the two blood samples and those that didn't. As
9 can be seen, a symptomatic RSV infection in the placebo recipients resulted in a 32-fold
10 rise in RSV A neutralizing antibodies, an increase at least matched by the 36 fold rise
11 seen in those receiving two doses of the RSV vaccine but no detected infection. And
12 those receiving the RSV/hMPV vaccine had a 20-fold rise. Increases in binding
13 antibodies were seen with a 12-to-17-fold higher increase in preF binding antibodies
14 compared to postF, representing a marked preF bias as seen in the older cohorts.

15 I'll now present the results of RSV surveillance in the 5 to 7-month-olds and the
16 events leading to and following up from the dosing pause. The study dosing and
17 enrollment were paused on the 17th of July when a second severe RSV-LRTI was
18 identified. All dosing and enrollment were immediately stopped, the DSMB was
19 notified as was the FDA and other international regulatory authorities in an expedited
20 manner. From the time of the study pause, there's been very active engagement with the
21 DSMB, the FDA and other regulatory agencies. [Indiscernible - 02:08:23] was also
22 engaged with the investigators and have notified all parents of participants.

23 Respiratory surveillance and immunogenicity evaluation are ongoing despite the
24 pause on dosing. And I'll now present the summary of respiratory cases observed up

1 until the 15th of October data log. Here we see the numbers of symptomatic RSV
2 infections and severe or hospitalized RSV cases in children immunized with two doses
3 of 15 micrograms or placebo. As a reminder, all these children were recruited in
4 Panama. We'll look first at the RSV-naïve participants, and comparing the last two
5 columns, among the 35 RSV-naïve children who received either vaccine 16 or 46% had
6 a symptomatic RSV illness compared with 12 (67%) in the placebo group. Among these
7 RSV-naïve recipients, 5 or 14% had a severe or hospitalized RSV illness compared with
8 1 (6%) in the placebo group. No severe or hospitalized RSV illnesses were observed in
9 the RSV-experienced 5 to 7-month-olds. So, to summarize this slide, among RSV-naïve
10 5 to 7-month-olds, there is a trend to lower overall RSV infections in the vaccine
11 groups, but higher rates of severe/hospitalized cases in the vaccine recipients versus
12 placebo.

13 This slide summarizes the clinical presentations of these severe or hospitalized
14 RSV cases. These infections occurred between June and August 2024. One case
15 occurred between the first and second dose of vaccine and the remainder occurred up to
16 37 days after the second dose of vaccine or placebo. Five of these children were
17 hospitalized and one was managed in the emergency room. Of note is that the child
18 listed in the first row required mechanical ventilation and had a hospital admission
19 lasting 16 days. The child's respiratory illness resolved but they are receiving ongoing
20 treatment for arterial hypertension. All other children who were hospitalized were
21 discharged within five days and their illnesses resolved. Two children had a co-
22 infection, a vaccine recipient with SARS-CoV-2 and a placebo recipient with hMPV.

23 Here we've returned to the individual level immunogenicity data and we are
24 showing these children who have developed severe/hospitalized RSV illness in orange.
25 As can be seen, these children who have had two doses of a vaccine and severe or

1 hospitalized RSV illness ended up with very high neutralizing antibody titers. These
2 were even higher than those induced by vaccine alone and higher than those induced by
3 infection alone. The one severe infection in the placebo recipient happened after the
4 second blood sample. Hence, they have no rise in antibody to show in here.

5 Looking now at the children immunized at 5 to 7 months with a single dose of
6 30 micrograms, we can see that none of these had a severe or hospitalized case of RSV
7 illness. We are unable to classify these children as RSV-naïve or experienced as we're
8 awaiting immunogenicity data for these cohorts.

9 Surveillance is being conducted for hMPV as well as RSV, and this is a
10 preliminary report that we've had three children aged 5 to 7 months admitted to hospital
11 with severe or hospitalized hMPV infections. Two of these occurred after two 15
12 microgram doses of RSV/hMPV vaccine, and one after a single 30 microgram dose of
13 this vaccine. One of these children required respiratory support through CPAP, and the
14 other two oxygen alone. And all children were discharged after four to nine days. We
15 did not yet have hMPV immunogenicity results for 5 to 7-month-old children. No cases
16 of severe or hospitalized isolated hMPV illness have occurred in the placebo or RSV
17 vaccine recipients in these 5 to 7-month-old children.

18 I'll now move on to a different aspect of this study in which we evaluated the
19 impact of prior receipt of RSV monoclonal antibody nirsevimab on the immunogenicity
20 of the RSV vaccine. Nirsevimab is now the standard of care for providing passive
21 protection against RSV for infants less than 8 months of age born during or entering
22 their first RSV season in the US. And it's important to understand how nirsevimab
23 might impact the immune response to active immunization with RSV vaccines. Our

1 nonclinical data suggests inhibition of RSV vaccine responses by prior administration of
2 monoclonal antibody, which was able to be overcome by subsequent doses.

3 Here is the section of the study focusing on this question in which we enroll
4 children with or without prior nirsevimab receipt with the intention to give three doses
5 of RSV vaccine. In the end, 15 children were enrolled prior to the dosing pause, all in
6 the US and all received a single dose of vaccine. Nine of these had had previous
7 nirsevimab receipt and six had not. There have been no symptomatic RSV infections in
8 these children.

9 Dr. El Sahly: One minute warning.

10 Dr. Snape: This study has shown no increase in RSV A or B neutralizing antibodies
11 after a single dose of RSV vaccine in children who have previously received nirsevimab
12 6 to 9 months earlier. In contrast, in children without prior nirsevimab, we observed 60-
13 and 19-fold increase in antibody titers for RSV A and B neutralizing antibodies
14 respectively. As noted, nonclinical studies suggested that antibody increases in
15 nirsevimab recipients might have been observed after the planned second and third
16 doses, but the dosing pause was implemented before these could be administered.

17 Therefore, based on a small number of infants receiving prior nirsevimab, no
18 increase in neutralizing antibodies were seen after the first dose of RSV vaccine, which
19 suggests previous RSV antibody administration may inhibit the immune response. The
20 potential to overcome this with subsequent doses was not able to be evaluated given
21 dosing pause.

22 So, to summarize, active vaccination against RSV for children remains an urgent
23 unmet need to provide protection beyond infancy. Moderna pursued a pediatric

1 development plan with its mRNA RSV vaccine based on proven efficacy of its mRNA
2 vaccines to prevent RSV disease in older adults and SARS-CoV-2 disease in both
3 children and adults. And the pediatric RSV development program progressed to RSV-
4 naïve infants in accordance with regulatory guidance.

5 RSV-naïve infants showed robust neutralizing antibody responses with preF bias
6 and no increase in RSV antibody after an initial dose of RSV vaccine in infants that had
7 previously received nirsevimab. No safety concerns were identified in RSV-experienced
8 children. However, a pause rule was triggered and enrollment and vaccination in this
9 study stopped immediately. Active RSV surveillance allowed rapid detection of
10 possible excess of severe or hospitalized RSV illness in RSV-naïve 5 to 7-month-old
11 vaccine versus placebo recipients. Ongoing surveillance for RSV and hMPV infections
12 continues. Neither nonclinical studies nor clinical studies in children 8 months of age or
13 older predicted the imbalance of severe or hospitalized RSV disease.

14 Moving forward, there is no current plan to continue RSV vaccine programs in
15 children under 2 years of age. The safety surveillance and immunogenicity evaluation
16 will continue for children in this study. And our understanding of the clinical and
17 immunological picture continues to evolve as we gather more data.

18 I'd like to take a moment to thank all the investigators, the DSMB, and the
19 study-site personnel, and especially the children and families who participated in the
20 studies. Thank you.

21 Joining us today are three external experts to help address any questions you
22 may have, all of whom are pediatricians. Dr. Edwards is our DSMB Chair. Dr. Ramilo
23 is an expert in RSV immunology. Dr. Sáez-Llorens is the Principal Investigator for our
24 study in Panama. Thank you.

1 **Review of Investigational RSV (mRNA-1345) and RSV/hMPV (mRNA-1365)**

2 **Vaccines in Infants and Children < 2 Years – Q&A**

3 Dr. El Sahly: Well, thank you, and I would like to invite the team to use the raise-their-
4 hand function for questions. And we begin with Dr. Gans. And given that we only have
5 10 minutes now for Q&A, if you don't mind keeping your questions to the point and
6 commentary. And additional questions will be asked in the discussion portion. Thank
7 you. Dr. Gans.

8 Dr. Gans: Thank you, Hana and thank you, Dr. Snape. I may have missed this. So,
9 just two quick clarifying questions for conversation later. In the combined RSV and the
10 human metapneumo virus, which was marked at 30, is that 15 of each of them or 30 of
11 each of those antigens?

12 So, that was one question. My other question for you is in those severe diseases I
13 saw the antibody responses, the humeral responses, I didn't actually see the cell-
14 mediated responses in those to see if they actually varied at all from those who didn't
15 have severe disease. So, I may have missed that data. I also didn't see CD 4 versus CD
16 8.

17 Dr. Snape: Okay, so three questions. The answer to the first question is a 30
18 microgram dose of the combined vaccine contains 15 micrograms of each component.
19 The answer regarding the cellular immune response in the children who became sick in
20 the 5 to 7-months-old, what I've shown you is CMI data in the older cohort, the 8 to 24-
21 month olds, and that was in a subset of the 12 to 24-month-old children there. None of
22 those children became severe or severely unwell or hospitalized in those children that
23 we have CMI data for. We are obtaining CMI data for the 5 to 7-month olds, but we

1 don't have that data to show you today. And can you remind me of your last question?

2 Sorry, Dr. Gans.

3 Dr. Gans: Sorry, I didn't see in your cellular data if you actually looked at CD 4
4 versus CD 8. I saw the cytokine profiles.

5 Dr. Snape: No, we were just able to look at the cytokine profile given the small
6 volume of blood that we were able to obtain in these children.

7 Dr. El Sahly: Thank you. Next I see Dr. Portnoy. Before Dr. Portnoy's question, I want
8 to make sure that all of the Moderna colleagues will be available because there's going
9 to be a plethora of questions and your presence is crucial.

10 Dr. Portnoy: Great, thank you. Just two quick questions. Number one, I'm trying to
11 understand the long-term goal of this plan, is the plan to give passive immunization to
12 newborns and then start active immunization at 5 to 6 months? Or are we planning to
13 actually go down and give newborns the vaccine? And if patients do get the vaccine, are
14 they less likely to transmit it to other people as they are in a carrier state that occurs?
15 And is that prevented by the vaccine? Do you have information about that?

16 Dr. Snape: To be clear, we're not planning to progress this program further in the
17 under 2-year olds. So, we are not planning any further age de-escalation or in any
18 dosing in this age group. We did want to assess the interaction with nirsevimab given
19 [that] I think that will be important for any vaccine programs going forward to work out
20 how it might interact. But yeah, as I said, we would not be doing further progression of
21 that onto a 2-year-old program. And I do agree it would be--

22 Dr. Portnoy: [Indiscernible 02:20:43] carrier. Yeah, go ahead.

1 Dr. Snape: I do agree it would be interesting to assess the possibility that vaccines
2 might reduce transmission, but we hadn't got to that step in the program before we have
3 paused, as I say, for the progression in other 2-year-olds.

4 Dr. Portnoy: And one other question. The infants who did have the severe disease and
5 were hospitalized, were there any risk factors for those infants that differentiated those
6 from the ones who weren't hospitalized other than getting the vaccine or not? Like, did
7 they have atopic dermatitis? Was there a family history of allergy? Were they
8 predisposed to a Th2-type of response?

9 Dr. Snape: So, just to look at these children, again, I can tell you that all children
10 were-- The inclusion-exclusion criteria were very strict. These children did not-- Were
11 very strict. These children did not have underlying conditions. They were all born at
12 term. They did not have any history of wheezing or any individual concerns at that
13 level. And I'll actually bring in Dr. Sáez-Llorens if he wants to further comment about
14 the medical history of these participants.

15 Dr. Sáez-Llorens: Yes, hello to everybody. They were previously healthy infants
16 and the exclusion criteria were very clear in not to enroll those patients with risk factors.

17 Dr. Portnoy: Okay, thank you.

18 Dr. Snape: I'm just showing here quickly again, sorry, the inclusion-exclusion
19 criteria that we used for this study. Thank you

20 Dr. El Sahly: Dr. Paul Offit.

21 Dr. Offit: Yeah, thanks Hana. So my question is, given that you have a vigorous
22 preF response for this vaccine, what are you postulating? Is the immunological

1 mechanism by which these children suffered severe disease in the vaccinated group, or
2 do you think you were just unlucky and that there were two children that just happened
3 to have severe disease and the numbers are small?

4 Dr. Snape: The numbers are small. We agree. It was clear that there was a trend here
5 that meant that we couldn't progress further dosing and enrollment. I think that was the
6 right decision, but the numbers are small. We've not found the likely mechanism of
7 action for these findings if they're confirmed. And we're gathering more data to
8 understand these findings and we'll be sharing the data with the public as it becomes
9 available. And we welcome the input of VRBPAC today for suggestions of possible
10 further research to elucidate mechanisms of action, and we'll be engaging with the
11 broader scientific and regulatory community to understand these results.

12 Dr. Offit: Thank you.

13 Dr. El Sahly: A lot of suggestions during the discussion portion. Dr. Monto.

14 Dr. Monto: My concern is drawing a conclusion about the presence of antibody, the
15 numbers as I watched going by in Cohorts 3 and 4 that had prior antibody was, I think,
16 five or six. So, you really can't conclude about antibody being protective. The other
17 thing that is interesting to me is that as I saw the data going by, the challenge with the
18 hMPV also produced severe disease. Was I correct in that?

19 Dr. Snape: Can I clarify the first question? Are you talking about the children who
20 received prior nirsevimab monoclonal antibody?

21 Dr. Monto: No, I'm talking about the study in Panama, Cohort 3 and 4.

1 Dr. Snape: Cohort 3 and 4. Thank you very much. We enrolled 60 children there.
2 Twenty received the RSV vaccine and 20 received the combination RSV/hMPV
3 vaccine. And you can see here on the right, actually at an individual level, the numbers
4 of children. So, there were 16 that received the vaccine with no infection between the
5 two blood sampling time points, and we saw a robust increase in antibodies for those 16
6 children. Overall, the antibody increase was 36 fold compared to 32 fold after the
7 natural infection in the placebo participants shown on the left side. And we also saw the
8 similar-- We did see an increase also in the 12 RSV/hMPV recipients that you can see
9 here. Does that answer that question, Dr. Monto?

10 Dr. Monto: Are you distinguishing between those who have had experience before
11 infection? Because there was another table which separated them out.

12 Dr. Snape: Yes. So, in this cohort, in the 5 to 7-month olds, of the 60 children, 53
13 were considered RSV-naïve, only six had prior infection.

14 Dr. Monto: It's among those six.

15 Dr. Snape: So, those six. The numbers are small, absolutely, but we did not see any
16 severe disease in those.

17 Dr. Monto: Well, but with that kind of number, can you conclude that prior infection
18 is protective?

19 Dr. Snape: No, but we did have more in the 8 to 24-month-old children. We
20 recruited 90 children and 42% were naïve. And again, we've really not seen the same
21 signal in that age group, in the naïve or RSV-experienced cohorts in the older children.

1 Dr. Monto: And you are seeing a triggering of severe disease with hMPV infection.

2 Is that true?

3 Dr. Snape: Preliminary data here, I'm just bringing up again. There were three
4 children in Panama who have been hospitalized with hMPV infection. The RSV
5 infections were occurring, of course, during the RSV season running from June to
6 August especially. We're now in an hMPV season in Panama, and we have seen three
7 children hospitalized with hMPV infection between September and December. You can
8 see the dosages and the timings outlined here. All of these children fortunately
9 recovered. And so, that's three children, all in the RSV/hMPV combination vaccine.
10 And we've not seen severe cases in those that received either RSV or placebo.

11 Dr. Monto: So, the situation is even more complicated than RSV. Thank you.

12 Dr. El Sahly: Yeah, 3 out of 27 hMPV is huge. A question pertaining to the immune
13 response, did the team look at IgG isotypes with emerging data that potentially the
14 mRNA platform has a, I guess, tendency or to cause elevated IgG four, at least with the
15 SARS-CoV-2 to insert?

16 Dr. Snape: We will be looking at the subtypes we haven't done yet. We haven't
17 done those data yet. Yep.

18 Dr. El Sahly: Okay. And I noticed also when it comes to the immune response that
19 whenever hMPV is given with the RSV, the RSV responses were much lower. So, is my
20 interpretation correct? Is there antigenic interference potentially when the two are given
21 together?

22 Dr. Snape: I think it comes down to the first question, which is to say that in a 30--
23 For example, let's say a 15 microgram dose of RSV standalone, you have 15

1 micrograms of RSV. In a combination vaccine, you have only 7.5 micrograms of RSV.

2 And so, that is-- We have a lower dose of RSV.

3 Dr. El Sahly: A lower dose, a lower dose. That makes sense. Thank you. So, I'm sure a
4 whole lot more questions, but thank you for presenting those data today. So, now on the
5 agenda, we have a quick five-minute break. It is 10:57. We will reconvene at 11:03 for
6 the presentation, 11:03, by the FDA. Thank you.

7 **FDA Presentations**

8 **Imbalance in Severe Respiratory Syncytial Virus (RSV) Cases in a Clinical Trial of**
9 **an RSV vaccine in Infants and Young Children**

10 Dr. El Sahly: Welcome back from the very short break. I would like to introduce now
11 from the Division of Clinical and Toxicology Review, Dr. Mark Connelly. Dr. Mark
12 Connelly is Team Leader Clinical Review Branch 3 at CBER in the FDA. He will go
13 over the imbalance in severe RSV cases in a clinical trial of RSV vaccine in infants and
14 young children and the implications for pediatric RSV vaccine development. Dr.
15 Connelly?

16 Dr. Connelly: Yes, good morning. My name's Mark Connelly and I'm a Team Leader
17 in the Division of Clinical and Toxicology Review in the Office of Vaccines Research
18 and Review. And today I'll be discussing an imbalance in severe and hospitalized RSV
19 cases observed in a clinical trial of an RSV vaccine in infants and young children and its
20 implications for clinical development of pediatric RSV vaccines. Next slide, please.

21 This is an outline of the topics that I'll cover in my presentation today. First, I
22 will provide an overview of pediatric RSV vaccine development including a brief
23 summary of the unmet need for RSV vaccines in children, RSV vaccine-associated

1 enhanced respiratory disease, the 2017 VRBPAC meeting and result in study design
2 considerations for RSV vaccine trials, and an overview of RSV vaccines development.
3 Next, I will discuss the imbalance in severe/hospitalized RSV cases observed in one
4 clinical trial of an RSV vaccine in infants including clinical details and preliminary
5 immunogenicity data from the study. I will then provide a brief summary of cases of
6 severe/hospitalized human metapneumovirus infection noted in the same study. This
7 will be followed by a summary and list of considerations for pediatric RSV vaccine
8 development. And finally, I will review the topics for discussion today with VRBPAC.
9 Next slide, please.

10 I'll start with a brief review of the unmet need for RSV vaccines in children.
11 Next slide, please. As discussed by earlier speakers, there's a large global burden of
12 RSV disease. Children in low and middle-income countries and those under 6 months of
13 age are especially impacted. The risk of RSV infection is age-dependent with
14 approximately 53% of US infants infected by 1 year of age. Almost all children are
15 infected at least once by 3 years of age. Although there may be epidemiologic and
16 regional differences that affect seroprevalence, RSV infection does not confer long-
17 lasting protection and reinfection is common.

18 While adults have active RSV immunization options available, there are no such
19 vaccines that are FDA-approved for use in those under 18 years of age. Passive
20 immunization platforms are the only currently available preventative options for
21 children. Examples include monoclonal antibodies like nirsevimab and maternal
22 immunization. These passive immunization options have greater availability in high-
23 income countries than in the low and middle-income countries most impacted by RSV
24 disease. Active immunization may offer additional benefits for children including more
25 available RSV preventative options, immune priming to provide protection to

1 vaccinated individuals in subsequent RSV seasons, vaccination of children in
2 subsequent RSV seasons, a vaccination option for children whose mothers received
3 maternal immunization during the prior pregnancy. RSV vaccine-associated enhanced
4 respiratory disease has necessitated specific risk mitigation measures to protect
5 participants during the pediatric development of RSV vaccines. Next slide, please.

6 RSV vaccine-associated enhanced respiratory disease, abbreviated as VAERD,
7 is defined as severe lower respiratory tract disease due to wild type RSV infection that
8 occurs at a higher frequency following immunization with an RSV vaccine when
9 compared to the frequency seen among those given a controlled vaccine. This was
10 observed in the 1960s when RSV-naïve children who'd been vaccinated with a
11 formalin-inactivated RSV vaccine developed severe cases of RSV lower respiratory
12 tract disease with natural RSV infection. These cases mostly occurred during the
13 participants' second RSV season. As discussed by Dr. Piedra, features of the formalin-
14 inactivated RSV vaccine and associated RSV VAERD include absent preF antigen in
15 the formalin-inactivated RSV vaccine, low avidity or inadequate neutralizing antibody
16 responses to vaccination, unbalanced T-cell priming following vaccination with a Th2-
17 biased CD4⁺ T-cell response, cytokine-mediated pulmonary injury with RSV infection
18 after vaccination. VAERD was not observed in children who were RSV-experienced
19 prior to vaccination. Subsequent pediatric RSV vaccine development has been informed
20 by research evaluating the immune responses to the formalin-inactivated RSV vaccine
21 and VAERD pathogenesis. Next slide, please.

22 With advances in vaccine technology and understanding of the mechanisms of
23 VAERD, there's been renewed interest in filling the unmet need for pediatric RSV
24 vaccines. Accounting for the history of VAERD following formalin-inactivated RSV
25 vaccination, a VRBPAC meeting was convened on May 17th, 2017, to provide

1 recommendations to facilitate future pediatric RSV vaccine development. Consensus
2 recommendations from this meeting included that nonclinical data should distinguish
3 immune responses to candidate vaccines from those elicited by the formalin-inactivated
4 RSV vaccine prior to study in RSV-naïve children. Clinical study designs should
5 include specific criteria to identify potential enhanced respiratory disease cases as
6 severe RSV disease may develop in a percentage of unvaccinated individuals. And
7 while nonclinical data and clinical data from RSV-experienced populations may support
8 vaccine evaluation and participants are likely to be RSV-naïve, close and continuous
9 monitoring for VAERD is essential during clinical studies of RSV vaccines other than
10 live-attenuated RSV vaccines and children who are likely to be RSV-naïve. Next slide,
11 please.

12 As a result of these VRBPAC recommendations, the FDA requires nonclinical
13 and clinical safeguards to mitigate the risk of VAERD for any sponsor seeking to
14 evaluate an RSV vaccine candidate other than a live-attenuated RSV vaccine in children
15 who may be RSV-naïve. Nonclinical data from animal models should demonstrate that
16 the candidate vaccine expresses or presents prefusion epitopes of the RSV antigen,
17 induces robust anti-RSV neutralizing antibody responses while avoiding induction of
18 non-neutralizing antibody responses as evidenced by relatively low anti-RSV F IgG
19 binding to neutralizing antibody ratios, avoids induction of strong Th2-type CD4⁺ T-cell
20 responses, and does not provoke pulmonary injury in a valid RSV-challenge model.
21 These nonclinical data are reviewed by the FDA prior to initiation of clinical studies. In
22 addition to these nonclinical measures, clinical safeguards are of the utmost importance
23 to allow for early detection of potential VAERD cases during the evaluation of a
24 candidate RSV vaccine. Next slide, please.

1 Safeguards for clinical studies of RSV vaccine candidates include age de-
2 escalating study designs, restriction of study populations to healthy children without
3 conditions that increase the risk for severe RSV disease, study designs that provide
4 safety and immunogenicity data from presumed RSV experience participants during an
5 RSV season, support studies in presumed RSV-naïve infants and children, adequate
6 study pause rules and pre-specified RSV case definitions to aid in the detection of a
7 safety signal suggestive of VAERD, and use of Data Safety Monitoring Board or Data
8 Monitoring Committee for ongoing review of study data to identify potential safety
9 signals, and provide recommendations for pausing study enrollment to allow for safety
10 review. Additional recommended measures include collection of immunogenicity data
11 to evaluate both humoral and T-cell responses to vaccination, and determination of
12 baseline serostatus to inform interpretation of safety data. Next slide, please.

13 These safeguards have facilitated the development of a variety of RSV vaccine
14 platforms. There are currently 26 candidate RSV vaccines with pediatric clinical
15 development programs under U.S. IND. While 15 of these are live-attenuated RSV
16 vaccines, 11 use other vaccine technologies that include an RSV F glycoprotein antigen
17 stabilized in the preF conformation expressed as recombinant protein or encoded by
18 mRNA. Using the recommended clinical safety monitoring measures, an imbalance in
19 severe RSV cases in RSV-naïve infants has been identified in one clinical study of a
20 candidate mRNA vaccine. Next slide, please.

21 I will now present an overview of the study in which the imbalance in
22 severe/hospitalized RSV cases was observed. Prior to clinical study initiation,
23 nonclinical data were reviewed and were reassuring to mitigate the risk of VAERD.
24 Next slide, please.

1 Parts A and B of this Phase I study were designed to evaluate a three-dose
2 schedule of an RSV-only vaccine and an RSV+hMPV combination vaccine as
3 compared to a single placebo. The study also included an open-label Part C that
4 evaluated the RSV-only vaccine, nirsevimab-exposed and unexposed participants. The
5 clinical study design incorporated the recommended safeguards to mitigate the risk of
6 VAERD, including a study population restricted to healthy children without permission
7 that increased the risk of severe RSV disease. Study initiation in older participants more
8 likely to be RSV-experienced (study Part A) reviewed safety and immunogenicity data
9 through an entire RSV season prior to enrollment of younger participants more likely to
10 be RSV-naïve (study Part B). Prespecified RSV case definitions and stopping rules to
11 allow for the evaluation of potential imbalances in RSV cases, a DSMB to review safety
12 data and provide recommendations to protect study participants and to support age de-
13 escalation, study endpoints evaluating immune responses to vaccination, and
14 determination of baseline serostatus to inform interpretation of safety signals. Safety
15 monitoring measures in this study identified two cases of severe/very severe RSV lower
16 respiratory tract infection that led to a pause in study enrollment and dosing. Next slide.

17 On July 17th, 2024, the sponsor was made aware of two RSV cases meeting the
18 protocol definition of severe and very severe RSV lower respiratory tract infection in
19 Part B Cohorts 3 and 4. All dosing enrollment in study Parts B and C were paused.
20 Participants continued to be followed for safety in RSV case surveillance. At the time of
21 the study pause, Part B Cohorts 3 and 4 were fully enrolled and participants had
22 received two of three study doses. Part B Cohorts 5 and 6, and Part C were enrolling,
23 and participants had received one study dose. The DSMB was convened for an ad hoc
24 meeting and recommended continued study pause. The FDA was notified of the study
25 pause and the IND was placed on clinical hold. A partial hold on enrollment of children

1 less than 2 years of age and RSV-naïve children 2 years to less than 5 years of age was
2 implemented for all pediatric studies under U.S. IND with the exception of studies using
3 live-attenuated RSV vaccines.

4 The sponsor at the DSMB's recommendation established a blinded clinical
5 assessment team to monitor for additional cases of significant RSV disease and a
6 postdoc definition for cases of clinically significant severe/very severe lower respiratory
7 tract infection, which I'll refer to hereafter as severe/hospitalized RSV cases, was
8 established to better identify RSV cases of interest. This case definition included any
9 RSV case that met the per-protocol definition of severe RSV lower respiratory tract
10 infection, very severe RSV lower respiratory tract infection or that required
11 hospitalization. Additional severe/hospitalized RSV cases have been identified in Part B
12 Cohorts 3 and 4. Next slide, please.

13 This is an overview of the cases of RSV disease reported across all study parts
14 and cohorts through a date of data cutoff, November 18th. The left-hand column
15 represents the study part and cohort. The second column is the vaccine dose. The third
16 column shows the number of symptomatic RSV cases and the percentage of the total
17 number of participants receiving the indicated vaccine in the cohort. The fourth column
18 shows the number of severe/hospitalized RSV cases and the percentage of the total
19 number of participants receiving the indicated vaccine in the cohort. The fifth column
20 reports the percentage of symptomatic RSV cases that progressed to severe/hospitalized
21 RSV cases. Part A had one reported severe/hospitalized RSV case and a combined
22 RSV+hMPV vaccine recipient. This case occurred in the second RSV season after
23 enrollment of Part B participants had begun. Part B Cohort 3 had two reported
24 severe/hospitalized RSV cases among RSV-only 15 microgram recipients. Part B
25 Cohort 4 had three reported severe/hospitalized RSV cases among combined

1 RSV+hMPV recipients. There was one severe/hospitalized RSV case among Part B
2 participants in Cohorts 3 and 4 who were placebo recipients. For those cases that
3 progressed to severe/hospitalized RSV cases, these represented 22% of symptomatic
4 RSV cases and the RSV-only vaccine recipients Cohort 3, 30% of symptomatic RSV
5 cases in combined RSV plus human metapneumo virus recipients in Cohort 4, and this
6 was as compared to 8% of symptomatic RSV cases in placebo recipients. Next slide,
7 please.

8 This table displays the imbalance in severe/hospitalized RSV cases among RSV-
9 only and RSV+hMPV combination vaccine recipients. This is shown combined as
10 compared to placebo recipients. The combined percentage of symptomatic RSV cases in
11 vaccine recipients in Cohorts 3 and 4 that progressed to severe/hospitalized RSV cases
12 was 26% as compared to 8% of placebo recipients. Next slide, please.

13 This provides a clinical overview of the severe/hospitalized RSV cases in
14 Cohorts 3 and 4. All participants in Cohorts 3 and 4 who developed severe/hospitalized
15 RSV cases were generally healthy. Cases among vaccine recipients occurred a median
16 of approximately 12 days after study dose two with a range of three to 26 days. One
17 case occurred 23 days after dose one. The case in the placebo recipient occurred 37 days
18 after dose two. Local RSV epidemiology may have played a role in timing of these
19 cases relative to the study dose. Four of the five events in vaccine recipients required
20 hospitalization with one participant requiring ICU admission. Three of the five vaccine
21 recipients required some form of respiratory support with two requiring nasal cannula
22 and one requiring intubation in mechanical ventilation. The placebo recipient was
23 hospitalized and required nasal cannula as respiratory support. SARS-CoV-2 infection
24 was detected in one of the vaccine recipients. This was not the participant who required
25 mechanical ventilation. Human metapneumo virus co-infection was detected in the

1 placebo recipient. The median time to event resolution was 19.5 days with a range of 8
2 to 31 days. Next slide, please.

3 I will now discuss some preliminary immunogenicity results starting with study
4 Part A. As a reminder, there is no imbalance observed in RSV cases in this study part
5 and these are preliminary data from Phase I study. There's limited ability to draw
6 conclusions from these results. Next slide.

7 Preliminary immunogenicity data from Part A demonstrated that 45% to 65% of
8 participants were seropositive at baseline using the post-talk definition of a postF IgG
9 binding antibody concentration greater than or equal to 200 AU/mL. Looking at the
10 neutralizing antibody binding antibody responses at day 85, which were measured
11 approximately 28 days after study dose two. These responses were greatest among
12 RSV-only vaccine recipients and least among placebo recipients. Measurements of
13 cytokines representative of T-cell responses were available for a small subset of Part A
14 participants. This subset did not include the Part A participant who developed the
15 severe/hospitalized RSV case.

16 Preliminary analysis of these data suggest that at baseline participants defined as
17 RSV-experienced have quantifiable Th1 cytokine responses measured by IL-2
18 interferon gamma and TNF- α . The Th2 cytokine responses by IL-5, IL-13 and IL-14
19 were below the lower limit of quantification. For vaccine recipients, both RSV-naïve
20 and RSV-experienced participants had generally similar Th1 responses. An observation
21 from the Th2 cytokine responses includes a potential trend towards a greater proportion
22 of participants defined as RSV-naïve having measurable IL-5 responses as compared to
23 participants defined as RSV-experienced. Although the magnitudes of the IL-5
24 responses were low relative to responses reported for other vaccines, the significance of

1 this observation is not clear. Other Th2 cytokine responses IL-13 and IL-4 were similar
2 between groups. Placebo recipients, including those defined as RSV-experienced, had
3 85 Th2 cytokine responses below the lower limit of quantification. The ability to draw
4 conclusions from these preliminary T-cell immunogenicity observations is limited given
5 the small numbers of participants per group with available data and the lack of T-cell
6 data from participants with severe RSV disease. Next slide, please.

7 Preliminary immunogenicity results from the cohorts in which the imbalance
8 was observed, Part B Cohorts 3 and 4, are available for humoral immune responses
9 only. Next slide, please.

10 Samples again were collected in these cohorts at baseline and at study day 85
11 approximately 28 days after dose two. RSV infections may have occurred prior to the
12 day 85 immunogenicity sample collection, which may confound the interpretation of the
13 immunogenicity results. Of four vaccine recipients who went on to develop
14 severe/hospitalized RSV cases, the RSV event occurred prior to the day 85 sample
15 collection. One participant in this group did not have a day 85 sample collection. For the
16 placebo recipient, RSV infection occurred after the day 85 sample collection.

17 Preliminary data evaluating neutralizing antibody and binding antibody
18 responses at day 85 demonstrated the highest responses among those participants who
19 developed severe/hospitalized RSV cases and the lowest responses among placebo
20 recipients. These preliminary immunogenicity data suggest differences in the
21 severe/hospitalized RSV cases as compared to VAERD cases after the formalin-
22 inactivated vaccine, but characterization of the immune responses of these participants
23 is not complete. Determination of baseline serostatus was likely confounded by the
24 presence of maternal-derived antibodies as all participants were seropositive using the

1 protocol definition of a neutralizing antibody titer greater than or equal to the lower
2 limit of quantification. As noted earlier by Moderna post hoc exploratory analysis
3 conducted by the sponsor suggests that all participants in these cohorts that develop
4 severe/hospitalized RSV cases were seronegative at baseline. Next slide, please.

5 Preliminary immunogenicity results are also available from study Part C. Again,
6 no imbalance in RSV cases was noted in this part. Next slide, please.

7 Preliminary immunogenicity data from Part C participants demonstrated
8 potentially blunted responses to a single 30 microgram dose of RSV-only vaccine
9 administered to nirsevimab-exposed participants. This was especially for the RSV B
10 responses as highlighted in the box below. Of note, measurements following the
11 complete three dose series are not available due to the study pause. Next slide, please.

12 I will also review some early data regarding severe/hospitalized human
13 metapneumo virus cases observed in this study. Next slide, please.

14 Severe/hospitalized cases of human metapneumo virus were identified in
15 participants in study Part B. Two combined RSV+human metapneumo virus vaccine
16 recipients in Cohort 4 had severe/hospitalized cases of hMPV. One participant required
17 noninvasive respiratory support in the form of continuous positive airway pressure (or
18 CPAP). One combined RSV+human metapneumo virus vaccine at the 30-microgram
19 dose level. The recipient that received this in Cohort 6 had a severe/hospitalized case of
20 human metapneumo virus infection. This case was reported to FDA after the briefing
21 document was finalized and so, it's not reflected in this document. As mentioned earlier
22 in the presentation, there was one severe/hospitalized RSV case and a placebo recipient
23 that also had an hMPV co-infection. Again, these are preliminary data and the
24 investigation of these cases are ongoing. Next slide, please.

1 I'll now summarize the findings from the study in which an imbalance in
2 severe/hospitalized RSV cases was observed and described potential considerations for
3 pediatric RSV vaccine development. Next slide, please.

4 In one clinical study, a numerical imbalance in severe/hospitalized RSV cases
5 was observed in children 5 months to less than 8 months of age who received an RSV-
6 only vaccine or combination RSV+human metapneumo virus vaccine as compared to
7 placebo. Nonclinical and clinical safeguards were in place for this study based on our
8 understanding of VAERD following the formalin-inactivated vaccine. The mRNA
9 vaccine construct was designed and non-clinical data were assessed prior to the clinical
10 study to mitigate the risk of VAERD.

11 Preliminary immunogenicity data suggest differences in the severe/hospitalized
12 RSV cases observed in this study as compared to formalin-inactivated RSV VAERD
13 cases. Again, characterization of these cases is not complete. The implication of the
14 observed imbalance for other pediatric RSV vaccine programs are uncertain. The partial
15 hold on enrollment of children less than 2 years of age and seronegative individuals 2
16 through 5 years of age remains in place for pediatric clinical development programs for
17 RSV vaccines under U.S. IND other than live-attenuated RSV vaccines. Next slide,
18 please.

19 Considerations for enrollment of presumed RSV-naïve infants and children for
20 RSV vaccine candidates under U.S. IND include: 1) if and how our current
21 understanding of the formalin-inactivated RSV-vaccine associated enhanced respiratory
22 disease pathophysiology may form benefit-risk assessments of other vaccine
23 technologies; 2) what critical additional assessments may help further characterize the
24 observed safety signal in the study discussed today; 3) what additional data may help

1 stratify potential VAERD risk across vaccine technologies and antigenic compositions;
2 4) how nonclinical studies may further inform potential VAERD risk in clinical studies;
3 5) what additional risk mitigation/management strategies may address potential
4 VAERD risk in clinical studies; 6) how the benefit-risk assessments may incorporate
5 vaccine candidate benefits in RSV-experienced children, uncertainties regarding
6 potential VAERD risk, and available preventative interventions (for example, RSV
7 monoclonal antibodies and maternal immunization); and 7) how to address potential
8 RSV monoclonal antibody or RSV vaccine interactions in clinical development plans
9 and pediatric clinical study designs. Next slide, please.

10 I'll now present the discussion topics for today's VRBPAC meeting. Next slide,
11 please. The first topics for discussion relate to RSV vaccine safety and pediatric
12 populations, and include: 1.1) Please discuss whether the currently available evidence
13 indicates a potential safety concern more broadly applicable to the evaluation of RSV
14 vaccine candidates in infants and toddlers. Please discuss the applicability to: a)
15 different vaccine technologies (for example, live-attenuated RSV, viral-vectored,
16 mRNA, and subunit protein vaccines); and b) different antigenic confirmations (for
17 example, stabilized preF or other RSV protein prototypes).

18 1.2) Based on the currently available evidence, please discuss current nonclinical
19 and clinical safeguards, and recommend whether any additional nonclinical and clinical
20 information should be considered and/or precautions taken when evaluating RSV
21 vaccine candidates in infants and toddlers. Next slide, please.

22 The next topics for discussion relate to the sequential administration of RSV
23 monoclonal antibodies followed by RSV vaccines in infants and toddlers and include:

1 2.1 Please discuss whether currently available evidence suggests potential RSV
2 monoclonal antibody (for example, nirsevimab) - RSV vaccine interactions that may
3 affect active immunization in infants and toddlers.

4 2.2 Based on currently available evidence, please discuss and recommend
5 whether any additional factors and data should be considered when evaluating RSV
6 monoclonal antibody - RSV vaccine interactions including potential impact of
7 administration of RSV monoclonal antibodies on safety and/or effectiveness of
8 subsequent parenteral or mucosal administration of RSV vaccines.

9 Next slide, please. I will now welcome your questions and comments. Thank
10 you.

11 **Imbalance in Severe Respiratory Syncytial Virus (RSV) Cases in a Clinical Trial of**
12 **an RSV vaccine in Infants and Young Children – Q&A**

13 Dr. El Sahly: Thank you so much, Dr. Connelly. Please use your raise-your-hand
14 function to ask questions to Dr. Connelly. And we begin with Dr. Berger.

15 Dr. Berger: Thanks, Dr. Connelly, for a really clear presentation. Much appreciated.
16 I'm actually curious, you mentioned that there were 26 development programs that were
17 ongoing, 15 are live-attenuated, and the other 11 are either recombinant or mRNA. I'm
18 curious if you've actually evaluated any of the other development programs to see if
19 they're having similar results to what we're hearing today. Thanks.

20 Dr. Connelly: As of today, we have not been notified of anything in the other
21 development programs, but of course we are monitoring and in contact with them as
22 well.

1 Dr. El Sahly: Thank you. Dr. Meyer.

2 CAPT Meyer: Thank you as well for that presentation. I have, maybe a somewhat
3 similar, but different question as Dr. Berger. So, I was just thinking about the
4 safeguards that were put in place for these studies, both in preclinical and clinical
5 safeguards, and presumably all of the trials that have been ongoing, including the
6 Moderna one, they all have met these safeguards, but we're still seeing this signal with
7 Moderna. So, I'm wondering if we have any more information from the preclinical
8 stage of any studies or any candidates that did not make it past the preclinical stage. So,
9 for example, Arexvy, which is only licensed in older adults, there's language in the
10 package insert that says "Animal model suggests that this wouldn't be safe for children
11 under the age of 2" due to animal models that suggest a risk. So, I guess my question is:
12 Are there other preclinical studies that have been done that didn't make it past the
13 safeguards? And can we use any of the information gleaned from those to help us figure
14 out what might be going on with the Moderna candidate that made it past the
15 safeguards, but we're still seeing this signal? Hopefully my question made sense so it's
16 clear.

17 Dr. Connelly: Yes, thank you for that question. I'm going to defer that answer to Dr.
18 Judy Beeler.

19 Dr. Beeler: This is Judy Beeler, Division of Viral Products. My first VRBPAC
20 meeting was on enhanced disease for RSV vaccines, and that was a closed session for a
21 product that had been tested in cotton rats and mice, and the testing was inadequate at
22 the time. I'm not going to go into details because, as you know, a lot of this information
23 is proprietary, but that was the first example. So, I've been here a while, so we are going
24 back decades. And so, that was the first time. And then other vaccines, I think some

1 vaccines are tested, and the sponsors don't submit that data because they know what our
2 response will be. So, I'm sure that there are nonclinical data out there, and the sponsors
3 have done self triage and not submitted it to the agency. In terms of the GSK, that's also
4 non-proprietary data, and I can't speak to it. But one would think that-- I know that
5 MedImmune published data testing both preF and postF with adjuvants that skewed
6 response to either Th1 or Th2-type responses, in a dose de-escalation study in cotton
7 rats, and their data demonstrated that either preF or postF antigen could elicit enhanced
8 disease in cotton rats.

9 CAPT Meyer: Thank you.

10 Dr. El Sahly: Thank you. That last point Dr. Beeler mentioned is sort of the confusing-
11 - Or at least the one piece of information that sort of casts a shadow over the
12 predictability of models. It's like if you tweak the antigen or the adjuvant slightly
13 enough, you will trigger that particular phenotype in an animal model and its
14 implications for humans is really confusing. Dr. Janes.

15 Dr. Janes: Thank you. I had a question I guess initially for the FDA. Both the FDA
16 presentation and Moderna's presentation took care to point out that the primary
17 endpoint of the trial, I gather, did not include severe LRTI, very severe LRTI and
18 hospitalization as criteria for a primary endpoint event whereas, I gather, once the safety
19 signal was identified, the endpoint definition was broadened to include those more
20 severe outcomes. And I guess I'm wondering if the FDA can start on helping
21 understand why those more severe outcomes were initially not included in the primary
22 endpoint and what the thinking is for particularly safety monitoring around other
23 vaccine platforms going forward. Thank you.

1 Dr. Connelly: So, to clarify-- And again, I can also allow Moderna to speak to this later
2 too. But there were protocol-specified definitions of severe and very severe LRTI. The
3 post hoc definition of the clinically significant severe/very severe LRTI was established
4 to cast a broader net to make sure that any cases contributing to the potential balance
5 were detected. So, the study was designed to evaluate each of those different definitions
6 other than the post hoc definition I just mentioned, and then that post hoc definition was
7 included to make sure that we got the most accurate portrayal of a potential imbalance.

8 Dr. Janes: Okay.

9 Dr. El Sahly: Dr. Gans.

10 Dr. Gans: Thank you once again. Thank you for that, again, review of the data.
11 Once again, my question relates to-- I think what other people are getting at is we're
12 trying to evaluate other platforms and just reflecting on experiences we have again with
13 another formalin-inactivated vaccine that then subsequent which had enhanced disease
14 and then subsequently we have a very effective live-attenuated vaccine and measles.
15 Are people collecting the immune response to these live-attenuated platforms that are
16 being evaluated for RSV? You outlined several live-attenuated vaccine platforms that
17 are under development. And what is happening with it? Do we have any information? I
18 realize you don't have the signal of enhanced disease, which is wonderful and I have to
19 applaud Moderna for bringing this all forward, but I'm wondering if you have any data
20 on other platforms in terms of some of these other markers that we're looking at.

21 Dr. Connelly: So, I think to answer your question-- Thank you for that. The Open
22 Public Hearing will include some presentations on some of this other data. Again, much
23 of this is proprietary, so I won't be able to discuss it here, but stay tuned for some of
24 those presentations.

1 Dr. El Sahly: Dr. Kotloff.

2 Dr. Kotloff: Yes, thank you, Hana. And thank you for these beautiful presentations.
3 I've learned a lot. I think one thing, one observation that's really striking me, and it
4 sounds like other members, is that all of the guardrails that we had in place did not
5 predict whether or not the severe outcome was going to occur. And so, I'm trying to
6 look and see what we have left to look at, and one is that it does seem that perhaps the
7 presence of maternal antibody may be protecting against these responses. It looked like
8 of the three Group B children who had severe disease, that two of them had rather low
9 baseline antibody levels. And I think it might be interesting to incorporate into the
10 preclinical models mice that were vaccinated to see whether there's a difference in
11 when you vaccinate the offspring if there's protection with maternal antibody in the
12 animal models.

13 And I think that we also at this point can't say I don't think with confidence that
14 live-attenuated vaccines are safe for sure. So, if we go back to the measles model, the
15 problem with measles is that it's ineffective for the first 12 months of life, especially
16 when you have a population that's had natural immunity. And so, you don't really get a
17 good sense of the safety of the vaccine because you can't really give it till maternal
18 antibody is gone. And in the US that typically used to be around 12 months of age,
19 maybe it's waning a little bit sooner. And so, I do feel like we can't say with complete
20 confidence that we have enough information to know, unless there is enough experience
21 with RSV live-attenuated vaccines to say that. I'm not sure that we have enough
22 information to know what parameters to use.

23 And then the other thing, I think we're very focused on weaknesses and what the
24 gaps are, but I do think that the monoclonal antibody has performed unbelievably well

1 and actually can address both of those weaknesses if you give a dose in the first and
2 second year of life. And I also don't know for sure whether there's interference with
3 vaccine responses, because those who got nirsevimab had such high baseline levels that
4 it was hard to see a fourfold rise when you start out so high. And so, I'm not sure that
5 we can conclude now that that is a prohibiting factor. And I do think that one important
6 development pathway that we should be focusing on are affordable monoclonal
7 antibody formulations. I know that Gates MRI was involved in developing one of those.
8 I don't know where that stands, but I just didn't want that to get lost in the desire to
9 have a vaccine even though I am a vaccinologist and that's always my preference. In
10 this case, the monoclonal antibody has really performed very well. Thank you.

11 Dr. El Sahly: Thank you, Karen. As a corollary to that, the landscape of the unmet
12 needs is evolving and that will have a huge implication to the risk-benefit ratio of what
13 we study in the future as well. Dr. Nelson.

14 Dr. Nelson: Thank you very much. I want to say thank you again for such a clear
15 presentation really all this morning. My question really surrounds the timing of the day
16 85 blood draw of which we are expected to make some inferences with respect to
17 vaccine immune responses as potential risk for these severe infections that were
18 observed at variable times before the actual blood draw. So, can you give me a better
19 sense as to how much earlier the four recipients who experienced the severe reactions
20 had their events before that day 85 blood draw? And is there any shareable data
21 regarding the immune status at the time or during the infection and treatment? We're a
22 little worried about what treatments they received as part of their infection modulating
23 some of the immune response and if we're basing our assessment of their response post
24 immunomodulatory treatment, it should impact our interpretation. Thank you.

1 Dr. Connelly: Thank you for your question. The timing we agree on is important in
2 terms of the exact numbers. I'm going to defer that answer to Moderna because they
3 have that data. It's their data and so they'll be able to give you the most informed
4 answer.

5 Dr. El Sahly: Do we have a Moderna team member available?

6 Dr. Nelson: We can wait till this afternoon if that's preferable.

7 Dr. El Sahly: Here we go.

8 Dr. Snape: I can respond to that if you'd like. And can I actually ask the team to
9 bring up our sixth slide where we can look at this in the timing of immunizations so you
10 get a sense of timing of infections related to immunizations? Okay. Anyway, firstly
11 we'll look at this slide, which just reiterates that yes, some of the children that had the
12 immunogenicity assessments both at baseline and day 85 did have infections. The
13 infections we observed-- Four of the five infections in vaccines recipients came within
14 23 days with the second vaccine. So, they were occurring anytime from three days after
15 the vaccine to 23 days. So--

16 Dr. Nelson: How many days is that before the day 85 draw?

17 Dr. Snape: The 85 blood draw was done at around day 28.

18 Dr. Nelson: Okay.

19 Dr. Snape: Around two to three injections. In terms of immunomodulatory therapies,
20 it's possible some of these children receive steroids. As you can see-- I mean, these
21 children had very high antibody titers. This is the slide that we can see at the moment.
22 These are very high neutralizing antibody titers that were observed.

1 Dr. Nelson: Yeah, I don't think it would impact significantly the neutralizing
2 antibody response, but we're putting a lot of weight on the Th1 versus Th2 response and
3 I think it could affect that. That was the nature of my question.

4 Dr. Snape: I understand. Fine. We haven't obviously got the Th1, Th2 results in
5 these children. We are collecting and assessing PBMC results in these children. We
6 don't have those data yet, but we'll take that into account. Thank you very much.

7 Dr. El Sahly: So, Dr. Snape, the Th2 response-- Th1, Th2 responses would be from
8 samples collected prior to infection?

9 Dr. Snape: No, they'll be after infection, but they'll be in a range of children that
10 have had placebo and infection, placebo-no infection, and vaccine and infection, and no
11 infection. So we'll get to get an assessment of the variable impact of the vaccine and
12 infection on the CMI profile.

13 Dr. El Sahly: Okay. So, samples were collected from vaccine-recipient naïve to RSV
14 infection before and didn't have RSV infection in that observation period yet?

15 Dr. Snape: Yes. We are collecting those samples at the moment. I just want to
16 emphasize that's an active thing that's happening now. We don't have baseline samples,
17 but we are collecting samples from as many children Part A as we can-- Part B as we
18 can to get that phenotype.

19 Dr. El Sahly: I have a question to Dr. Connelly. So, the children who got nirsevimab,
20 there's 17 maybe of them between those who got and those who didn't and they were
21 given the vaccine. My question is, are these patients in active follow-up, especially with
22 the incoming season to see how clinically and immunologically they will respond to
23 their first season, if you will? Probably first and second with all the permutation, will

1 they remain in follow-up so we can learn from an immunologic and clinical standpoint
2 the outcome?

3 Dr. Connelly: So, I will let Moderna clarify, but our understanding is that all these
4 participants will continue to be followed-up for the subsequent RSV seasons.

5 Dr. El Sahly: And with plans to collect the immunologic samples at the outset of the
6 season and later, just so we learn if their responses are any different.

7 Dr. Connelly: I believe they will continue to be collected at the study time points.

8 Again, it's our understanding, and I can defer to Moderna for any other plans that they
9 might have.

10 Dr. El Sahly: I want to go back to slide 19 from your presentation, is it easy to do? If
11 not, I can pull it up on my screen.

12 Dr. Connelly: I'm not sure if we're able to go back after--

13 Dr. El Sahly: So, do we have samples from these patients after their vaccination but
14 prior to their infection? These specific patients?

15 Dr. Connelly: Sorry, to clarify, do you mean the participants that developed
16 severe/hospitalized RSV cases?

17 Dr. El Sahly: Yes.

18 Dr. Connelly: Our understanding is that the day 85 collection for those four, for the
19 vaccine recipients, those four, happened after the RSV case occurred.

20 Dr. El Sahly: Okay. So, that was, I guess, the unlucky component here. Okay.

1 Do we have any additional questions to either the FDA or Moderna? We can
2 probably go for another five minutes before the lunch break, which is unfortunately cut
3 short already.

4 I have a question that is more general and it pertains to the duration. If we have
5 additional data-- At the time when we reviewed the maternal immunization data, the
6 studies were ongoing. Now that more time has gone by, do we have additional
7 information pertaining to the duration of efficacy with the second season, etcetera, and
8 how these infants did? It's probably a question that can be answered later if it can be
9 answered. But--

10 Dr. Connelly: Yeah, thank you for that question. That may be a question better
11 answered by our CDC colleagues, but we can discuss.

12 Dr. El Sahly: I don't think the CDC would know at the moment because it would be on
13 the clinical trials that preceded the licensing and the recommendation. I understand that
14 it may not be public domain now, but will we see those data soon? And-- Because they
15 might have implications for a few of the percolating questions so far.

16 Dr. Connelly: We'll take that under advisement. Thank you.

17 Dr. El Sahly: Okay. Alright. Thank you. Dr. Gans, would be the last question and it
18 has to be brief so we don't make the lunch even shorter.

19 Dr. Gans: I just have a clarifying question mostly I think for the Moderna, but
20 anyone who wants to answer. What was the stimulation for the T-cell immunity data? I
21 don't know what the antigen stim was.

1 Dr. Snape: For that question, I'd like to defer to my colleague, Dr. Shaw. Thanks
2 very much.

3 Dr. Shaw: Hi, Christine Shaw. The stimuli for the CMI analysis were peptides
4 covering the RSV prefusion protein. They were 15 mers overlapping by 11.

5 Dr. Gans: Thank you.

6 Dr. El Sahly: Okay, well, thank you all for the presentations, the questions and
7 answers. We have now a break until exactly 12:15 Eastern, for the Open Public Hearing
8 Session. Thank you.

9 **Open Public Hearing**

10 Dr. El Sahly: Welcome back to the Open Public Hearing Session. I invite the
11 Committee members to the raise-your-hand function if you have a clarifying question to
12 the presenters pertaining to the scientific content of their presentation as a clarification
13 point, not necessarily a full-on discussion or give-and-take.

14 So, this is the Open Public Hearing Session. Welcome to the Open Public
15 Hearing Session. Please note that the Food and Drug Administration and the public
16 believe in the transparent process for information gathering and decision making to
17 ensure such transparency at the Open Public Hearing Session of the Advisory
18 Committee Meeting, FDA believes that it is important to understand the context of an
19 individual's presentation. For this reason, FDA encourages you, the Open Public
20 Hearing speaker, at the beginning of your oral statement to advise the Committee of any
21 financial interests relevant to this meeting, such as a financial relationship with any
22 company or group that may be affected by the topic of this meeting. Likewise, FDA
23 encourages you at the beginning of your statement to advise the Committee if you do

1 not have any such financial relationships. If you choose not to address this issue of
2 financial relationships at the beginning of your statement, it'll not preclude you from
3 speaking.

4 And now I turn the OPH session to Sussan who will be moderating it and taking
5 it for next steps.

6 Dr. Paydar: Great. Thank you, Dr. El Sahly. Before I begin calling the registered
7 Open Public Hearing (OPH) speakers, I would like to thank all OPH speakers on behalf
8 of the FDA and the Committee for their interest in participating in today's VRBPAC
9 meeting and sharing their views and comments. FDA encourages participation from all
10 public stakeholders in its decision-making processes. Every Advisory Committee
11 Meeting includes an Open Public Hearing Session during which interested persons may
12 present relevant information or views.

13 I would also like to add the following guidance that the participants during the
14 OPH session are not FDA employees or members of this Advisory Committee. FDA
15 recognizes that the speakers may present a range of viewpoints. The statements made
16 during this Open Public Hearing Session reflect the viewpoints of the individual
17 speakers or their organizations and are not meant to indicate agency agreement with the
18 statements made.

19 With that guidance, I would like to begin. Let's begin with our first OPH
20 speaker, Mr. Don Ford. Mr. Ford, go ahead.

21 Dr. Ford: Hi, thank you. I want to say, first off, I have no conflict of interest.
22 Listening to this RSV conversation, obviously this is a very tough nut to crack, there are
23 a couple of things that came across my mind that I wanted to share with the Committee

1 that might, I don't know if it'll help or not-- But when we talk about animal models, and
2 animal models and human modeling not aligning, well, we use animal models because
3 evolutionarily, we align with these animals at different stages. But there are things that
4 come up where we have gained things that are distinctly human that are post these
5 evolutionary splits. So, a good example of this is the IgG four. In humans, we don't
6 really see that in animal modeling. And when it comes to the mucosal system, we have
7 no problem using mucosal vaccines on animals, but when we go to human trials, there
8 are these huge problems. And I feel like when you're trying to look for a problem like
9 this, it's kind of like looking for a needle in a needle stack. So, identifying where the
10 evolutionary shifts between animals might help you give a focus on where to identify
11 where your animal modeling is coming up short. The other one is that you're talking
12 about a syncytial virus, which is-- Again, we see-- We've learned a lot about this from
13 COVID and we think about syncytial viruses-- Most T-cells don't have a lot of problem
14 actually handling them, but when the T-cell is actually interacting with the virus, it's
15 interacting with the syncytial formation. And that has a completely different process
16 that's often gone unmeasured in a lot of these studies. So, we might see on paper that T-
17 cells should do well against these pathogens, when it actually comes time for the
18 interaction, they can be quite vulnerable to the parasite cells that are inside of these
19 formations. So, I think that this is a measurement that's commonly lost. We see this loss
20 with COVID a lot, and I'm pretty sure that the RSV has a similar mechanism that's also
21 causing this.

22 Now, my last comment is a little off-topic, but on-topic. I'm very concerned that
23 this is bad for mRNA on the surface with the change in administration coming on. And I
24 think that anything the Committee can do to help bring Novavax pediatric access to
25 market, which is just an expansion of the current available vaccine, because there's a

1 good chance that RNA is on the chopping block as far as this new administration is
2 concerned, and that can leave us with no childhood COVID vaccine. And there's a good
3 potential-- We might see pressure to not approve new vaccines in the first year or so,
4 and that can put us all in a really tough position. So, anything the Committee can do or
5 the Committee members can do to facilitate that, anything there would be greatly
6 appreciated. I know there's a lot of concern on the ground with people that there's going
7 to be a pediatric COVID vaccine and this would be a solution to that in a bunch of
8 different ways. So, thank you very much. That's all the time I need.

9 Dr. Paydar: Great. Thank you, Mr. Ford. Our next presenter is Dr. Saman Asad
10 Siddiqui. Dr. Siddiqui, please go ahead.

11 Dr. Siddiqui: Thank you. Good afternoon. I'm Dr. Saman Asad Siddiqui, a Physician
12 with a Master's Degree in Clinical Investigation from Harvard Medical School. I'm
13 speaking today on behalf of the National Center for Health Research. Our research
14 center does not accept funding from any companies that have a financial interest in our
15 work, so we have no conflicts of interest. Thank you for the opportunity to speak today.

16 For children under 5 years of age in the US, RSV is associated with an estimated
17 58,000 to 80,000 hospitalizations and 100 to 300 deaths annually. The recent Phase I
18 study of mRNA RSV vaccines conducted by Moderna in infants aged 5 to 7 months,
19 raised significant safety concerns. Among the 40 infants who received a 15 microgram
20 dose of the RSV vaccine, 16 developed symptomatic RSV disease, and of these 5
21 progressed to severe or very severe lower respiratory tract infections. In contrast, among
22 the 20 placebo recipients, 12 developed symptomatic RSV disease, but only 1
23 experienced severe or very severe lower respiratory tract infections. This means that
24 overall 12.5% of the vaccine recipients experienced severe or very severe RSV lower

1 respiratory tract infections compared to the 5% of the placebo group. Of the 6 severe
2 cases identified in this study, 5 required hospitalizations and 1 infant required
3 mechanical ventilation and the median time for resolution of these severe cases was
4 19.5 days. While the small sample size limits the certainty of these findings, the higher
5 rates of severe illness in vaccine recipients compared to placebo raises serious concerns
6 about the vaccine safety for infants in this age group. These findings led to a study
7 pause and discontinuation of the RSV program for seronegative children under 2 years
8 old, which we can all agree indicates the challenges in developing safe ineffective RSV
9 vaccines for young children. While established safeguards exist for RSV vaccine
10 development, this study suggests they may not be sufficient to prevent all potential
11 safety issues, particularly in RSV-naïve infants.

12 So, to enhance the safety of RSV vaccine development for infants and toddlers,
13 we urge that several actionable steps should be prioritized. First, we agree with the FDA
14 scientists that there must be a reassessment of clinical trial designs. This should include
15 implementing stringent safety monitoring protocols with continuous real-time data
16 analysis, considering lower initial vaccine doses for younger age groups, and increasing
17 the frequency of interim analysis with predefined thresholds for study pauses. Secondly,
18 enhanced immune profiling is essential as outlined in the WHO guidelines. This
19 involves conducting comprehensive analysis of T-cell responses, focusing on
20 neutralizing antibody functionality and evaluating mucosal immunity alongside
21 systemic responses. In addition, we recommend accelerated biomarker research
22 focusing on large scale genomic and proteomic studies of infants with severe RSV
23 disease post vaccination and developing predictive in-vitro assays to assess vaccine-
24 associated ERD risk prior to human trials, ensuring safe and more effective vaccines.

1 Additionally, animal models need to be approved to better replicate-- I'm sorry.
2 Models need to be improved to better replicate human RSV disease and vaccine-
3 associated ERD as suggested by the WHO guidelines by conducting comparative
4 studies across multiple models.

5 Finally, we would like to highlight the need for a global RSV Vaccine Safety
6 Consortium to facilitate collaboration among researchers, clinicians, and regulators.
7 This will help to ensure rapid data sharing and standardized safety assessments across
8 trials while developing harmonized protocols for vaccine associated ERD risk
9 assessment and management. By prioritizing these steps, we can significantly improve
10 the safety profile of RSV vaccines for the pediatric populations.

11 In conclusion, while progress has been made in RSV vaccine development, the
12 recent study underscores the need for continued vigilance in our approach. We must
13 balance the need for an effective RSV vaccine for children with the paramount
14 importance of safety for children participating in these clinical trials prior to approving
15 a vaccine. By addressing these challenges head on, we can work towards a safe and
16 effective RSV vaccine that could significantly reduce the global burden of this disease
17 in our most vulnerable population. Thank you.

18 Dr. Paydar: Great. Thank you so very much. Our next presenters are Dr. Biao He,
19 Founder and CEO, and Dr. Henry Radziewicz, Chief Medical Officer, Blue Lake
20 Biotechnology. Dr. He, please go ahead.

21 Dr. He: Okay. Thank you. Can I have a first slide, please? My name is Biao He,
22 Founder and the CEO of Blue Lake Biotechnology, a clinical stage intranasal vaccine
23 company. I'm also the inventor of the intranasal vaccine platform based on
24 parainfluenza virus 5 (PIV5). We're based in Athens, Georgia, and in San Jose,

1 California. I want to thank the FDA for giving us this opportunity to present our work
2 and perspective on this very important topic. Next slide.

3 We want to cover four areas today. We want to introduce our intranasal PIV5
4 vaccine platform; and present an overview of the RSV vaccine using intranasal live
5 replicating viral platforms expressing RSV wild type F protein, which have never been
6 associated with vaccine associated enhanced respiratory disease, VAERD; and present
7 data on BLB201, an intranasal live PIV5 based RSV vaccine expressing wild type RSV
8 F. Finally, we will propose a path forward for BLB201. Next slide.

9 As presented today by Dr. Dawood, RSV causes significant morbidity and
10 mortality in infants and young children. Our goal is to develop a safe and efficacious
11 RSV vaccine for them. Next slide. Please, play the video.

12 Our vaccine is based on the live attenuated PIV5 component of Kennel cough
13 vaccine. This live-attenuated virus has been intranasally delivered to dogs for years.
14 Dogs can shed this virus for up to five days after they're intranasally immunized.
15 Considering about 40% Americans have pet dogs, many people including infants, young
16 children, elderly and immunocompromised people have already been safely exposed to
17 this live-attenuated PIV5 for decades. Importantly, our live-attenuated PIV5 vector
18 replicates in the host and is different from other viral vectors that are replication-
19 defective or single-cycle. We have used this live-attenuated PIV5 platform to express
20 various antigens. At present, we have two clinical programs: PIV5 based intranasal
21 COVID vaccine, and PIV5 based, again, intranasal RSV vaccine. Next slide.

22 Safety is our top consideration for vaccine development. Safety considerations
23 for infant RSV vaccines include not only reactogenicity, but also importantly, VAERD.
24 Since natural RSV infection does not cause VAERD, many became RSV infections

1 such as intranasal delivery, use of live-replicating virus, and use of a wild type antigen
2 has been employed. As presented early today by Dr. Piedra, and in this paper by Dr.
3 Ruth Karron, intranasal live-replicating virus expressing wild type RSV F does not
4 cause VAERD in the case of live-attenuated RSV vaccine. Next slide.

5 Similarly, life replicating bovine human parainfluenza virus 3, Camero virus,
6 delivered intranasally that expressing wild type RSV F MEDI-534 was tested in over
7 200 infants and no VAERD was observed. Thus, we believe intranasally delivered live-
8 replicating virus that expressed wild type RSV F has never been associated with
9 VAERD. Next slide.

10 As mentioned earlier, we have two clinical programs. Our intranasal COVID
11 vaccine expresses the S protein of SARS-CoV-2 and we have enrolled over 300 people
12 in Phase I and Phase II A. Our Phase II B trial is targeted to enroll over 10,000 people.
13 Half of that will receive our intranasal vaccine. Our PIV5 vector intranasal RSV vaccine
14 expressing wild type RSV F protein has enrolled over 90 people ranging from 8 months
15 to 75 years old. Both intranasal vaccines have been safe and well-tolerated in our
16 current clinical trials. Next slide.

17 As described early this morning, a dysfunctional immune response to vaccine,
18 especially a Th2-biased immune response after immunization may be a signal for
19 VAERD. In our Phase I study of our COVID vaccine, we observed a balanced immune
20 response including moderate serum antibody response and cell mediate immune
21 responses including CD8 positive T-cell response. We did not observe Th2-biased
22 immune responses in SARS-CoV-2 naïve or experienced participants as indicated by
23 the absence of AEs specific IL-13 expressing T-cells. IL-13 is a Th2 cytokine.

1 In Phase II, a study of our COVID vaccine 78% protection against the
2 symptomatic COVID infection was observed. In comparison, existing COVID vaccines
3 have 52% vaccine efficacy at one month after immunization. Thus PIV5-based
4 intranasal COVID vaccine is a safe, well tolerated and indicative of efficacy, and it does
5 not induce human immune responses that are associated with VAERD. Next slide.

6 We have developed BLB201, the PIV5-based RSV intranasal vaccine
7 expressing wild-type RSV F. We [Indiscernible - 1:30:36] live-attenuated vaccine as
8 well as the MEDI-534. We use wild-type RSV F, full-length RSV F, with no mutation
9 for mRNA vaccine. As described today, they used the ones with mutation that will
10 introduce- To keep the F protein to mimic the prefusion confirmation of the F protein.
11 Well, this was believed by some to reduce the likelihood of VAERD. The data
12 presented today showed this was not necessarily correct. We also learned from today's
13 presentation that the very high neutralizing antibody response is not the key to
14 preventing VAERD asymptomatic infection in infants. Thus, the BLB201 is safe and
15 efficacious in preventing RSV infection in mice, cotton rats and the non-human
16 primates.

17 The cotton rat model is considered the gold standard to evaluate VAERD risk
18 and BLB201 at different dose levels and did not cause VAERD in this model. Next
19 slide please.

20 Besides testing in cotton rats, we have also exempted potential VAERD signals
21 in non-human primates. As presented early the Th2-biased immune responses and
22 absence of CDA positive T-cell responses or thought to be associated with the VAERD
23 immunization of non-human primate with all vaccine did not lead to a Th2-biased
24 immune response as indicate that lack of IL-4, 5 and 13 expression in serum, but it did

1 lead to a CD8+ T-cell response further indicating that the BLB201 does not induce
2 immune responses that are associated with VAERD. And following the discussion this
3 morning from Dr. Piedra's presentation on the lack of VAERD with Merck formally
4 inactivate vaccine which contained a mixture of both formerly inactive RSV and
5 formally inactive PIV. It is tempting to suggest that a live replicating intranasal vector
6 vaccine such as PIV5, is the ideal candidate because it contains both RC antigen and a
7 live replicating viral vector. Next slide please.

8 So, in our Phase I clinical study BLB201 induced moderate antibody responses
9 and cell mediated immune responses including CD8+ T-cell response. No Th2-biased
10 response was detected consistent with PIV5 as a live replicating and attenuated virus
11 limited replication of BLB201 was detected in humans. BLB201 was safe, well
12 tolerated and induced a balanced immune response in 33 to 75-year-olds. Importantly,
13 the mechanism of action of a vaccine is not to induce extremely high antibody
14 responses, but instead to efficiently present RSV antigen to the mucosal immune
15 system, targeting mucosal rather than the serum antibody responses further reduces the
16 likelihood of VAERD. Now, Dr. Radziewicz, our CMO, will describe our Phase I and
17 IIa infant RSV trial. Next.

18 Dr. Radziewicz: Thanks, Dr. He. The primary goal of our study is to evaluate the
19 safety of our vaccine in healthy 6 months to 5-year-old infants and children. We are also
20 evaluating immune responses in serum and nasal secretions. To ensure the safety of
21 participants in our study, we have instituted measures to the design including the use of
22 sentinel cohorts, use of low dose vaccine prior to high dose, enrollment of older
23 children prior to younger age groups. Amongst the other measures shown in the slide.
24 We closely track all medically attended adverse events, any serious adverse event, all

1 RSV infections, and all lower respiratory tract infections including croup, bronchiolitis
2 and pneumonia, whether related to RSV or other pathogens. Next slide.

3 The table at the top shows our enrollments to date. As I noted on the previous
4 slide, we enrolled older children and used lower dose vaccinations first, as noted in
5 groups 1, 2, and 3 for safety. We have a completed enrollment of these three groups.
6 Including groups 4 and 6, ages six to 24 months, 25 of 48 plan participants have already
7 been enrolled and received a single dose of high dose vaccine. 23 RSV seronegative
8 participants less than two years of age are enrolled in the study. 11 had their first RSV
9 exposure last season and 12 are being exposed for the first time to the current RSV
10 season. By next March, all 63 infants and young children enrolled in our study will have
11 gone through at least one RSV season.

12 BLB201 pediatric vaccine has been well tolerated and safe in infants and young
13 children. No vaccine SAE nor any vaccine related medically attended adverse event has
14 been reported. A total of eight symptomatic cases of RSV have been diagnosed. All
15 cases of symptomatic RSV have been graded as mild or moderate with no severe case.
16 No participant has required hospitalization for RSV infection, nor has there been any
17 hospitalization related to any respiratory tract infection to date in our study. We
18 previously unblinded the immune data for groups 1 and 2 seropositive participants. We
19 found serum neutralizing IgG/IgA and nasal IgA antibody response ranging from 60 to
20 80%. In contrast to mRNA-1345 whose post immunization neutralizing antibody and
21 binding antibody showed 149-fold and 338-fold increases over baseline in Part A of its
22 trial, our vaccine generated modest 8.4 fold neutralizing antibody and 2.5 fold IgG
23 binding antibody after immunization in our seropositive children. We also detected a
24 2.3-fold rise in nasal IgA mucosal antibody response.

1 The fact that BLB201 replicates even in seropositives and induces an immune
2 response suggests that it would present sufficient antigen to be highly effective in
3 seronegatives. Based on the clinical data so far, there is a statistically significant
4 reduction in symptomatic RSV cases among our BLB201 vaccinated infants and
5 children of at least 80% over placebo controls. This strongly suggests that the immune
6 mechanisms after BLB201 vaccination are very different from that of formalin-
7 inactivated vaccines and mRNA vaccines indicating that the BLB201 vaccine is
8 unlikely to lead to similar immunologic VAERD. Based on our preliminary result of at
9 least 80% protection, we do not feel that further demonstration of clinical benefit in a
10 seropositive infants and children would bring additional value to assessment of the risk
11 of VAERD. Also, this group is not the primary target population for an effective
12 pediatric vaccine. Next slide.

13 We believe that it is safe for our BLB clinical trial to proceed and to include
14 additional seronegative participants. We have instituted measures to help ensure safety
15 that are noted further in this slide. We and an independent Data Safety Monitoring
16 Board review any participant with RSV infection in real time and our study uses safety
17 pausing rules that includes severe RSV infection in any single participant. Next slide.

18 We strongly believe that our live-attenuated replicating virus vectored intranasal
19 RSV vaccine expressing wild-type F protein is not a risk for VAERD. Such vaccines
20 like BLB201 and MEDI-534 have never been associated with VAERD. BLB201
21 vaccination does not induce a Th2-biased response, neither in animals nor humans and
22 we do not believe that additional animal studies or studies in seropositive infants and
23 children would be helpful. We have enrolled 63 children with no indication of VAERD
24 to date. Most encouragingly, there is an early indication of vaccine protection of at least
25 80% in our study. Further testing of BLB201 in seronegative children is essential to

1 confirm the safety and efficacy of this vaccine. While there has been progress in the
2 field of RSV prevention, many children who experience severe infection are still not
3 protected. Developing a safe and effective vaccine remains an urgent public health need.
4 Blue Lake is ready to work with the FDA and VRBPAC to permit continued
5 development of our highly promising vaccine candidate. Next slide.

6 Thank you very much. Additional information can be found on this website.

7 Dr. Paydar: Great. Thank you so very much for your presentation. I don't-- I see a
8 hand. Dr. El Sahly has a question.

9 Dr. El Sahly: I have a brief question to Dr. He. Dr. He, in the 11 seronegatives-- Yes.

10 Dr. Paydar: AV Team, if you could go back to the slide.

11 Dr. El Sahly: I don't know, Susan. Your volume went down. We can't hear you.

12 Dr. Paydar: I would like the slides to go back.

13 Dr. El Sahly: Okay, so were there immunologic assays performed on the 10 or 11
14 seronegative children who got your vaccine, in terms of TH1, TH2 biased. I know you
15 showed us data from different other studies.

16 Dr. He: We have gotten the data from the group 1, 2, 3 and 4 in terms of serum and
17 antibody data, et cetera. We also are working on, and we do collect some PBMC, to
18 look at the T-cell data as well. However, we have not unblind the group 3 and 4, so we
19 don't really know what the results will be, but we have a separate committee looking at
20 the cases then of eight symptomatic cases. So, that's separate from looking at the
21 immunogenicity. The only immunogenicity data we have unblinded was from group 1

1 and group 2. Those are RSV positive kids who have gotten low and high doses and
2 that's what we have. For the rest, we have data but it's still blinded.

3 Dr. El Sahly: Okay, great. Thank you.

4 Dr. He: Thank you.

5 Dr. Paydar: Thank you so much everyone. El Sahly, can you hear me?

6 Dr. El Sahly: Susan, your audio is very poor. I don't know. Am I the only one who
7 can't hear Susan?

8 Dr. Long: We can't hear it either.

9 Dr. Paydar: Next presenter. Please go ahead with Dr. Sridhar.

10 Dr. Sridhar: Thank you. I hope you can hear me.

11 Dr. El Sahly: Yeah, we can hear you very well.

12 Dr. Srihar: Thank you. So on behalf of Sanofi, I'd like to thank the committee for
13 giving us the opportunity to present an update on Sanofi's pediatric RSV vaccine
14 development today during today's VRBPAC meeting. My name is Saranya Sridhar. I'm
15 a full-time employee of Sanofi. I've been in the Clinical Department of the company for
16 eight years and I'm the head of Clinical Development for Vaccines. Next slide, please.

17 There is an unmet need for children in their second RSV season, which has
18 significant health and economic impact to children, their families and health services.
19 This slide provides some of the data that underlines the scale of this public health
20 challenge. Global estimates of RSV burden in toddlers stands at 33 million cases every
21 year. In the US alone, this represents approximately 2.1 million children requiring

1 medical attention each year. 60% of children have multiple RSV infections before they
2 reach 3 years of age with some of the consequences of infection, including pneumonia
3 and otitis media. This health burden is mainly carried by outpatient health services, but
4 one third of all RSV hospitalizations in children under 5 years of age is because of RSV
5 infection in toddlers. These numbers taken together signifies substantial financial and
6 emotional burden on families. Next slide please.

7 Beyond the numbers, the clinical spectrum of disease caused by RSV in toddlers
8 as illustrated in this slide is notable. Toddlers can suffer from upper and low respiratory
9 tract infection like infants, but also respiratory complications and exacerbations of
10 wheezing, like older adults. As you are well aware, there have been significant advances
11 over the last few years in RSV preventative strategies. In infants, we now have long-
12 acting monoclonal antibodies as well as maternal immunization while three vaccines
13 have been approved for older adults. Thus, it is remarkable that despite the burden and
14 wide clinical spectrum of disease and toddlers, we do not yet have a preventative
15 strategy for this population. Next slide please.

16 As we heard earlier today, vaccine development efforts for the pediatric
17 population were initiated as early as the 1960s. However, these efforts were set back by
18 the observation of enhanced respiratory disease with a formalin-inactivated RSV
19 vaccine. VAERD was characterized by three observations. First, the numerical
20 imbalance of severe lower respiratory tract disease following vaccination was observed
21 in children naïve to RSV prior to vaccination. Second, these cases were observed in the
22 first year of follow-up after vaccination. And third, the respiratory pathology showed
23 immune complex deposition and eosinophilia in the lung suggesting a Th2-biased
24 response. It is noteworthy that this phenomenon has not been observed in the context of
25 natural infection and subsequent vaccine development has focused on mimicking

1 natural infection. Live-attenuated vaccines delivered intranasally have been developed
2 with rationally designed genetic modifications to remain immunogenic while ensuring
3 an optimal safety profile and to minimize the risk of enhanced respiratory disease.
4 Sanofi has been in collaboration with the United States National Institute of Health to
5 develop the live-attenuated vaccine platform. Next slide, please.

6 The US NIH has pioneered the development of a live-attenuated vaccine
7 platform for RSV. 16 different live-attenuated vaccines have been evaluated in a careful
8 stepwise approach to identify safe and immunogenic candidates. The first trial started in
9 adults with careful dose escalation before moving to Phase I studies in RSV experience
10 toddlers. Only after demonstrating safety and suitable attenuation in these populations
11 were studies initiated in RSV-naïve toddlers. Through this careful stepwise approach
12 over the last 30 years, NIH in collaboration with Sanofi identified the SP0125 vaccine
13 candidate as our lead candidate with an optimal combination of safety and
14 immunogenicity. The SP0125 vaccine was evaluated in a Phase I/II dose escalation
15 study in children before entering Phase III evaluation earlier this year. Let me share
16 some of the details of the design of the SP0125 candidate. Next slide please.

17 The SP0125 vaccine contains three key genetic modifications to attenuate the
18 vaccine and to make sure that these attenuations are stable. First, a deletion in the NS2
19 gene, which attenuates the virus and removes the risk of NS2 mediated airway
20 obstruction. Second is a deletion in the polymerase gene, which confers temperature
21 sensitivity and restricts replication at a temperature of 38 to 39°C. And third, this
22 temperature sensitive deletion is stabilized by a missense mutation in the adjacent
23 amino acid of the polymerase gene. These rationally designed modifications combined
24 to ensure that the vaccine would restrict replication in the upper respiratory tract, and

1 we have generated data in over 4,000 toddlers that the infectivity, which mimics natural
2 infection, is not compromised with these modifications. Next slide please.

3 NIH and Sanofi have generated data on the live-attenuated vaccine platform in
4 approximately 4,000 children. The NIH have run trials with 16 live attenuated vaccine
5 candidates in approximately 800 participants, and over a surveillance one RSV season
6 there has been no evidence of vaccine associated enhanced respiratory disease observed
7 in these trials. Our SP0125 vaccine candidate has been administered intranasally to over
8 3000 children. No safety concerns have been observed to date by us and our
9 independent Data Monitoring Committee. Next slide please.

10 These live-attenuated vaccines have not only shown to be safe as a platform but
11 have also shown protective benefits. This is data published by Professor Ruth Karron
12 and colleagues, which compiled the efficacy observed across different clinical trials in
13 children of eight live-attenuated RSV vaccine candidates. In this forest plot, the black
14 lines show the average efficacy of eight different vaccine candidates against medically
15 attended acute respiratory illness caused by RSV. The blue lines represent efficacy
16 observed with a subset of five lead candidates out of these eight. The top two lines
17 present data from all vaccinated children, while the bottom two lines are a subgroup
18 analysis of children who were determined to have a neutralizing antibody response post
19 vaccination.

20 This forest plot with vaccine efficacy plot on the X axis shows that if you're to
21 the right of zero, there is protection and benefit while to the left would suggest increased
22 risk. As you can see from the graph, a protective effect was observed for these vaccine
23 candidates and for the five lead candidates, the average vaccine efficacy was 67%. The
24 SP0125 vaccine that we are now evaluating in a Phase III trial was among these five

1 candidates. When the analysis was restricted to neutralizing antibody responders, the
2 blue lines on this graph, we observed similar vaccine efficacy suggesting a link between
3 having an immune response to the vaccine and protection against disease. These results
4 provide evidence of the protective potential and benefit of these live-attenuated vaccines
5 against RSV without any evidence of an increased risk. Along with the safety data from
6 careful stepwise de-escalation, it formed the basis for us to select the SP0125 vaccine to
7 advance to clinical development. Next slide please.

8 Our SP0125 vaccine candidate was evaluated in a Phase I/II study in children six
9 to 18 months of age. A low dose and a high dose formulation were evaluated and
10 compared to a placebo. The way the data presented here is a classical reverse
11 cumulative curve where you have the neutralizing antibody titers and the X axis, and the
12 percentage of volunteers on the Y axis. This is data from children who were RSV-naïve
13 prior to vaccination. What is key here is that the two formulations induced a nice shift
14 of the curve to the right, reflecting a substantial increase of neutralizing antibody in
15 most volunteers and showed little difference between the low and the high dose
16 formulation in the study. Along with the favorable safety profile, these immune data
17 that were consistent with prior studies provided the evidence to advance our candidate
18 to Phase III clinical development. Next slide please.

19 Our Phase III efficacy trial initiated in February of 2024 earlier this year is
20 placebo controlled and designed to demonstrate the safety and efficacy of this vaccine
21 against upper and lower respiratory tract RSV disease, including severe disease and
22 hospitalization. The presence of efficacy against severe lower respiratory tract disease
23 will also demonstrate the absence of vaccine associated enhanced respiratory disease.

1 I'd like to draw your attention to some key elements of the study design. First,
2 the population of toddlers 6 to 22 months of age eligible to participate in the study
3 includes those with previous receipt of nirsevimab in their first year of life. A subset
4 analysis will generate safety and efficacy data in nirsevimab experienced children,
5 which of course is relevant to the discussion today, but will be very relevant at the time
6 of deployment. Second, we are targeting 50 to 70% of our participants to be RSV-naïve
7 at the time of vaccination. This will allow us to generate efficacy and safety data in
8 those who are at highest risk of vaccine associated enhanced respiratory disease. And
9 third, the children are followed for RSV illness over two seasons generating long-term
10 efficacy and safety data. Next slide please.

11 I'd like to share some aspects of our program, particularly with relevance to
12 safety surveillance. Considering the observation of vaccine associated enhanced disease,
13 we have initiated disease surveillance from our first Phase I/II trial, which has now
14 continued into our Phase III program. This includes both active and passive surveillance
15 for the detection of any RSV in the upper and lower respiratory tract. And in addition to
16 our own safety monitoring team as a sponsor, the program is monitored by an
17 independent Data Monitoring Committee. To date across our program, approximately
18 900 children have received the vaccine and have completed follow-up over at least one
19 RSV season. No evidence of vaccines associated with enhanced respiratory disease has
20 been observed in these children. Now that we've shared the data we have collected to
21 date on this vaccine, how do we see it working with other prevention strategies for
22 children? Next slide please.

23 The SP0125 vaccine targets toddlers to protect them against RSV during their
24 second season and aims to work with nirsevimab, which protects infants in their first
25 year of life. As mentioned before in our program, we will be generating data on the

1 clinical efficacy and safety of the SP0125 vaccine in children who have received
2 nirsevimab. And here is how we see it working in practice. Let's take the example of a
3 baby born in the US in June. They will receive nirsevimab at three to four months to
4 protect them for the whole first season. And at the end of the first RSV season, when
5 they turn nine to 10 months, they will be offered two doses of the RSV toddler vaccine
6 and that is expected to provide protection for the second RSV season. Next slide please.

7 In summary, the development of RSV pediatric vaccines requires careful
8 stepwise age de-escalation studies to demonstrate safety before initiating a Phase III
9 program. Over the last 30 years, NIH in collaboration with Sanofi have taken this
10 approach to demonstrate the safety and potential benefit of live-attenuated vaccines.
11 These decades of research led to the generation of data to initiate Sanofi's SP0125
12 vaccines Phase III efficacy trial. The design of the Phase III study allows us to provide a
13 unique set of data to demonstrate efficacy against severe disease and thereby the
14 absence of enhanced disease over the course of two RSV seasons. To date, no safety
15 concerns have been identified in over 3000 children who've received the vaccine and in
16 900 children followed over one season. We are confident that the development of the
17 SP0125 vaccine addresses an important medical need for infants and toddlers, and in
18 combination with currently available preventive strategies for infants will fill the gap to
19 provide complete RSV protection during childhood. Next slide please.

20 Thank you for your attention. And I'd like to thank the Committee again for the
21 opportunity to present at this meeting.

22 Dr. Paydar: Great, thank you, Dr. Sridhar. Can everybody hear me?

23 Dr. El Sahly: Yes, we can.

- 1 Dr. Paydar: Finally. That's a relief when the DFO phone works. Okay. All right.
- 2 Well, thanks everyone for your patience. I don't see any questions from the Committee
- 3 for any of the OPH presenters.
- 4 Dr. El Sahly: I do.
- 5 Dr. Paydar: You do. Oh, I just saw your hand. Okay, go ahead.
- 6 Dr. El Sahly: Just a very brief question to Sanofi colleagues. A clarifying question. Is
- 7 the Phase III clinical trial now fully enrolled per the sample size calculation at the
- 8 outset?
- 9 Dr. Sridhar: It is not yet completely enrolled.
- 10 Dr. El Sahly: Oh, okay. Thank you so much.
- 11 Dr. Paydar: Great. And I see another question from Dr. Perlman.
- 12 Dr. Perlman: Yeah, I just had a question about the live-attenuated vaccine. What kind
- 13 of studies have been done to prevent or to examine the possibility of reversion and
- 14 recombination so that one gets back [Indiscernible - 1:59:38] virus?
- 15 Dr. Sridhar: Thank you, Dr. Perlman. So, we have done initial studies where we've
- 16 given the children a vaccine and in fact did pairs in a daycare setting to look at
- 17 transmission in a daycare setting as well. And in those studies we haven't found any
- 18 transmission, but we've also looked at reversion and we haven't seen any reversion in
- 19 the vaccine virus.
- 20 Dr. Perlman: Have you monitored for recombination as well?
- 21 Dr. Sridhar: I believe so. I can check and let you know.

1 Dr. Perlman: Okay.

2 Dr. Paydar: Great. Any other questions from the Committee? If not, thank you
3 everyone once again for participating in today's Advisory Committee and for sharing
4 your views and comments. This concludes the Open Public Hearing Session for Topic I
5 and now I hand over the meeting back to Dr. El Sahly. Dr. El Sahly, you could please
6 start the next session.

7 **Committee Discussion of Considerations for Respiratory Syncytial Virus [RSV]**
8 **Vaccine Safety in Pediatric Populations**

9 Dr. El Sahly: Yes. Thank you, Sussan for moderating the OPH. Now is the time when
10 we will be discussing as a Committee and asking additional questions pertaining to the
11 two topics of discussion.

12 The first topic is the more involved one and projecting that it'll occupy the
13 majority of the time. We're allocating an hour and 20 minutes for it, but who knows, it
14 may be a little shorter, a little longer. Sussan, do you mind pulling the first question or
15 both questions on the slide so we can-- Yes. Thank you. So, that's Topic I. I'll read it
16 out loud and in the meantime, please prepare your discussion points and questions and
17 use the raise hand functions so I can call on your name.

18 Please discuss whether the currently available evidence indicates a potential
19 safety concern more broadly applicable to the evaluation of RSV vaccine candidates in
20 infants and toddlers. Please discuss the applicability to: different vaccine technologies
21 (e.g., live-attenuated RSV, viral-vectored, mRNA and subunit.) And b) different
22 antigenic confirmations (e.g., stabilized preF or other RSV protein prototypes.)

1 The second part is: Based on the currently available evidence, please discuss
2 current nonclinical and clinical safeguards, and recommend whether any additional
3 nonclinical and clinical information should be considered and/or precautions taken
4 when evaluating RSV vaccine candidates in infants and toddlers. Dr. Gans.

5 Dr. Gans: Thank you very much. I think this is a very important conversation and I
6 really appreciated the additional information that was provided to us through the open
7 remarks. In terms of what I think really needs to happen is that given that much of the
8 data that we have from previous vaccine attempts is from a time when we didn't
9 actually have the capability to do immunology the way that we can do it now, I think we
10 actually need to go back to what natural disease actually provides in terms of immunity
11 and understand that we still have a lot of circulating RSV, we still have plenty of
12 children who can be categorized as mild or severe, and once we have that, then we can
13 really understand these platforms better. And we know that people who gain their
14 immunity in that way, as their primary immune response, which is the goal obviously
15 for vaccination, would be then protected against more severe outcomes.

16 And I think that is the process that would be very nice with current modern
17 technologic techniques. I loved the data that individuals presented on the live-attenuated
18 RSV models that they're producing, which did dig into that a little bit more. However,
19 it's not showing again the immune responses as it compares to natural disease, but
20 obviously is showing us some CD4, CD8 data and immune profiles that come from that
21 as well as neutralizing antibodies. I think what we've learned from the presentations
22 today is that you do need a balanced response. So, I think not trying to have a Th2
23 response isn't the whole picture. Not only trying to have neutralizing antibodies to one
24 form of the S-protein is the picture. So that's why I think going back to having a really
25 clear understanding of what a good regulated immune response means typically it

1 actually provides many of the different parts of your immune system so that you get a
2 good bump, but then you actually have that turned off. I think it's really important to
3 understand all of that.

4 I think that the profile that we did see just answered the question a little bit. It
5 was concerning for the messenger RNA, and it appears that other individuals who are
6 looking at different platforms actually haven't shown that. I don't know that the issue
7 that we're seeing with that is actually more global and I think each of these need to be
8 taken separately and understand the immunology separately. The other piece of it that I
9 think really needs to be investigated further is the-- Which we heard a lot about the
10 monoclonal antibody and then the immunization. We need to understand maternal
11 immunization followed by infant or toddler immune responses. I think it's really
12 encouraging that we have the ability to passively protect our very young infants and
13 then immunize them when they're confronted potentially with a second season. So,
14 those are some of my thoughts on the question.

15 Dr. El Sahly: Thank you, Dr. Gans. Dr. Monto.

16 Dr. Monto: I think we are confronted by a very complicated situation. We know that
17 passive acquisition of an antibody is protective, highly protective, and does not produce
18 severe disease in any way. We now have a platform which should be only inducing
19 antibody formation, which I think it's pretty much the right antibody, the fusion
20 antibody. I think it's very clear that there is a safety signal and the trials cannot
21 continue, at least in the youngest age group. I don't see this. Based on our
22 understanding and our ability to develop any kind of new markers for severity that we
23 can stop or should stop development of potential vaccines using other platforms because
24 we really don't understand the relationship of the platform to the severity nor different

1 antigenic confirmations. Certainly, the stabilized prefusion would be the one to follow.
2 Therefore, just cutting to the discussion topic, I think this needs to be done on a vaccine
3 platform by vaccine platform basis and to continue with the very careful age de-
4 escalation and pre-infection, previous infection approach, but to do it with great caution
5 and to make sure that if there is a signal it is caught and appropriately handled. Thank
6 you.

7 Dr. El Sahly: Thank you, Dr. Monto. Dr. Kotloff.

8 Dr. Kotloff: Yes. I'll repeat a little bit of what I said before because I think it's so
9 interesting that if you have an mRNA vaccine that makes an antibody to the prefusion F
10 vaccine, you have protection with the monoclonal antibody but not with the antibody to
11 that single protein. So, that to me is very confusing and I feel like it's a message. It's
12 just really a scary slippery slope that we're on because these reactions can be so severe.
13 I actually also wonder if the reactions that we're seeing are in any way related to the
14 kids that we see that come into the ICU that are also off the standard curve, previously
15 healthy kids who get such severe RSV. I think studying those a little bit more would be
16 interesting. But I think for me, the safest path is knowing that maternal antibody and
17 monoclonal antibody are protective. The approach that we heard of giving that to
18 protect kids in the first year, trying to get cheaper antibodies made and then use
19 vaccination for kids after the first year of life to me seems the safest way forward to
20 avoid the safety signals that we don't really understand. Thanks.

21 Dr. El Sahly: Thank you, Karen. And as I'm reading the discussion topic and the
22 discussions this morning, especially with the CDC colleagues and the other presenters,
23 it is apparent that we are in an evolution time and we do not know where the new
24 baseline is going to be. The data from the clinical trials on the maternal vaccine should

1 have data on two seasons so far, probably they are being cleaned up to be presented, et
2 cetera. The nirsevimab had a shortage in the first season. This season may be more
3 reflective of the status quo again, and at IDWeek this year we heard of even more
4 products paralleling the nirsevimab approach that are showing data. So, we are in the
5 coming couple of years in a flux situation to understand where the new baseline is going
6 to be in terms of those most in need of a vaccine.

7 The economic considerations always come up, the cost of healthcare utilization,
8 absenteeism from work for the parents, et cetera. But to me, well what weighs in heavier
9 is really the morbidity and the mortality and understanding where the morbidity and
10 mortality is going to land after all these new measures are in a status quo mode is
11 critical to understanding the risk benefit of how to construct the clinical trial and who
12 should be tested, and what can and cannot be allowed or tolerated I should say. Having
13 said that and hearing the presentation this morning, the manufacturer did what we
14 expected them to do and the data were very reassuring in terms of binding to
15 neutralizing ratio in terms of safety in older age cohorts in terms of Th1, Th2 biases in
16 animal models and in seropositive children who did get the vaccine. It seems that the
17 moment you get into the unexposed infants, the predictive value of all these steps goes
18 down.

19 So, it remains that these infants, these seronegative infants have no animal
20 model that predicts their response to a degree, nor do their older seropositive
21 counterparts predict their response either. That is a conundrum. It is possible that in six
22 months from now, many of the data that we heard are being generated will give us new
23 information and then potentially new paths forward or additional safeguards can be put
24 before reaching those vulnerable seronegatives. But at the moment it's hard to predict.
25 The preF versus postF situation is-- We thought that we would want mostly the preF,

1 but this because of its neutralizing component. But this data shows that probably this
2 does not apply to the seronegatives infants, and there is more to the story there that we
3 don't understand.

4 When it comes to platform, the data and the summary presented from Dr.
5 Karen's paper and the other manufacturers, et cetera, with a live replicating extenuated
6 RSV, I think there has been enough subjects, enough RSV seasons to potentially give a
7 reassurance there that this particular sequential cautious approach may be acceptable. I
8 don't think I've seen data that give reassurance to other platforms or reassurance for a
9 particular path to study the other platforms. So, this is where I think we stand and I
10 really look forward to some of the outstanding data from the immunology of these trials
11 and infants and knowing what happened in the additional follow ups of the other trials.
12 Dr. Ruckwardt.

13 Dr. Ruckwardt: Thank you. You can hear me?

14 Dr. El Sahly: Yes, we can.

15 Dr. Ruckwardt: I want to also thank everyone for really great presentations today.
16 I think this is a really important and interesting topic. I think when thinking about this
17 first question, the A is really where the emphasis is for me. I think with regard to B,
18 we've learned so much over the last 10 years about the importance of preF and
19 optimizing the antigen and what it takes to elicit great neutralizing antibodies. And we
20 know that if you have great preF antibodies, whether it's monoclonal or polyclonal, you
21 can get protection. The problem here becomes more complicated because now we find
22 that even though we couldn't predict in animal models, we can elicit those great
23 neutralizing antibodies. And still in that context, these RSV-naïve infants are really

1 uniquely susceptible to disease, maybe because of some kind of imprinting of cell
2 media immunity, whether it's toward Th2 or IgG4 or a profile like that.

3 I think one of the things that strikes me is there's still so much more to know,
4 and I think there's a lot of unique opportunities here, particularly even in the group of 8
5 months to 24 months where we did see a difference in the response to immunization and
6 haven't really explored how that kind of imprinting is affecting the resultant response
7 post-infection in those infants. Even though they didn't end up with clinical severity,
8 they could end up with a difference in immunity that really should be explored. So, I
9 think there's a ton here to explore both in that very youngest cohort, but also we could
10 learn a lot even in the group where we didn't see disease, but also we saw no protection
11 despite having great neutralizing antibody responses. I think-- I hope we can all take
12 advantage of this opportunity. I think I'm echoing the sentiment of others that I think
13 this is very going to be platform dependent. I'm not worried about the live-attenuated
14 because of the long history of safety there. And I think where we can predict that there
15 would be problems using animal models like for subunit, that's a clear indication we
16 should avoid those types of things. Right? But there's still some question about what
17 may happen with other viral vectors or even other mRNAs if you could in some ways
18 skew the response, skew the way you're directing the cell mediated immune response.
19 Thank you.

20 Dr. El Sahly: Thank you. Yeah, I guess the-- In the animal model and in the older
21 children, the response seemed to have been Th1 with little to no Th2. It's only when it
22 went into seronegatives did the response to the mRNA change or at least give a hint at
23 the change with the dearth of analysis that was done so far. So, I don't know. But I do
24 want to highlight what Dr. Ruckwardt said. And I also didn't mention it. There was not
25 even a signal at efficacy in the seropositives. The incidence was very comparable and

1 understanding that this is a very small sample size, but with that there was no signal of
2 efficacy to speak of. Next is Dr. Piedra.

3 Dr. Piedra: Thank you. I would like to kind of just make a comment, and that is that
4 I think RSV vaccines are going to be extremely beneficial once we understand well the
5 issue of safety and risk in younger infants. Right now, we have nirsevimab, which is an
6 outstanding monoclonal antibody that is providing high levels of protection against
7 severe disease. But I want to call your attention that it's a monoclonal antibody and if
8 history has taught us well, when you use a monoclonal antibody in such a universal
9 format, you need to expect that mutations will occur and that you may develop either a
10 resistant virus or a community resistant emergent virus that will be resistant to that
11 monoclonal antibody. And so, to rely on the monoclonal antibody to provide protection
12 during the first year of life would raise that caveat that infants are an excellent vector in
13 a way that if mutations are to arise, it would be an infant or immunocompromised host.
14 Because infants have long-- First, they don't have a good immune response, but two
15 viruses replicate for many rounds of replication. And that is the way that in an invitro
16 system, you can generate resistance rather readily to monoclonal antibodies. And so I
17 want to bring that to the attention because I don't think we can only rely on monoclonal
18 antibodies forever and being able to protect infants during the first year of life and that
19 we need to think downstream that vaccines will provide broader levels of responses that
20 may be applicable and hopefully safe in the young population. The other comment that I
21 would like to make is: I think y'all all understand that platform matters and not only
22 does the platform matters, but probably the route that the platform is used probably
23 matters as well in the sense of a mucosal application versus systemic application. And
24 those will probably elicit quite different responses. Thank you.

25 Dr. El Sahly: Thank you, Tony. Dr. Perlman. Dr. Perlman, you have your hand.

1 Dr. Perlman: Yes. Sorry. I did. So, sorry. Yeah, so I was just going to agree with most
2 of what was said, but I just wanted to emphasize a couple of points. One is that what we
3 may be seeing may be something that's very difficult to actually investigate because it
4 may be actually occurring in the infant's lungs. So, there may be interactions there
5 between some of the T-cells and other factors that we don't completely understand, and
6 that'll be very hard to investigate, especially since we don't have an animal model that
7 duplicates that because that's exactly when you'd need an animal model. I think that
8 while understanding what happens in the natural infection is really critical also, we may
9 just have to go ahead and answer the first question by saying, yes, continued studies
10 should be done because the results may be quite different from what we saw at the
11 mRNA vaccine.

12 The mRNA response to the vaccine really is puzzling to me because it seems
13 like we got the right response. I mean, there are subtle differences from what we think is
14 perfect, but it looked pretty good. So, I wonder if we're coming to the possibility that
15 was introduced in Dr. Piedra's talk that we actually had an excessive immune response
16 and that the mRNA vaccine may have worked too well or maybe just modestly
17 imbalanced. So all that would be different potentially with different vaccine platforms.
18 So, I think-- I really support going ahead with future studies and future vaccine analysis
19 because not only don't we have a good handle on what's going on, but we may not
20 really get, even in the next year, really great results from analysis of blood samples
21 that'll really help us.

22 Dr. El Sahly: Okay, thank you. I don't think though that what's on the table and the
23 FDA can correct me that no research on new vaccines should happen. It's basically, it's
24 more, I guess, nuanced in that given the identified safety concern, how does it apply to

1 other technologies and confirmations, et cetera, and what additional studies would we
2 want, et cetera.

3 Dr. Perlman: Yeah, I agree with that. It's just that the additional studies, the best ones,
4 may be really hard because it may involve parts of the-- May be assays we really can't
5 do easily. So, I agree.

6 Dr. El Sahly: Okay. Dr. Monto, next

7 Dr. Monto: A point of information. I believe Novavax used a vaccine which was
8 extensively tested without a safety signal, which failed the primary endpoint, didn't
9 have a safety signal and did not use perfusion configuration of the virus. Do we know
10 anything more about that? Because we're all-- It is a different platform with a different--
11 Which didn't produce the right antibody and did produce some protection, but not
12 enough.

13 Dr. El Sahly: Is that the one in elderly, Arnold? Are you referring to the one in elderly?

14 Dr. Monto: No, I'm referencing the one that was in young children.

15 Dr. El Sahly: Okay. Yeah, I'm not familiar.

16 Dr. Monto: It was tested in multiple countries, South Africa where I heard from
17 people there, they thought the protection was sufficient to introduce it, but it failed the
18 clinical trial endpoint and therefore could not be approved.

19 Dr. El Sahly: Okay. Is this data public domain as far as anyone knows?

20 Dr. Monto: I believe they are.

21 Dr. El Sahly: Okay.

- 1 Dr. Monto: It's just a question of mine not being able to speak to this, and I wonder
2 if people from the FDA would be able to give us some commentary about it.
- 3 Dr. El Sahly: Anyone from the FDA familiar with the clinical trial referenced by Dr.
4 Monto?
- 5 Dr. Kotloff: Is this a maternal vaccination or an infant?
- 6 Dr. Monto: No, it was a child vaccination.
- 7 Dr. Beeler: Novafax-
- 8 Dr. Monto: -No, excuse me. It was maternal vaccination.
- 9 Dr. Beeler: Yes. [Indiscernible - 2:28:28] You're absolutely right.
- 10 Dr. Monto: -My mistake. Okay. Sorry.
- 11 Dr. El Sahly: That's been released.
- 12 Dr. Monto: Now you mention it, it comes to mind.
- 13 Dr. Beeler: That one's published. There was no-
- 14 Dr. Monto: That was published. Yes, it was.
- 15 Dr. Kotloff: Yeah. And it's about 40%-
- 16 Dr. Monto: 40%
- 17 Dr. Kotloff: Efficacious.
- 18 Dr. Monto: Right. But no safety signal.

- 1 Dr. Kotloff: Yes.
- 2 Dr. El Sahly: But it was in mothers.
- 3 Dr. Kotloff: In Mothers.
- 4 Dr. Monto: In mothers, absolutely. My mistake.
- 5 Dr. El Sahly: And they met the secondary endpoint, but not the primary endpoint
- 6 Dr. Monto: If it failed the primary endpoint. But I think I really do believe that other
7 confirmations need to be examined. This is such a complicated problem. I don't believe
8 we'll be able to really predict very well what's going to happen in human use.
- 9 Dr. El Sahly: Doctor-- Thank you. Dr. Portnoy.
- 10 Dr. Portnoy: Great. Thank you. Oops. Trying to get my video to turn on. There you
11 go. Yeah. I'm really heartened to hear that we're making so much progress in the
12 development of RSV vaccines. Every year, Children's Mercy Hospital where I work
13 fills up with infants who have bronchiolitis. It's the number one cause of
14 hospitalizations in infants. We're staffing up right now in preparation for this year's
15 event. It's just a major problem. And the fact that we're making progress in vaccines is
16 very heartening to me. I'm particularly interested in risk factors for patients who have
17 either adverse reactions after the vaccine or even develop severe RSV in general,
18 because I'm thinking about the Tucson study where all infants were enrolled in a large
19 cohort and then they were followed over time to see if there were things that predicted
20 who was going to have severe RSV infection. And what they found is that there were
21 certain risk factors that predicted severe RSV infection. Some of these infants actually
22 had increased airway hyperresponsiveness at birth. They had increased evidence of Th2

1 immunology. They had atopic dermatitis, they had a family history of eczema. There
2 were things that predicted it. And those were the infants who had severe RSV disease.

3 And I suspect that those same patients would be the ones who might have
4 enhanced infection after getting the vaccine. And I think it's really important that we
5 look at these risk factors to determine whether something predicts adverse reactions,
6 because if we can identify those individuals and maybe do something different with
7 them, all of the others who don't have those risk factors could go ahead and get the
8 vaccines and not be at risk of having this enhanced respiratory disease. So, I think it's
9 really important that we look for risk factors for this. In fact, I remember one study
10 where our IgE was developed to RSV. The patients literally became allergic to the virus.
11 Maybe that's part of why they had so much trouble.

12 My other concern is whether getting vaccinations to a large population can
13 reduce the risk of spread of RSV. Right now, RSV is so prevalent, everybody gets it.
14 But if enough people become immunized, is it possible to reduce the prevalence of RSV
15 so that you have a lower risk of actually being exposed to it, kind of herd immunity? Is
16 that something that can happen? Do these vaccines reduce the risk of spread or do they
17 just reduce the risk of disease, but you're still spreading it like the way COVID vaccines
18 seem to work? Those are issues that I think need to be explored and looked at.

19 The idea of giving passive immunity followed by active immunity sounds really
20 good. If we want to give injections, and we were worried about a problem with
21 enhanced disease, it looked like the kids eight months and older did fine. It's the one
22 when you tried to go to younger ages. Maybe you give passive vaccination and don't
23 start the active until eight months just to keep it safe. Those are just some of my
24 thoughts.

1 Dr. El Sahly: Okay. Very good. Thank you. Dr. Long.

2 Dr. Long: Yes. Hi. I want to reflect on a few things people have said on-- First of
3 all, the unmet need. 3.9 million infants were protected by either maternal immuno--
4 Were potentially protected by maternal immunization or the more common of them by
5 nirsevimab. And we know nirsevimab efficacy- Effectiveness so far in preventing
6 hospitalizations in the first six months of life is 90%. We don't quite have that data yet
7 for maternal vaccine, but we should have it relatively soon. The early graph of the age at
8 hospitalization that was shown by Dr. Dawood is very important because the risk begins
9 at three weeks of age- two to three weeks of age, and it's most prevalent the highest
10 incidence is at two and three months, and then it begins to fall. And you saw that in the
11 old data by the time you were into your second year of life, 12 months of age, it was not
12 2% who were admitted to the hospital, but it was 0.2%.

13 I really think that we need to have those data looked at again about who are
14 those potentially vaccine candidates that are a little older that could benefit from
15 vaccine because we know that respiratory infections and parental vaccines don't do
16 much for prevalence of the organism or protecting the herd or the community. I think
17 we have to be very honest with what the goal of vaccination would be, and it would be
18 to prevent severe disease as it is now of the monoclonal antibody and the maternal and
19 deaths that are predominantly in the otherwise healthy population and predominantly in
20 the first 6 to 8 months of age. So, we would have to see if these vaccines-- I think it's
21 going to be a very long time before these vaccines could be potentially given to very
22 young children. And even if they were very young, I mean it would almost have to be
23 newborn to protect that early group. I do believe that we will have a long and beautiful
24 history with nirsevimab.

1 We did some due diligence about resistance. And of course we don't have a
2 whole birth cohort having been given nirsevimab, but there was not a significant
3 increase in resistance at the end of the children, the infants on monoclonal antibody
4 experience. And monoclonal antibody as opposed to maternal vaccination goes well
5 beyond six months. They only filed for six months. They only gave data for six months.
6 But we know by looking at the decay of antibodies from nirsevimab, it's different from
7 maternal, but from nirsevimab, that would go well into the second half of the first year
8 of life.

9 The other thing that we didn't even talk about today, that is another oddity of
10 RSV vaccines is-- Well, first of all, it only protects probably for six months. But the
11 second part of it is that in older US citizens who were studied in the early groups before
12 licensure of the vaccines in adults, they did not boost at six months. They did not boost
13 at 12 months. They did not boost at up to 24 months. So, there is a bizarre second kind
14 of a problem with the RSV vaccines that we have to date, that they have an unusual
15 immunologic handling that would make me concerned.

16 And then for the attenuated, God love them. It sounds like a great idea, but it
17 would be very difficult to figure out how old an antibody protected baby would have to
18 be before you would be able to give a live-attenuated. And then would that have enough
19 clout in preventing enough disease?

20 The last thing I'll say is what we learned during the COVID experience was that
21 there was a dearth of RSV infections and all the studies were very under populated
22 because there just weren't any hospitalizations for RSV. And what we learned in the
23 year after that is, although there was a surge in those immunologically indebted babies
24 who hadn't got their primary infection when it was occurring after the age of 8, 9, 10,

1 11 months, it was not as likely, even though it was primary, it was not as likely to lead
2 to hospitalizations or severe disease. So, I think we need to re-look at all of that so we
3 would understand the benefit as well as the potential risks, which are really, really
4 something to think about now that we've seen the data today that they're not
5 predictable.

6 Dr. El Sahly: Thank you. Thank you, Dr. Janes.

7 Dr. Janes: Thank you. Thank you, Hana. I wanted to reflect on the questions asked
8 here, agreeing with others, it seems very clear to me that for the Moderna vaccine data
9 that were shown today that there is evidence of a safety signal. So, I'm reflecting on the
10 second question of what safeguards- what additional safeguards might be put into play
11 as additional vaccines are investigated going forward. And I think the Moderna
12 experience really highlights what was done well in this program and the importance of
13 randomization and blinding and a placebo control, and the importance of essentially
14 continuous safety monitoring to detect an adverse safety signal as soon as possible. And
15 again, it seems to me that those were done very well in this situation and obviously
16 should be carried forward to any future vaccine programs and vaccine research.

17 The only other attribute of the design that I could think of as it's been
18 highlighted, we really wish we could understand better what participant characteristics
19 and immunological features would predict the development of a vaccine-associated
20 severe disease event. And one of the barriers to doing that investigation in the studies
21 that we were talking about today is that a number of the adverse events happened before
22 the blood draw to measure the vaccine induced immune response. I'm just wondering
23 whether in future studies there would be value, at least in some participants, in
24 staggering the immunizations relative to the RSV season so that the vaccine induced

1 immune responses could be measured and deeply interrogated before any clinical events
2 occurred. And I don't know the practical considerations around that. Thanks.

3 Dr. El Sahly: Thank you, Holly. Dr. Monto.

4 Dr. Monto: It may be off the topic, but is the fact that this is being, the severe
5 illnesses on challenge are occurring with the human metapneumovirus, is that telling us
6 anything? Is that something that it's a virus that we know very much less about and one
7 which we do not have passive immunization available? Should we be concerned about
8 that in terms of this particular platform?

9 Dr. El Sahly: --I would think so. I mean, three out of 27 vaccinated with hMPV would
10 be off the charts when it comes statistically speaking to historical experience. Right?

11 Dr. Monto: Yeah, it sounds like it. And it's something that's quite troubling.

12 Dr. El Sahly: Yes. And there also there is less information because these cases, as I
13 understand, came in later, so we know even less on their immune response and
14 antibodies, et cetera.

15 Dr. Monto: Right.

16 Dr. El Sahly: Yeah. Okay. Dr. Malloy. Is someone from Moderna trying to answer
17 something?

18 Dr. Snape: Yes, if possible. Just to interject. Matthew Snape here at Moderna. I
19 thought it would be useful to comment on the hMPV cases and to remind the
20 committee that hMPV is another pneumovirus. It's very similar to RSV as a virus, and
21 the F proteins themselves have a lot of similar characteristics. So, there's some overlap
22 there, but it also does potentially give us the opportunity to explore baseline immunity

1 before these children got sick. Because as was being mentioned before about staggering
2 RSV seasons, in effect, we have created a staggered season here because we've had an
3 RSV season and now we've had an hMPV season, so we will have immunogenicity
4 data at one month after the second vaccine before the children got sick. It's just that we
5 don't have that data yet. And that will allow us to interrogate what baseline immunity
6 looked like in the children that got sick and those that didn't get sick, and see if there's
7 any difference there.

8 And just to say one more thing, it's about the distribution of cases. There were
9 three in the RSV hMPV participants, and there was actually one co-infection with RSV
10 and hMPV in a placebo participant just to, whether that would be RSV or hMPV that
11 made them sick is obviously it's hard to tell.

12 Dr. El Sahly: So what is your best estimate on the ETA of all these data? Are we
13 talking three months, six months?

14 Dr. Snape: The hMPV data will be weeks. Yeah.

15 Dr. El Sahly: Weeks and then everything else that, you know--

16 Dr. Snape: Weeks for availability. And then we need to analyze it and QC and all
17 those kinds of things, of course. But I think in general we should be thinking 2025 for
18 these data coming through.

19 Dr. El Sahly: Okay.

20 Dr. Snape: Hopefully early rather than later.

21 Dr. El Sahly: Alright, thank you. And I don't know, this is something to the FDA
22 colleagues, is that depending on what this data show when analysis is done on these

1 cases, it may inform the answer to the discussion topic a little better, right? There's
2 quite a bit of unknown so far. I'll go back to Dr. Malloy. Thank you for waiting, Dr.
3 Malloy.

4 Dr. Malloy: Hi. I just wanted to say that we know that RSV is very much a mucosal
5 infection, whereas influenza and SARS-CoV-2 tend to infect outside the mucosa. And
6 as we think forward, the data so far suggests that mucosal antibodies and mucosal T-
7 cells can be supportive in protection against infection. And the consideration for adding
8 some of these to our guidelines for how we're deciding safety and efficacy for some of
9 these vaccines would be fantastic. And so, while T-cell in the mucosa are difficult to
10 test, we've gotten so much smarter at looking at mucosal antibodies for both IgG and
11 IgA and adding those to platforms for how we're designing safety and efficacy
12 endpoints might be really useful for these vaccines as they move forward. And even in
13 the idea of nirsevimab, if we can look at what the mucosal response is, do those kids
14 that do well with nirsevimab have a higher mucosal immune response or antibody
15 response would be really helpful. So, taking that as a sort of place where we might gain
16 some more information about how we would look at the efficacy and safety of some of
17 these might be really useful in these platforms that we already have and endpoints that
18 we could already look at.

19 Dr. El Sahly: Well, that depends on whether the sponsor collected nasal washes or
20 nasal swaps or--

21 Dr. Malloy: Yeah.

22 Dr. El Sahly: Thank you. Dr. Berger.

1 Dr. Berger: Thanks. I just wanted to follow up on Dr. Jane's comments and really
2 focus on question two a little bit, which is asking what additional safeguards need to be
3 put in place. I want to really stress the monitoring here worked. This is exactly what we
4 expect and want to have happen. The safety signal was identified, the sponsor halted the
5 study. This is exactly the type of safeguards you want to see happen. So, I'm not
6 necessarily sure that there's additional safeguards as opposed to additional evidence
7 needs. As Dr. Gans was noting on the front end of this session or this part of the session
8 itself. I do think it's important to understand what that evidence base is around natural
9 disease so you can have that comparator for understanding the immune response that's
10 elicited naturally versus vaccine induced. I think all of that, just taken together, I think
11 what is already in place is working. I think we just don't really have a good
12 understanding of the mechanism that is driving the safety signal.

13 That said, I mean I do think there's a couple of good things that I just want to
14 highlight. It looks like there's a possibility of having a vaccine that could be developed
15 here. We see at least in "1a" live-attenuated doesn't seem to have any of these issues.
16 That's a good sign for development processes. What kind of prompted me to ask the
17 question I asked earlier in the day though, is about those other 11 clinical development
18 programs. And I think this might be the one area that could potentially be more helpful
19 for us because it's great and I'm thrilled to see that Moderna came forward with this and
20 has been willing to be so open about the study itself. Not understanding what's going on
21 in those other 10 though it's hard for me to make a judgment that it's a platform issue,
22 and so I can only make a judgment based on the one study I'm seeing.

23 I think it'd be great to have some additional measure that allows for better
24 understanding while those studies are ongoing, if they're seeing signals that we can
25 combine. Because I get the sense, at least from the way this question is phrased in "1a",

1 it's looking at technologies and mass, and I don't think we can make a generalized
2 answer to a technology based on just having one study. I fully agree there's an absolute
3 safety signal here and the steps that we're taking I would support, but I'm not sure I can
4 actually apply that to all mRNA programs. So, going back to what Dr. Monto had said,
5 Monto had said early on, I think we do have to look at these at a platform basis, but at
6 this point I think we need to look at them at a platform basis and a per clinical
7 development program basis unless we can get better coordination of being able to get
8 evidence from those other programs that are in developments. And I recognize that
9 there's issues in terms of confidential information sharing and whatnot, but I do think
10 that's the one gap that I'm missing to be able to answer "1a" well, which is that
11 generalized vaccine technology platform question. So, thanks.

12 Dr. El Sahly: But would you agree, Dr. Berger, it's that the predictive model that we
13 are using, which is largely based on what happened with the formalin inactivated
14 vaccine and how we understand the immunologic basis of the enhanced disease there.
15 So, we took what we learned from that particular incident and we applied it and
16 established the safeguards whereby all other platforms should move, right? age de-
17 escalation, Th1, Th2, the histopath upon rechallenge, all of these safeguards were put in
18 place. Looks like the vaccines are passing these initial safeguards and however, once
19 they come to the relevant population, it seems that these safeguards did not really
20 predict the outcome. You know what I mean?

21 Dr. Berger: Yes. And I think that's why I was noting earlier and agreeing with Dr.
22 Gans's points. We need better evidence about what's actually driving the
23 immunological response. The general safeguards that are put in place around the clinical
24 studies themselves seem to be working. We're identifying that there is a safety signal
25 and halting the study. What we don't have a good handle on is the evidence-based or the

1 evidence that's driving that ideological difference here. And we take what we're
2 learning from the past, but I think we are missing a bit to understand what's going on
3 currently with these newer types of technologies that are being employed. I'm just not
4 sure I can apply it across the entirety of the technology. I don't know if all mRNA
5 programs are all going to have this problem or not. That's really my point that I'm
6 trying to make. I don't know if I can extend that to all of a specific technology as
7 opposed to a particular clinical development program until we have better evidence
8 around it. That's why I'd like to see better coordination or collaboration around driving
9 that evidence base between clinical development programs and also having a better
10 evidence base about what's actually happening in response to natural disease so we can
11 understand the vaccine-induced immunology.

12 Dr. El Sahly: Karen, if you can wait just one second because we have two experts who
13 can speak to the natural infection, immune responses and answer, potentially, some of
14 Dr. Berger and Dr. Gans questions. We have Octavio Ramilo and Tony Piedra. If I can
15 ask one or both of them to weigh in on gaps in knowledge pertaining to protective
16 immune responses after natural infection. We have Tony and we have Octavio.

17 Dr. Piedra: Octavio.

18 Dr. Ramilo: You go for it, Tony.

19 Dr. Piedra: Sounds good. So an area that was mentioned that we have basically very
20 little knowledge of is resident T-cells and what's happening in the lungs or in the
21 mucosa. We don't have evidence or good information on infants or young children
22 following RSV infection. We have it more on adults who undergo the experimental
23 challenge with RSV. But this is an area that is, I would say, needed to better understand
24 down the road. We are getting now with newer technology, a better understanding of the

1 type of antibody repertoire that infants develop with primary infection and is oftentimes
2 age dependent and whether it's under or after the umbrella of maternal antibodies. And
3 nirsevimab is going to be, I think, a very important question to address as well where it
4 may look different from maternal antibodies, even though maternal antibodies are going
5 to be high in antibodies that target the prefusion sites.

6 So, I would just say that in infants, the antibody response and repertoire is quite
7 different from that of an older child or an adult and you don't have the same level of
8 avidity and you don't have the same broad repertoire that occurs in individuals with
9 repeated infections. And so with that, the cellular immune response, I'm less informed
10 other than what I read and Octavio can probably shed additional light. What I will say is
11 that the innate immune response, we know a bit of the type of response that we see
12 following infection in infants and toddlers. Octavio has developed a bit of that
13 information we have as well. And it kind of goes initially against the paradigm that a
14 very, let's say robust or exaggerated cytokine response was detrimental. What we have
15 observed in others is that an early robust response- Cytokine response that is associated
16 with innate immunity actually plays a favorable response for the host in, I won't say
17 clearing viral infection, but in ameliorating disease severity.

18 Dr. El Sahly: Thank you, Tony. Octavio.

19 Dr. Ramilo: Thank you for the opportunity. My name is Octavia Ramilo. I work at St.
20 Jude Children's Research Hospital and our research group has been working on RSV,
21 especially in infants for the last 25 years. So, thank you for the opportunity to contribute
22 to the meeting. We have a very incomplete understanding of RSV immunity despite 60
23 years of research. I've been brought by the DSMB committee to help understanding
24 these findings. So, I've been exposed to this data for the last month and a half. And

1 obviously the first thing is being humble because everything we thought we knew about
2 how to make a vaccine and how to leverage the animal models to understand what
3 happening in kids, it was incomplete. That's very important. And the history of RSV
4 has always been like this. We take us-- Unless we do a very scientific driven approach,
5 our ability to protect and develop protected aspects against RSV has been very limited.

6 Now, if I may, it's important that we use markers of protection, pref antibodies
7 and neutralizing antibodies, but we measure them in the blood. The virus infects the
8 upper respiratory tract. So, Dr. Malloy mentioned we should focus more on what
9 happens when the virus infects through the mucosa. And I think it's going to be very
10 important. It has been suggested by a number of the Committee that maybe a suggestion
11 that is not too complicated is to include measuring of mucosal antibodies in the context
12 of these vaccine trials. We know, we haven't published this yet, it has been presented in
13 meetings, that young infants we can detect in the mucosa maternal antibody, it's totally
14 IgG, it's not IgA. After they develop the response, the IgA is really high and the IgG as
15 well in the mucosa, but this is age dependent. My colleague Dr. Mejias and I have
16 studied very carefully the age effect on development of antibodies in the natural
17 infection and in the first few months of life, the response is very weak. It begins to be a
18 bit sustainable, I'm talking about preF and neutralizing antibodies, after six months with
19 natural infection.

20 So, it's going to be difficult because the vaccine, whether it's mRNA vaccine or
21 live-attenuated vaccine, we want to be better than the natural infection. And because the
22 natural infection is really bad. Looking at the innate immunity, we found out that the
23 innate immunity you are under six months or after six months is totally different. The
24 interferon response is so limited that number one under six months, it does not protect
25 the acute situation, but probably does not help telling the B cells what to make a more

1 productive and effective response. The third, Tony alluded to the paradigm of
2 understanding how viral replication and mucosal cytokine response protect or not
3 protect. 25 years ago, we were convinced that the kids who got in the ICU were hyper
4 inflamed. Now, there is a lot of data suggesting that actually the innate immune
5 response is weak or disorganized.

6 We learned that if you have a lambda interferon or IP-10 in the mucosa, you're
7 well protected. But if you have IL-6, you tend to be more hospitalized. So,
8 understanding how the regulated immune response works and how our vaccines can
9 develop such a protective response is going to be very important. I really encourage that
10 we incorporate more immune profiling for me to say from academia and what is doable.
11 Because the challenge is how we enroll kids and we are not too aggressive about
12 collecting too many samples, but maybe in the mucosa it can be done.

13 Finally, there's another paradigm that Dr. Piera has seen and we have seen that
14 when we think about viruses and we talk about CMV, HIV, hepatitis C in the blood, the
15 correlation between viral titer and disease severity is clear. That's not the case in RSV.
16 We see that kids hospitalized with RSV have lower viral load than kids who are
17 managed as adult patients. So, it's another paradigm understanding how viral
18 replication, the immune protection in the mucosa, I mean the immune response to the
19 mucosa, both innate and adaptive, play a big role.

20 I think there are a lot of gaps in our knowledge and, if I can complain, there's
21 very little NIH funding to study RSV natural infection for the last few decades. Some of
22 the members of the committee will [Indiscernible - 3:00:21] that. So, my advice to all
23 the colleagues who are passionate about developing an RSV vaccine, and it's important
24 to remind that from six months to two years, after six months you can have half the

1 hospitalizations. And I mean Dr. Long was alluding what is the landscape. And you too,
2 Dr. El Sahly. And I think it's important to realize that a lot of the morbidity that occurs,
3 even if it's not hospitalization, is very real and causes long-term implications for their
4 way and long-term sequela. So, I don't think we should be happy to just prevent
5 hospitalization. Thank you for the opportunity to contribute to this meeting.

6 Dr. El Sahly: Thank you, Dr. Ramilo and Dr. Piera. Back to Karen.

7 Dr. Kotloff: Okay, thanks. Thanks, Hana. I just wanted to emphasize that there seems
8 to be a green light if I'm understanding it for the live-attenuated vaccines. And I think
9 one very important step is that we need to have a good safety base for kids who are
10 seronegative that don't come in with good immunity from their moms because we may
11 have a false sense of security. And then also, I think it's true that the guardrails worked
12 in terms of detecting the severe cases, but they didn't work obviously in predicting what
13 those severe cases are. And I think that that should be an area-- And I think we just
14 heard about that and it was really good to hear about what we know about pathogenesis.
15 And I do think that we learned for pertussis that there's a very characteristic pertussis
16 lung that's associated with mortality, very characteristic histopathology. And I do think
17 that the kids who die from RSV are also different and understanding the immune profile
18 in response to vaccination and in response to infection, better understanding that would
19 be very important in helping us to understand the safety of vaccines.

20 Dr. El Sahly: Yes, great point. Thank you, Karen. Dr. Gans.

21 Dr. Gans: Thanks everyone for this amazing conversation and Octavio for coming
22 on and sharing with us the immune responses. I just wanted to caution people to be
23 very-- Again, I feel like we can access antibodies and we do them very well. They're
24 not the whole story. And if we're going to get anything that actually is an immune

1 profile that we really want to see, it has to be robust. There is data that even in the
2 presence of maternal or any passive acquired antibody, that T-cell function is actually
3 fine, while there may be differences in humoral immunity. So, there's a little bit of a
4 disassociation, and we can't just say that because we have one, we actually have the
5 other. I think we need to be very careful in infants how we're looking at both of the
6 arms. Well, including innate immunity as Octavio has really well identified because all
7 of these are limited in different ways. And I think it's very much the way that natural
8 immunity is supposed to be acquired over time so that it's not actually too robust. It
9 doesn't cause tissue damage. It's limited in a way that actually is probably pretty
10 biologically sound. And so we have to be very careful about that.

11 But I also just want to dispel the notion that you can't immunize infants in the
12 presence of passive antibodies. I mean studies that have been done in the context of that
13 and the developing world have actually shown that, for instance, measles immunization,
14 same type of process that would inhibit humeral immunity, actually is a survival
15 advantage for those infants, not only in terms of measles disease but just overall
16 survival. So, there are many ways of looking at this and I think we need to not-- We
17 know that antibodies can prevent the virus from actually attaching to the cell. We know
18 it's good for the extracellular. These viruses have many different compartments that
19 they actually infect, and we need the full immune system to actually be present for us to
20 actually be able to handle these viruses.

21 I just want us to be comprehensive rather than myopic about not only spaces,
22 we've already said there's lots of lymphoid tissue that's within our respiratory tract. We
23 should be able to do nasal washes on children who are in, if we want to look at
24 immunity, we can do these now on small sample sizes. We've really progressed in our
25 ability to do that. We don't always have to just work with PBMC. I think there's just a

1 lot of also immunologic diagnostic advantages that you can do on small samples and
2 that shouldn't be restricting us at this point in time. I really appreciate the conversation.
3 I just wanted to add those points.

4 Dr. El Sahly: Great. Thank you. Dr. Nelson.

5 Dr. Nelson: Yes. Thank you for giving me the opportunity to comment on these
6 discussion items and be a part of this conversation. It's been very enlightening. And
7 also put a plugin for the appreciation to Moderna for being so transparent and
8 volunteering to present their data to this Committee for their adoption. What I would
9 like to state is that I too agree that the system worked, the safety signal was reached, a
10 proper pause was put in place. I'm not totally convinced that the finding of the safety
11 signal means that the signal is real, and the reason is that for some of the reasons that
12 have been just articulated over the last hour, we haven't achieved enough understanding
13 of the exact immune response of study participants. And in particular, I don't think
14 we've learned enough from those who experienced the severe adverse events and some
15 more attention to be investigating what happens to them in real time, I think could be
16 incorporated in future clinical trials as well.

17 So, the safeguards that this Committee and the FDA recommended and put in
18 place actually I think did work. I think we need to put a little bit more emphasis on the
19 understanding of host factors. I mean, this is a unique situation and with RSV age de-
20 escalation, we were actually headed into a headwind of Th2 bias, which we know exists
21 as most prominent in the younger age groups. In addition, I noted that for Part B of the
22 Moderna trial, it was conducted entirely in Panama. And we do know that there are
23 ethnicities, genetic and epigenetic and environmental social determinants and health
24 factors that can impact the immune response of individuals. Yet I don't think we've

1 understood or even asked the question about the participants in these trials and how
2 generalizable they are. That's not at anybody's fault, but should be a future thought
3 process as we conduct and design future clinical trials. I think there's the opportunity to
4 learn more about our participants in these trials before the intervention and to certainly
5 assess in more detail what happens afterwards.

6 I'll put in one other plug on 1.1a with respect to platform. As an Allergist
7 Immunologist, I'm always concerned about our population that has inborn areas of
8 immunity and primary immune deficiency. So, yes, newborn screening has certainly
9 unearthed and identified or diagnosed kids at a much earlier age, but there are multiple
10 conditions that don't come to life until later. Most live vaccines with the exception of
11 rotavirus, are held off until one year of age. As we look to introduce live viruses into
12 children below the age of one, that risk to this undetected population does increase. So,
13 really identifying the proper risk factors and being able to screen out those at risk from a
14 live-attenuated platform, I think it's going to be essential. Thank you.

15 Dr. El Sahly: Thank you. Clarifying question to Dr. Connelly or to Moderna. Are all
16 the severe cases in Panama? I thought that was the hMPV and the other ones were
17 mixed.

18 Dr. Snape: It's Matthew Snape here. I can talk from Moderna. All of Part B-- There
19 were 81 children recruited to Part B. 80 of those were in Panama and one was in the
20 UK. And so all of the children that got sick were in Panama given-- In B. This was
21 planned as an international study, I mean as many of these vaccine studies that are done
22 for seasonal viruses are planned to be done across hemispheres. We're going to be
23 recruiting-- To respond to one of the questions earlier about them all being in Panama,
24 actually, we actually had approvals to be doing this study in eight different countries as

1 it happened the way the RSV seasons worked, that Panama was the one that was in the
2 best place to recruit at that particular time.

3 Dr. El Sahly: Okay, got it. Thank you. Dr. Meyer.

4 CAPT Meyer: Yes, thank you. I agree. This has been a really great discussion, a lot of
5 great points by my colleagues and I don't have too much new to add. I just kind of
6 wanted to summarize my thinking around this issue. I think my takeaways from today
7 are that we had some very well thought out safeguards put in place that were based on
8 prior experiences of vaccine candidates. Multiple global groups looked at this issue, all
9 came to kind of similar recommendations around how to study these vaccines. This was
10 a very well thought out process. But again, as others have pointed out here, we are
11 talking about a safety signal and we don't really understand the mechanism why. So, for
12 me, it makes it very difficult to comment on the second question about what additional
13 safeguards or what new ways to study this we can put in place without really
14 understanding what we think may have happened here or why the safeguards we put in
15 place didn't necessarily predict severe outcomes.

16 So, I'm really hopeful that some of the additional investigations that Moderna
17 has discussed can shed some light on this and some of the other studies that my
18 colleagues have recommended. For me, it makes it very difficult to really comment on
19 that one.

20 In terms of the first question though, I mean I'll add my input on this. I do think
21 we saw some data presented today during the Open Public Hearing around the live virus
22 vaccines. And I think if I understood our Sanofi colleagues correctly, thousands of
23 children have already received a vaccine in those trials and not found a safety signal.
24 And I found that reassuring because where we have detected safety signals, it's been in

1 pretty small numbers of kids. And so, I think that was good signs that we can develop
2 that there are different vaccine technologies that may have different outcomes. I think I
3 would agree that we need to look at these and yeah, that's what I'll add at this point.
4 Thanks.

5 Dr. El Sahly: Great, thank you. I'm going to go through the participant list, make sure
6 I heard from everyone and if I didn't, I'm going to call your name, sorry. That would
7 be-- Where's the list? Okay, so that would be Dr. Offit and Dr. Bernstein. We didn't
8 hear from either. Did I miss someone else? No, I think everyone else weigh-in.

9 Dr. Offit: Sure. You want me to comment? Can you hear me okay?

10 Dr. El Sahly: I can.

11 Dr. Offit: Yeah. So, I agree with what's largely been said. I think the frustration in
12 this is one, this involves a handful of children. Two, the things that were in place that
13 we felt were predictive regarding formal-- Formalin-inactivated vaccine, or formalin-
14 inactivated whole virus. And this sort of pre versus postfusion protein doesn't seem to
15 apply here. So, we're not sure what applies here and I'm not sure how much we're
16 going to learn moving forward. We certainly were right to stop the trial. So, having
17 stopped it, I'm not sure how much we're going to learn moving forward. It is a little
18 frustrating. I mean, it is possible. This was brought up by one of the commenters that
19 this is just a spurious association. I mean we in the rotavirus vaccine trials for example,
20 which was a prospective placebo-controlled trial that involved 70,000 children, there
21 were nine cases of seizures in the vaccine group two in the placebo group, which was
22 statistically significant but didn't hold up. And there were five cases of Kawasaki's
23 disease, the vaccine group, and none in the placebo group that was statistically
24 significant but didn't hold up.

1 So, sometimes there's a tyranny of small numbers, although of interest there
2 were five cases of arm and leg fractures in the placebo group and none in the vaccine
3 group, which is to say the rotavirus vaccine prevented arm and leg fractures. I don't
4 think Merck got an indication for that. But in any case, this is the problem with small
5 numbers. So I do, I'm a little frustrated by the fact that one, I don't think it's clear what
6 the pathogenesis of this is, and two, it's not clear to me how well we're going to learn it
7 moving forward. But that's all.

8 Dr. El Sahly: Thank you Paul. And Dr. Bernstein, if you don't mind.

9 Dr. Bernstein: No, of course. Sorry about that. But first of all, I thought, well the
10 presentations and the discussions were quite educational for me. I thank all the speakers
11 and people around the table. I mean this all seems like an incredible conundrum with
12 lots of unanswered questions remaining. So, lots to still learn. Indeed there does appear
13 to be a true safety signal in young children. And I did wonder, just as Dr. Nelson and
14 you, Dr. El Sahly, said. I wondered about the fact that all the children were from
15 Panama and not in the United States or elsewhere. It does appear we need more studies
16 of potential vaccine candidates by platform and pediatric age groups and by more than
17 one RSV season. It's particularly confusing to me what the additional benefit is for a
18 pediatric vaccine in children under a year, given the availability of antepartum RSV
19 vaccine and nirsevimab.

20 On a related topic, I think it'll really be important to determine how vaccination
21 of pregnant women with each pregnancy as we do with Tdap impacts RSV
22 epidemiology in young children. And I was concerned about the addressing of
23 decreased monoclonal antibody effectiveness with the possibility of mutation as one of
24 our colleagues mentioned. And I guess I'll end with the fact that this meeting and its

1 presentations, and all these robust discussions highlights very well how valuable science
2 is in making an incredible difference in public health. And we hope that that message
3 comes across loud and clear going forward. Thanks.

4 Dr. El Sahly: Thank you. So, I think-- Any other hands? Dr. Monto.

5 Dr. Monto: I will follow up with Dr. Offit's comments. Being an epidemiologist, I'm
6 always afraid of making conclusions from small numbers. However, we also look at
7 biologic plausibility and when you see this kind of situation with both RSV and human
8 metapneumovirus, I think it's plausible that this is a real event. Now, we're not-- Given
9 the fact that our predictive models haven't been working, the problem is either we go
10 forward very carefully with clinical trials where we may be able to get an answer or we
11 continue to observe natural infection in which over 50 odd years we haven't really been
12 able to identify anything that would help us in answering the questions that are currently
13 being raised. And that's one of the reasons why I believe that it is important to continue
14 and to cross pollinate, as Dr. Berger said, so we get some better predictions of what will
15 happen and gather numbers. So, we're convinced that they are happening and we do not
16 just shut down programs over the current findings, real though they are. Thank you.

17 Dr. El Sahly: Thank you, Arnold. But we have to-- I mean, shutting down programs of
18 course across the board is not the goal and that's why we're meeting,

19 Dr. Monto: I know that's why I'm saying this because we heard from Moderna that
20 they are changing their goals.

21 Dr. El Sahly: Yes, but the other, on the flip side, there's the issue of risk benefit human
22 subject.

1 Dr. Monto: I understand. The dilemma is that we don't know how severe the severe
2 cases would be if we continue to evaluate the vaccines. So, it's a very hard decision and
3 that's why we're being asked the questions that we're being asked.

4 Dr. El Sahly: And the final comment is from Dr. Janssen before I try to summarize the
5 discussion

6 Dr. Janssen: As an epidemiologist also, it's a potential safety signal, but I think it's
7 absolutely the right thing to do and not at all surprised by Moderna's decision. The
8 question is how did the other programs get off clinical hold. And I really haven't heard
9 anything offered different that would allow them to get off hold unless the FDA decided
10 to go ahead platform by platform. Also, I think the other thing to consider is the route of
11 administration may matter as was mentioned earlier. I think the one thought I have, I'm
12 an adult physician, I'm not a pediatrician. I haven't done enough studies XUS to
13 comment on medical care in Panama or other countries. But potentially it would be
14 important for FDA to require studies being done under IND, to be done in the United
15 States with access to children's hospitals. It's the only thing I can think of without
16 adding anything else here.

17 Dr. El Sahly: Thank you so much. Okay. So this was a rather involved and very
18 stimulating discussion to a very, I guess, vexing question, given the small numbers, the
19 data being not finalized in terms of the evaluation of these adverse events, et cetera. The
20 sense of the Committee that these potential safety signals, although small in numbers,
21 however, RSV associated severe LRTI and hMPV severe associated LRTI in an
22 hMPV/RSV vaccine is rather compelling as opposed to the fracture or the Kawasaki.
23 So, the potential safety signal, especially in the history of the 1960s is rather compelling
24 that the signal is likely true but not final, but likely true and should have been addressed

1 with the urgency that it was addressed with in terms of the sponsor and in terms of the
2 FDA.

3 The Committee after review of the data of the mishap or the tragedy of the
4 1960s, the predictive model was followed however, did not really predict what the
5 outcome would be once the vaccine moved into the seronegative infants who do have a
6 predilection of differing immune response with potentially a Th2 bias in general. What
7 does it mean for different vaccine technologies? Certain technologies like the live
8 attenuated have a track record in the thousands already and within various, I guess,
9 minor changes to the constructs and in various medical institutions or centers. So, there
10 is a reassurance that potentially it can carry its own weight, so to speak, given the
11 existing data and moving it forward would be, I guess, less anxiety provoking than
12 subunit vaccines.

13 Subunit vaccines have been tested in humans of all ages, whether it's the
14 recombinant prefusion, the one that is just recombinant F, there were no safety signals
15 in adults. A couple are already licensed, but to my knowledge, none were in
16 seronegative infants. How do the events of the last few months change what needs to
17 happen for these particular vaccines to go into that sliver of the population? I am not
18 sure. However, maybe the additional data that is forthcoming from the collected
19 samples can guide-- If they do shed light onto what was different in the immune
20 response there. Different antigenic confirmations are a little harder. The stabilized preF
21 is the one that is in the constructs under consideration today. And it didn't seem to
22 predict the preF/postF ratio was of course in favor of the preF, but it didn't seem to have
23 abrogated this particular signal. And based on the currently available evidence, when it
24 comes to the clinical information, for example, the clinical trials that will be resuming,
25 I'm assuming at a minimum they're replicating ones, the safeguards in place seem to

1 catch such an occurrence. I'm pretty sure all the DSMBs of those trials are on the
2 lookout, even more so now. But what additional nonclinical information should be
3 considered is unknown at this phase. It is something that potentially can be amended
4 once more data from these infants and toddlers are forthcoming.

5 And then we touched upon the new lay of the land, which is to understand the
6 risk benefit which all RBS need to know going forward when they review these vaccine
7 studies. We commented that this is an evolving field and our colleagues in the CDC,
8 and colleagues in the FDA who will see the subsequent seasons data from the clinical
9 trials also will be analyzing those data. And this will also be informative of the risk
10 benefit going forward. Did I miss any major or- Major issues? There were a whole slew
11 of other great ideas along the way, but these I think are the highlights of the discussion.
12 And with that, I'd like to move to Topic II or question 2. Topic I. We have, but just so
13 everyone knows, we have 31 more minutes.

14 Sequential administration of RSV monoclonal antibodies followed by RSV
15 vaccines in infants and toddlers. Please discuss whether currently available evidence
16 suggests potential RSV mAb, such as nirsevimab, and there may be more coming down
17 the pike, RSV vaccine interactions that may affect active immunization in infants and
18 toddlers. And: Based on the currently available evidence, please discuss and
19 recommend whether any additional factors and data should be considered when
20 evaluating RSV mAb - RSV vaccine interactions, including potential impact of
21 administration of RSV mAb on safety and or effectiveness of subsequent parental or
22 mucosal administration of RSV vaccines.

23 I invite everyone in the Committee to use the raise-your-hand function to
24 comment on this particular question. Okay. We have first Dr. Gans.

1 Dr. Gans: I guess I didn't fully realize there was a full question on this. Sorry to not
2 prepare because I think we've already discussed it a little bit. So, I think--

3 Dr. El Sahly: Maybe some additional thoughts or--?

4 Dr. Gans: Yeah, so I think that one of the important components that comes into
5 play when we're thinking about any kind of passive immunity and then trying to elicit
6 active immune responses to a vaccine is that we need the full picture. So, I think we've
7 alluded to not having a complete understanding of immunity under any of the conditions
8 in which we're sort of thinking about, but particularly with this particular thing, because
9 I have studied it, it really behooves us to understand all components of the interaction
10 with the passive antibody and whatever antigen exposure we're giving, we need to
11 know and innate and adaptive immunity in those circumstances. Typically humoral
12 immunity is blunted, but it can be boosted with additional exposures and things like
13 that. So, that's what has to be understood and it still should be considered a very viable
14 option despite seeing slightly diminished humoral immune responses. I think that that's
15 just part of the picture. We know very well that people who show that profile actually
16 are protected against disease, particularly disease severity. So, we have that
17 understanding from other antigens and that should be considered and studied further.

18 The only other thing I would say is, again, we have nirsevimab, which has been
19 very impactful and wonderful and we do hope it stays part of our management for these
20 individuals. Again, I think the question needs to be expanded to maternal immunization
21 and that effect on not only protecting our infants in their early infancy in those few
22 months, but then also how that impacts subsequent immunization of that pairing. That is
23 something that we're interested in doing because of what was discussed earlier, the
24 diversity of the immune response that the mothers can pass on to their infants not only

1 during pregnancy but also during if they choose to breastfeed and other ways in which
2 they can continue to help protect their infants in an active immune if they are actively
3 contributing to the baby's immunity. And then obviously subsequent immunization on
4 the child's part.

5 Dr. El Sahly: Thank you, Dr. Gans. Dr. James?

6 Dr. Janes: Thank you. I'll be brief. I think I agree with a lot of what Dr. Gants just
7 mentioned. To me, I don't think we saw enough data here for this vaccine to definitively
8 establish whether or not prior passive immunization affected the immune responses
9 induced by this vaccine. There were just insufficient numbers to answer that question.
10 And moreover, the prior discussion really just highlighted the fact that we don't know
11 really the full profile of what a desirable immune response here is in terms of inducing
12 protection. So to me, this just really highlights the importance of this question going
13 forward. And as Dr. Gans mentioned, both preexisting passively acquired immunity by
14 virtue of antibody administration as well as passively acquired antibody from the
15 birthing parent. Thank you.

16 Dr. El Sahly: Okay. So, when it comes to this particular issue, we have small numbers,
17 nine infants who got nirsevimab, six infants who got no nirsevimab. They were both
18 given the mRNA vaccine and those who were recipients of nirsevimab eight months
19 prior, at least, I think the range was 8 to 12, had no increase in their RSV A neutralizing
20 antibody titers or RSV B, for that matter. While the infants who had no nirsevimab at
21 birth had a 60 fold increase in their nirsevimab, in their neutralizing antibody titers
22 against A, and 19 against B. The numbers are small, obviously, as a result of the halt of
23 the product development. And however, again, it is striking that there was absolutely a
24 flat response. Having said that, it seemed that the nirsevimab-exposed infants did have

1 preexisting titers, so it is possible they did have-- Yeah, and they were neutralizing. So,
2 it is possible that they are in the tail-end of their nirsevimab, they're still protected
3 maybe, and we went and vaccinated them too early. So, there aren't enough
4 permutations in the time to understand the role of-- The time of vaccination relative to
5 the nirsevimab receipt. It is possible that this is a time-dependent variable, but could not
6 be studied because the study went on hold as a fallout from that. So, we don't know
7 how the time since injections is going to affect the response, but also what it means to
8 other platforms that want to study their vaccine post-nirsevimab. Nirsevimab, again, this
9 would be season two that it is administered and in season one there was a significant
10 shortage at many medical institutions and healthcare providers.

11 So, the durability of the protection of nirsevimab remains to be seen, and it's
12 waning and to how much-- It's possible that it all goes away, but maybe there's a degree
13 of protection that remains afforded by this particular intervention that we need to
14 evaluate as time goes on. And I'm pretty sure in a year we'll be having a different
15 discussion around this issue. So, until we have those data, it's hard to extrapolate to
16 what other manufacturers should do, etcetera. But at a minimum, having an
17 understanding of when a vaccine would be needed given what we know about
18 nirsevimab, what we will know about nirsevimab and other monoclonal antibodies, and
19 the manufacturers, and the sponsors to take that into accounting in terms of the time
20 variables and the population they will study. That's how I see Topic 2, and you will be
21 asked to comment on it. So, be ready. And we begin with Dr. Monto.

22 Dr. Monto: I think the only certainty here is that the live-attenuated vaccine is going
23 to have to be evaluated in terms of when it can be used. In the past, following the
24 administration of the monoclonal antibody, the duration of protection that has been seen
25 may actually force a delay in the use of the live-attenuated vaccine. In terms of what

1 we've seen with the mRNA vaccine, I wouldn't be concerned with the kind of blunting
2 of the immune response that has been seen because the immune response was so robust.
3 We don't know about the efficacy of the immune response given the small numbers and
4 the safety signal. So, aside from pretty clear conclusions about the live-attenuated
5 vaccines, I don't think we're in a position to really comment with any kind of certainty
6 about the current situation.

7 Dr. El Sahly: When you say comment on the current situation, meaning the mRNA or
8 generally speaking, when to administer?

9 Dr. Monto: I think it's premature to talk about that. I think it needs to be studied, and
10 that's something that's fairly easy to be studied in the United States, and that's almost
11 certainly why studies have gone outside the United States in order to be able to find
12 populations which are not at least recommended to receive the immunoglobulin-- The
13 monoclonal antibody, I should say.

14 Dr. El Sahly: Oh, okay. So, your comment is really in reference to--

15 Dr. Monto: I think that becomes one of the practical considerations in going outside
16 the United States. And if we're going to say that the vaccine-- The trial should be done
17 for safety reasons in the United States, then we have a problem in evaluating a
18 significant number of children who do not receive the monoclonal antibody.

19 Dr. El Sahly: Definitely. That's a conundrum. However, I think here--

20 Dr. Monto: Yeah. Many conundrums.

1 Dr. El Sahly: Yes. The topic, I guess, here for Question 2 is specifically following
2 nirsevimab, not how to avoid nirsevimab. Assuming somewhat the kid got nirsevimab,
3 and so how do you maneuver that?

4 Dr. Monto: Well, yeah, but you'd like to have a comparator.

5 Dr. El Sahly: Yeah. Okay. Dr. Ruckwardt.

6 Dr. Ruckwardt: Hi. Yeah, so I guess I'll just weigh in on my thoughts on this one,
7 which are largely as everyone else's. I think there's very little evidence here to base
8 anything on, specifically for nirsevimab and the single dose of mRNA, which was all
9 that was given here. But at the same time, I don't think it's too much of a limb to say
10 that this would be expected, this blunting would be expected, and there's not any
11 evidence here of a safety issue in this small group of infants. So I think we couldn't--
12 It's premature to speak about the safety issue, but I think as for the first point, this
13 would have to be evaluated on a platform by platform basis, and based on what we
14 already know, we would expect that this kind of blunting would be probably less
15 apparent with some of the mucosal vaccination approaches. Thank you.

16 Dr. El Sahly: Great. Thank you. Dr. Berger?

17 Dr. Berger: I agree with what all has been said. I just wanted to add one additional
18 piece. We also don't know if the blunting would've been even less if we had gone
19 through all three of the doses that were given here. It is just hard to make any definitive
20 decisions or conclusions based on a total of 15 research participants in the study that
21 didn't even get to administer all of the entirety of what was meant to be administered.
22 So, I would be really hesitant to make decisions at this point on this without collecting
23 more additional evidence. Thanks.

1 Dr. El Sahly: Thank you. I don't see any raised hands, so I'm going to use-- I'm going
2 to ask everyone to weigh in however little or a lot you want to say on this particular
3 topic. And I'm going to go in the order of appearance on the participant list here. Dr.
4 Malloy?

5 Dr. Malloy: Hi. I think just as everybody has pointed out, we lack really robust
6 metrics for what's a correlative protection. So, we'd be hard pressed to say exactly what
7 nirsevimab is blocking when it's blocking something other than this idea of the
8 peripheral sort of preF antibody response. And so, I think more data is required to really
9 weigh in on when or if you would have to limit the use of a RSV vaccine. And again, it
10 would have to be based on each platform and how it works. So, I think all those things
11 would-- We just need more data in order to understand what we would really need for
12 prevention of RSV and then what the correlates of protection are so that we can use
13 those as metrics to decide whether nirsevimab has to be held or waiting after a
14 nirsevimab administration in order to do that.

15 Dr. El Sahly: Thank you. Dr. Bernstein?

16 Dr. Bernstein: Yeah, I mean, nirsevimab may have blunted the immune response, but
17 really in a very small number of patients, and there really are not enough data, as others
18 have said, to draw significant conclusions about RSV vaccination of infants who
19 received nirsevimab. I think that, and agree, that this should be studied by vaccine
20 platform and also the number of doses received by the children.

21 Dr. El Sahly: Okay. Thank you. Dr. Janes?

1 Dr. Janes: I don't think I have any additional comments on-- I feel like this is an
2 important further question. We don't have sufficient evidence to make determinations
3 on the answer at this point.

4 Dr. El Sahly: Dr. Portnoy?

5 Dr. Portnoy: There you go. Yeah, just thinking about vaccines in general and children
6 who get vaccines, as newborns given vaccines for diseases that they're not likely to
7 catch right away. RSV is almost an emergency. This affects infants at the very earliest
8 of ages, and so they need to be protected right away. While it would be great if we could
9 actively immunize newborns with an RSV vaccine, I think passive immunization is
10 probably the best solution at this point because these infants need to be protected
11 immediately. They're at risk of severe disease right after they're born, if they're born at
12 the wrong time of year. So, the idea of sequentially giving passive immunization and
13 then waiting until they're a little bit older before giving the active vaccine makes good
14 sense. I've seen evidence that it seems to be very effective. There may be some infants
15 who don't respond as well, who may have enhanced disease as a result of the vaccine. I
16 think that those infants probably are different than the other ones, and that there are
17 maybe risk factors that can be identified that could potentially identify who they are and
18 maybe modify their treatment, have them avoid getting the active vaccine. I can't think
19 of a better way of protecting infants from bronchiolitis, which is the clinical disease that
20 they get from RSV other than passive immunization, either from administration to
21 pregnant women or passive immunization at birth. I think that's the way it has to be
22 done right now. And if we can start doing that, I think that that'll really make a big
23 difference in terms of hospitalizations for infants. It's been a great discussion, but I
24 think we really-- The time is now where we really need to start protecting these infants

1 because the morbidity is huge. The possible benefit of this is huge also, and so it's time
2 to move forward. Thank you.

3 Dr. El Sahly: Great. Thank you. Dr. Kotloff?

4 Dr. Kotloff: Sure. So, I think that it's a very good idea for the reasons that we said
5 that you get early protection, that these look very, very effective. The data on
6 nirsevimab in the second half of infancy, there were fewer cases, so it was less clear, but
7 I suspect that there may be longer immunity. I agree that we need to watch carefully for
8 immune escape, but even with vaccines as we know well from COVID, you can have
9 immune escape. So, that's a universal problem. I also think that when we're talking
10 about intranasal vaccines, I don't know the data on developing countries, but the
11 universal purulent rhinitis, I don't know whether that's a consideration as well. So, I
12 think that all live-attenuated vaccine constructs or parenteral constructs on all
13 populations are not the same. And we have to be clear about our approach when we're
14 solving these problems for different populations. So, in terms of the data on whether
15 there was muting of the antibody responses, I think that, from what I remember of the
16 graphs, they went by quickly, but I think that the kids who had gotten monoclonal
17 antibodies had very, very high antibody levels. So, it's much more difficult to see a
18 fourfold rise when you're starting with such high antibodies. That doesn't mean those
19 kids aren't protected. So, I think for that, we need to understand the kinetics better. And
20 for all of this, we need to do studies to answer these questions.

21 Dr. El Sahly: I have the table pool. They started with 10,000 and ended with 7,000. It's
22 like flat completely, but yeah. Dr. Nelson?

23 Dr. Nelson: Yeah. Thank you. I agree with my colleagues, certainly not enough as
24 evidence to raise concern over our current approach and use of nirsevimab, and

1 certainly would recommend continuing our current approach. I would state that going
2 forward, it is going to be difficult to discern a true humoral response. Our humoral
3 immune status and immune response is always going to be a mess with a mix of vaccine
4 response, maternal contributions, natural infections, and now passive monoclonals. So, I
5 would put more emphasis and more resources and effort into the characterization of the
6 cellular immune response and other better correlates of protection, and recognizing that
7 we're dealing with small infants, we're going to have to take advantage of new
8 technology with small samples using transcriptomics, multiplex approaches, and even
9 selective cell activation status using high-dimensional flow cytometry. Could be certain
10 methodologies that could be selectively employed in these trials. Thank you.

11 Dr. El Sahly: Thank you. Dr. Offit?

12 Dr. Offit: Yeah, I'm not sure I have anything much to add. I agree with my
13 previous Committee members here who have spoken. We're being asked to make a
14 decision on still relatively small numbers. I think this is obviously an issue of efficacy,
15 not safety. And so as Dr. Monto said, let's keep our eyes open, continue to do studies,
16 gather more data, and then I think we'll be able to speak on this in a more informed
17 manner. Thank you.

18 Dr. El Sahly: Thank you. Dr. Janssen.

19 Dr. Janssen: Yeah, just to follow in what Dr. Offit said, I'd just like to see more data,
20 more of the same data would be helpful.

21 Dr. El Sahly: Thank you. Dr. Meyer?

22 CAPT Meyer: There I go. All right. So just to echo my other Committee members, I
23 think the data presented there were too few-- It is too small of numbers to really go on. I

1 think just one comment to echo. I think it was Dr. Gans who said-- I mean, I think we
2 really have to think through the clinical significance. Even if we did find blunting,
3 we've seen this before with some other vaccines like maternal pertussis where we do
4 see some blunting. We don't really know if that's clinically significant, but it is
5 overcome by getting boosters. So, I think any data we do collect on blunting of the
6 immune response, we just have to look at some of those other things too, like if it is
7 actually clinically significant or not.

8 Dr. El Sahly: Thank you, Dr. Perlman.

9 Dr. Perlman: Yeah, I think most of what I was thinking has been said. I also think it's
10 a possibility that there's going to be an effect, but whether it matters or not, we have to
11 just figure it out by getting more data. And the second part, whether the antibodies
12 would have an effect on all vaccines, I wonder if we use a protein vaccine or something
13 else, if we ever have any risk of antigen antibody complex formation by having the right
14 ratio of antibody and antigen. But again, it's something that could be easily thought
15 about and measured when the time comes.

16 Dr. El Sahly: Thank you. I think everyone got an opportunity to weigh in on this
17 question of Topic 1. Did I miss anyone? Okay. So to summarize Question number 2, the
18 observed blunting in this particular clinical trial was observed in obviously a small
19 sample size, 9 versus 6, and the timing from nirsevimab is 8 to 12 months. The
20 comment-- In addition to it being a small sample size, it remains unclear what duration
21 of protection nirsevimab will afford. And because that also has implications for future
22 clinical trials, what time variable will be used to administer those vaccines. This
23 occurred with one platform, how these findings translate to other platforms, of course,
24 it's unknown. So, this will have to be assessed platform by platform. And as more data

1 comes along, this can be further discussed. Its effect on vaccine safety and effectiveness
2 obviously cannot be gleaned from these data as presented. And however, from earlier in
3 the day, we did point out that the individuals in this particular arm of the study need to
4 be carefully followed through the upcoming RSV season to see if there's any particular
5 immunologic finding that could be of interest to the development of these vaccines. Did
6 I miss a particular point on this question? Okay. Well, we finished two minutes earlier,
7 but thank you all for weighing in with your expertise on this particular topic as little or
8 as much data we have on hand. I have a final question to the FDA before we adjourn
9 this particular-- Or actually, two questions. First, did we answer and discuss the two
10 discussion questions okay? Or are there particular items that we need to address?

11 Dr. Kaslow: No. So, thank you very much for your deliberations. We'll certainly take
12 back to our internal discussions, your discussions and recommendations, and the goal of
13 which is to have a timely engagement with sponsors of pediatric RSV vaccines. So,
14 thank you very much. I would say mission accomplished.

15 Dr. El Sahly: Well, the second question, there's a lot that seems to be at play now in
16 terms of immunologic assays, data from CDC, data from sponsors, data from FDA.
17 Again, I guess I don't know, would the follow-up meeting from a year from now be of
18 use or maybe not? I don't know. Maybe my curiosity is acting here, but--

19 Dr. Kaslow: There is a lot to synthesize. There's a lot to better understand. There are
20 data that are still coming in. I don't think any of us want to stall development of
21 vaccines, the unmet medical needs. And so like today, getting your input is incredibly
22 helpful. As we look going forward in this area, more data, better understanding, there's
23 a likelihood we'll be back to see you again.

1 **Topic I Adjournment**

2 Dr. El Sahly: Alright, very good. Thank you. And at this point, I want to thank four
3 temporary members with us today, Dr. Long, Dr. Malloy, Dr. Kotloff, and Dr.
4 Ruckwardt. So, that concludes your participation on today's meeting. Thank you so
5 much for the time and expertise you lent today. And for the rest of the team, we take a
6 10-minute break. So that puts us at 3:10.

7 **Topic II**

8 **Opening Remarks: Call to Order and Welcome**

9 Dr. El Sahly: Good afternoon, everyone. I would like to welcome the members,
10 participants, and the public who are viewing remotely to the 188th VRBPAC meeting.
11 This is Topic II, open session. I would like to turn over the meeting now to Dr. Sussan
12 Paydar, the designated federal officer who will proceed with administrative issues.

13 **Roll Call**

14 Dr. Paydar: Great. Thank you, Dr. El Sahly. Good afternoon, everyone. For those
15 who didn't attend the morning session, we have completed Topic I and we are about to
16 begin Topic II to hear overviews of the Laboratory of Immunoregulation (LI) and
17 Laboratory of Retroviruses (LR) research programs in the Division of Viral Products,
18 Office of Vaccines Research and Review, Center for Biologics Evaluation and
19 Research. Next slide please. AV Team? Next slide, please. Great.

20 Once again, I would like to thank CBER Senior Leadership, Dr. Marks, Dr.
21 Kaslow, Dr. Bok, and Dr. Agnihothram. Next slide, please. I would also like to thank
22 Senior Leadership that were closely involved in topic II. Dr. Karen Elkins, Associate

1 Director for Science, Office of the Director, CBER; Dr. Todd Merkel, Associate
2 Director for Research, Office of Vaccines Research and Review; and Dr. Jerry Weir,
3 Director, Division of Viral Products, Office of Vaccines Research and Review. Next
4 slide please.

5 The attending members for Topic II are Dr. Hana El Sahly, the Chair; Dr. Adam
6 Berger; Dr. Henry Bernstein; Dr. Archana Chatterjee; Dr. Hayley Gans; Dr. Holly
7 Janes; Dr. Robert Janssen, our alternate industry representative who will be attending
8 only the open portion of this topic; Captain Sarah Meyer; Dr. Arnold Monto; Dr.
9 Michael Nelson; Dr. Paul Offit; Dr. Stanley Perlman; Dr. Jay Portnoy, our consumer
10 representative; and Dr. Andrea Shane. We have a total of 14 participants, 13 voting and
11 1 non-voting member.

12 **Conflict of Interest Statement**

13 Now, I'll proceed with reading the FDA Conflicts of Interest Disclosure
14 Statement for the public record.

15 The Food and Drug Administration (FDA) is convening virtually today,
16 December 12th, 2024 for The 188th Meeting of the Vaccines and Related Biological
17 Products Advisory Committee under the authority of the Federal Advisory Committee
18 Act of 1972. Under Topic II, the Committee will hear an overview of the research
19 programs in the Laboratory of Immunoregulation (LI) and Laboratory of Retroviruses
20 (LR) in the Division of Viral Products, Office of Vaccines Research and Review,
21 CBER. Per agency guidance, this session is determined to be a non-particular matter,
22 which would have no impact on outside financial interests. Hence, for Topic II, no
23 external affected firms or entities were identified, and members were not screened for
24 this topic. After the open session is completed, the meeting will be closed to permit

1 discussions where disclosure would constitute a clearly unwarranted invasion of
2 personal privacy 5 U.S.C. 552b(c)(6).

3 This concludes my reading of the Conflict of Interest Statement for the public
4 record. At this time, I would like to hand over the meeting to our Chair, Dr. El Sahly.
5 Dr. El Sahly?

6 **Overview of Research/Site Visit Process, CBER**

7 Dr. El Sahly: Thank you, Sussan. To kick us off, Dr. Karen Elkins from the FDA will
8 be giving us an Overview of Research and Site Visit process at CBER. Dr. Elkins is the
9 Associate Director of Science at the Office of the Director, CBER, FDA.

10 Dr. Elkins: Thank you very much, Dr. El Sahly. Yes, I'd like to give you just a short
11 overview of CBER's Research Program and how it relates to our regulatory
12 responsibilities just to give you some context for your consideration of today's Site
13 Visit Report. Next slide, please.

14 As this Committee well knows, CBER is responsible for regulation of biological
15 products and specifically vaccines, in this case. Next slide, please. And we have a rather
16 unique approach to our regulatory responsibilities in that CBER's Research and Review
17 are integrated, and our research staff conduct regulatory reviews, specifically chemistry,
18 manufacturing and control product reviews, and I'll say a bit more about that in a
19 second. And we've been doing business like this for a very long time, pretty much since
20 the beginning of CBER over 75 years ago. We conduct investigator-initiated research
21 that is directly related to the products that CBER regulates, and we are looking
22 specifically for gaps in knowledge and gaps in tools that limit product development.
23 And so the topic of our research may range from something that looks fairly basic, if

1 that's the major gap, to something that looks very targeted, if that's the major gap. One
2 way or the other, our research studies inform regulatory decision-making and policy
3 development. Next slide, please. And research is such an integral part of the way in
4 which we operate, that it is one of four explicit goals that are part of CBER's strategic
5 plan. Next slide, please.

6 We have robust laboratory facilities on the White Oak Campus in Silver Spring.
7 We have about 450,000 square feet of space that houses about 150 laboratories ranging
8 from BSL-1 to BSL-3 labs. We have about 65 PIs currently, and about 425 total
9 research staff. We have some excellent research core facilities that provide common
10 services like flow cytometry and molecular biology services, and we have a state-of-the-
11 art vivarium. Our funding comes primarily from annual congressional appropriations.
12 We also have some funding from targeted CBER funds and FDA-wide programs and a
13 few external grants. And our staff is a mix of permanent principal investigators,
14 permanent staff scientists who are subordinate to PIs, technicians and research fellows
15 that are typically temporary. Next slide, please.

16 Our researchers function as part of regulatory review teams and typically their
17 main assignment is CMC or product review. They're responsible for critiquing the
18 scientific rationale for a particular product and any data that is submitted in support of
19 proof of concept of that product. They're responsible for everything about the product,
20 the way in which it is made, the techniques that are used for manufacturing and the
21 facilities in which it is made, and for all aspects of product quality control testing, both
22 in the intermediate and final lot release test. Most clinical trials have clinical samples
23 obtained from patients that are assessed in laboratory settings, and our researcher
24 reviewers are also responsible for critiquing the clinical assays that assess those
25 samples. So, the CMC reviewers function as part of a larger team typically comprised of

1 a regulatory project manager who manages the file and provides oversight, a clinical
2 reviewer who focuses on clinical trial design and monitors the progress of the trial itself,
3 a pharma and tox reviewer who focuses on those aspects, and a statistical reviewer who
4 helps with analysis of data but coming from both the product side and the clinical trial
5 itself. Next slide, please.

6 So, we think that operating this way has a number of advantages. It directly
7 develops knowledge and tools that support development of classes of products. It also
8 develops the hands-on, state-of-the-art understanding of the techniques that are the
9 source of data that we see in our regulatory submissions. It facilitates recruitment and
10 retention of highly trained scientists and it prepares us for the future review of
11 innovative products and public health challenges, as we just lived through. Collectively,
12 we think a Researcher-Reviewer model ensures efficient, effective and credible review
13 and decisions that are based on sound science. Next slide, please.

14 So, we evaluate our research continually in a number of approaches. Projects are
15 reviewed annually by direct supervisors and all layers above them. New projects come
16 under specific scrutiny by the Office and the Center. We have Horizon Scanning efforts
17 both at the Office level and at the Central level, and the results of those feed into the
18 topics under consideration for the research portfolio. And we have a process known as
19 the Site Visit that is the subject of today's discussions. This is a periodic review by an
20 external Committee of subject matter experts that should take place every four years.
21 We've had some deviation from that schedule thanks to the pandemic, and there have
22 been longer gaps between site visits as you'll see today. Next slide, please.

23 The evaluation criteria will be familiar to most people. We expect our science to
24 be excellent. We expect it to be widely disseminated in the form of publications,

1 presentations, occasionally technology transfer, impact on guidance documents, and one
2 way or the other to have excellent uptake by the scientific community and impact for
3 our regulated stakeholders. And we expect it to be relevant to our mission, to align with
4 our goal, and to support product development and to provide review capability. Next
5 slide, please.

6 Within CBER, we have eight offices. Currently, three of those conduct
7 laboratory-based research. The offices are divided into divisions, and divisions divided
8 into units that are called either labs or branches, those terms are interchangeable. And
9 the site visit process is at the level of a lab. And there are two labs that will be under
10 discussion today. For site visits, PIs provide written reports about their progress and
11 plans. Those are received by the Review Committee who convenes for one to two days
12 of presentations, oral presentations, discussion and questions about the presentations
13 and the material report itself, and individual interviews with PIs. And also during the
14 site visit itself, reviewers confer to critique the strengths and weaknesses of each PI's
15 program with a view toward generating a report of their findings. Next slide, please.

16 We ask reviewers to comment on the quality and relevance of the science, its
17 progress and productivity since the last site visit in the context of the work's nature, its
18 resources and regulatory assignments to the individuals involved. The review is
19 primarily retrospective, but we also ask for comment on the future research direction
20 and any comments on the lab organization, its management and mentoring are also
21 welcome. Next slide, please.

22 The site visit culminates in a report that's generated by the Review Committee.
23 It is a draft report until it is presented to you, and that is our activity today. There are
24 three possible outcomes of the presentation of the report. You may choose to accept it

1 and approve it as is, you may choose to amend the report yourself and then consider it
2 for approval, or you may choose to reject the report and send it back to the original Site
3 Visit Committee for further consideration. Two of the members of the VRBPAC served
4 as Chair and Co-chair of the Site Visit Team itself, which was then comprised of ad-hoc
5 reviewers, and so I'm sure they will be available to answer questions about the event
6 itself. When you vote on it, it is then finalized upon your approval. The final report is
7 used in many ways. Obviously the feedback goes to the PIs and their staff, and used to
8 improve the progress of their research. It's used internally to review individual
9 scientists' progress, and it's used throughout the center to consider program
10 adjustments, resource allocation, and consider the nature of the work in the context of
11 the overall CBER Research Portfolio. Next slide, please.

12 So with that, I'd like to thank you very much for your deliberations. Site visits
13 are a really important part of our research activities. They really help maintain high-
14 quality research programs. This external review really is critical to fulfilling our
15 regulatory mission, and I'm happy to answer any questions that you might have. Thank
16 you very much.

17 **Overview of Research/Site Visit Process, CBER – Q&A**

18 Dr. El Sahly: Thank you so much, Dr. Elkins. I invite the Committee members to use
19 the raise-your-hand function if you have questions for Dr. Elkins. I know we did a
20 couple of those in the last three months, so maybe you explained the process clearly to
21 them.

22 Dr. Elkins: Thank you. And my colleagues will drill down further for information
23 directly related to the labs under review today.

1 Dr. El Sahly: Great. Thank you for your time, Dr. Elkins.

2 Dr. Elkins: Thank you all.

3 **Overview of Research Conducted in Office of Vaccine Research and Review,**
4 **CEBER and Division of Viral Products**

5 Dr. El Sahly: I'd like to invite now Dr. Merkel. Dr. Tod Merkel is the Associate
6 Director of Research, Office of Vaccine Research and Review. He will give us an
7 Overview of Research conducted in Office of Vaccine Research and Review, CEBER
8 and Division of Viral Products.

9 Dr. Merkel: Alright, thank you. Could I have the next slide, please? So, the Office of
10 Vaccine's mission is to protect and enhance the public health by assuring the
11 availability of safe and effective vaccines, allergenic extracts, and other related
12 products. We regulate vaccines, allergenic products, live biotherapeutic products, and
13 phage. Next slide.

14 Our core activities are to review, evaluate, and to take appropriate action on
15 INDs, BLAs, amendments and supplements for vaccines and related biological
16 products. And we also participate in the inspection of manufacturing facilities. We
17 develop policies and procedures governing the pre-market review of regulated products.
18 And as you've heard, we conduct research related to the development, manufacture, and
19 evaluation of vaccines and related products, and also research to better understand the
20 pathological processes of the agents that the vaccines are directed against. Next slide.

21 The OVR's Research Program is designed to complement and support our
22 regulatory mission by focusing on issues related to the development of safe and
23 effective products. Next slide. The Research Program contributes to our regulatory

1 efforts in really important ways. We have a very strong emphasis on safety in OVR
2 because our products are often designed for mass use, often universal use. Many of our
3 products go into every child that's born in the United States, and our recipients are
4 healthy individuals. And as I said, often children, hence our emphasis on safety.

5 Our products, vaccines in particular, undergo a high level of scrutiny by the
6 public, both groups that are skeptical of vaccine effectiveness and groups that are
7 anxious to have new vaccines brought to market as quickly as possible. And because of
8 this high level of scrutiny, our regulatory decisions have to be based on excellent
9 science. We also need to keep pace with technology. New manufacturing technologies
10 are rapidly evolving and coming online and new and powerful research approaches are
11 constantly being developed, and it's important for us to keep our finger on those
12 advances. We need to be flexible and respond rapidly to public health threats. We have
13 a continuing evolution of antibiotic resistance and concerns about emerging agents.

14 As Dr. Elkins pointed out, our ability to respond rapidly to the COVID-19
15 pandemic, I think largely grew out of our excellent research program. Generating-- The
16 results we generate are placed in the public domain. So, our research benefits not just an
17 individual company but the entire industrial sector, and therefore American consumers.
18 And our research program allows us to recruit and retain expert scientists to support our
19 regulatory review. Next slide.

20 Our Research Program is very broad, although we can't cover everything, we do
21 try to cover as much as possible within the scope of our responsibilities. It's very
22 collaborative. Our scientists collaborate to a very large extent, both internally but also
23 externally with scientists around the country and around the world, and this allows us to
24 leverage our investments in research. Our research is excellent. It is published and

1 broadly cited and used. And our research scientists, importantly, are members of the
2 broader scientific community and many are well-known experts in their fields. And our
3 research is investigator-initiated and flexible. And this is important because it allows
4 our researchers to anticipate regulatory needs and redirect their research program to
5 address those needs when necessary. Next slide.

6 The OVRP is made of-- In addition to the Office of the Director, it has four
7 divisions. Two of those divisions, the Division of Review Management and Regulatory
8 Review and the Division of Clinical and Toxicology Review are focused primarily on
9 regulatory review of files. Our two Research Divisions, the Division of Viral Products
10 and the Division of Bacterial Parasitic and Allergenic Products, in addition to
11 conducting regulatory review, conduct research. And the subject of today's activity is
12 the review of two laboratories within the division of Viral Products, which is directed
13 by Dr. Jerry Weir and Deputy Director Robin Levis. Next slide.

14 DVP's mission is to regulate viral vaccines and related biological products to
15 ensure their safety and efficacy for human use, and to facilitate the development,
16 evaluation and licensure of new viral vaccines that positively impact the public health.
17 Next slide. Their major responsibilities are the review of Investigational New Drugs
18 applications, Biological License Applications, and other pre-marketing activities
19 focused on viral vaccines. Review of BLA supplements, lot release, and other post-
20 marketing activities. The inspection of manufacturing facilities, both pre and post-
21 licensure. Consultation with other public health agencies, for example, the WHO, the
22 CDC and NIBSC. And to conduct research related to the development, manufacturing,
23 evaluation, and testing of viral vaccines. Next slide.

1 The role of the research program in DVP is to research and laboratory activities
2 that complement the regulatory mission. They address issues related to regulated viral
3 vaccines and they anticipate and address issues related to the development and
4 evaluation of new viral vaccine products, both general issues that are applicable to many
5 products, for example, cell substrate issues or improved testing methods, as well as
6 specific product issues. For example, developing correlates of protection and animal
7 models. Next slide.

8 The Division of Viral Products is directed by Dr. Jerry Weir and Deputy
9 Director Robin Levis. It consists of seven laboratories. The two laboratories that are
10 subject of today's meeting are the Laboratory of Retroviruses and the Laboratory of
11 Immunoregulation. Next slide. I'd just like to thank you and take any questions.

12 **Overview of Research Conducted in Office of Vaccine Research and Review,**
13 **CBER and Division of Viral Products – Q&A**

14 Dr. El Sahly: Thank you Dr. Merkel. Any questions from the Committee members?

15 Okay, I don't see any raised hands. Oh, we do. Dr. Perlman?

16 Dr. Perlman: Yeah, so this is not quite relevant for what we're doing today, but these
17 laboratories have really overlapping laboratories within them. So, the names are not so
18 applicable anymore, in my opinion. If you agree, is there any chance of renaming them
19 so they're more consistent with what they do?

20 Dr. Merkel: Yes. I mean, the reality is that over time, what they do changes and the
21 names don't, which is where this comes from. Changing the laboratory name isn't as
22 simple as just changing the name. I mean, there are underlying protocols that would

1 have to be followed, but at this time we're in the process of renaming several of the
2 laboratories, so we have noted this comment in the past.

3 Dr. El Sahly: Great. Dr. Perlman will share the Committee on naming. Any other
4 questions? Hearing none. Thank you, Dr. Merkel.

5 **Overview of Laboratory of Immunoregulation**

6 Dr. El Sahly: I would like to invite now Dr. Weiss. Dr. Carol Weiss is Chief and
7 Principal Investigator, Laboratory of Immunoregulation, Division of Viral Product at
8 OVRP, CBER. Dr. Weiss will give an Overview of Laboratory of Immunoregulation.

9 Dr. Weiss?

10 Dr. Weiss: Okay. Good afternoon, everyone. And I thank the Committee for their
11 help in reviewing our Research Programs. Next slide, please. So, in this overview of the
12 Lab of Immunoregulation, I will briefly mention the staff structure, our regulatory
13 activities, the research programs at a very high level, and just highlight a few research
14 results and their impact. So, next slide, please.

15 So, the Lab of Immunoregulation has two principal investigators, Dr. Ira
16 Berkower and me. In my lab, I have one lab manager who is responsible for lab
17 ordering budgets and general lab maintenance for both my lab and Dr. Berkower's lab,
18 and as well he's an integral member of our research team. I also have two staff scientists
19 or staff fellows who share responsibilities in both doing investigator-initiated research
20 and regulation. And generally, I have one to two either post-baccalaureate or post-
21 doctoral fellows that I get through awarded competitive grants. Dr. Berkower's lab has
22 on average one to two post-baccalaureate or post-doctoral fellows.

1 In my Research Program, I also work with many lab collaborators and from
2 many different institutions. So, for our COVID-19 response efforts, we have been very
3 much involved with various HHS agencies including NIH, CDC, BARDA and ASPR.
4 We've also had many collaborators in the Department of Defense and the Uniformed
5 Services Universities where we've been helping with the investigations of the clinical
6 trials and vaccine trials that have been undertaken by the Department of Defense for
7 antigenic cartography studies. We also collaborate with investigators at NIAID. And as
8 well, for very specific influenza and SARS-CoV-2 studies, we also collaborate with
9 many of the PIs in our own division. Next slide, please.

10 So, as you've heard, our primary responsibilities are to provide expert scientific
11 review of FDA submissions for both experimental and licensed vaccines for preventing
12 viral infectious diseases. As our programs are lab-based and we are active researchers,
13 our primary focus is really product review. That's the CMC review that you heard about
14 where we focus on product quality, purity and potency as well as manufacturing process
15 consistency. Dr. Berkower and I have also been involved with clinical review, which
16 involves review of clinical protocol safety, immunogenicity, and efficacy data. And that
17 has been focused primarily on experimental HIV vaccines for treatment and cure
18 strategies that often involve complex trial designs with antiretroviral treatment
19 interruptions. Next slide.

20 So, our regulatory activities involve primarily the review of the submissions, and
21 this includes all types of files and their associated meetings with the sponsors. So, this is
22 PreINDs, INDs, Master Files, BLAs and BLA supplements for post-approval
23 manufacturing changes. So, once a vaccine is on the market, there are very frequent
24 manufacturing changes and we need to look at the comparability studies to make sure
25 there's effect on the product. We've also been involved with inter-center consults. Our

1 review portfolio includes experimental vaccines for HIV, influenza, and coronavirus,
2 and approved vaccines for influenza and papilloma virus. We've also been involved
3 with advisory meeting preparations. This has included discussions with vaccine
4 stakeholders. We have directly contributed data for some of these discussions as well as
5 contributed data and efforts in preparing briefing materials for the Advisory Committee
6 meetings. Next slide.

7 We've also been involved in other public health activities that bear on the
8 regulation. So, for the COVID-19 response efforts in particular, my team was very
9 much involved with the Operation Warp Speed, Therapeutics Research Team. We've
10 also been involved with the HHS Interagency Working Groups where we've contributed
11 data, presentations, and risk assessments. This has been working groups that involve
12 COVID-19 testing, assays, therapeutics, and vaccines. Also, the NIH SARS-CoV-2
13 variant evolution program for responding to the latest SARS-CoV-2 variants. And I've
14 also been involved in a couple risk assessments that have involved the use of
15 therapeutic COVID-19 antibodies, as well as a reevaluation of the biosafety level for
16 use of live SARS-CoV-2. I also-- My team also participates in regular working group
17 meetings with our collaborators over the Department of Defense and the Uniformed
18 Services University. In addition, some of our work has involved international work on
19 international biological standards and regulatory harmonization efforts. So, this has
20 included WHO International Standard for anti-SARS-CoV-2 immunoglobulin and a
21 reference panel for SARS-CoV-2 variants of concern. We've also been involved in
22 many inter-laboratory SARS-CoV-2 assay comparison studies involving several
23 different consortia including Duke, NIH and the Uniformed Services University. We've
24 also participated in the FLUCOP study, which was a cross laboratory comparison of

1 hemagglutinin nation inhibition and microneutralization assay performance for seasonal
2 influenza vaccines. Next slide.

3 So, really our laboratory expertise informs all components of the product review.
4 And so, especially as virologists, it's important for viral vaccines, so we review all
5 manufacturing process steps to assure product safety and consistency. As examples, we
6 look at virus growth steps, purification steps, ensure that the methods that are used are
7 valid. We're interested in methods for detecting adventitious agents as well as product
8 comparability studies when there's been manufacturing changes. We review, also as an
9 example, important steps in viral inactivation to assure product safety. So, we look very
10 carefully at the inactivation procedures for inactivated vaccines and also for
11 adventitious agents. And then as a corollary, also the methods for detecting residual
12 infectious virus to ensure that it's appropriate and sensitive. We review assessments of
13 replicating vector stability and antigenicity to ensure safety and potency. We review
14 potency assays to assure product lot-to-lot consistency and potency, and finally review
15 and participate in assessments of immunogenicity measurements and assays that
16 actually directly support licensure. Next slide, please.

17 So, the Laboratory of Immunoregulation has two research programs run by each
18 PI and these are independent research programs. So in my program, the overall theme is
19 both basic and applied studies of virus entry into cells and its neutralization by
20 antibodies. So, since the last site visit, we were finishing up before the pandemic some
21 influenza studies that included antibody correlates of protection during an H3N2
22 influenza outbreak in military recruits. We also compared antibody responses elicited by
23 the different approved seasonal vaccines that are manufactured using eggs, cells, or
24 recombinant protein methods. And we also generated a novel antibody targeting a
25 conserved stem region of the influenza hemagglutinin and characterize its escape. But

1 most of the time since the last site visit really has been spent on SARS-CoV-2 studies
2 and our focus had been variant characterization and immune escape as well as mutations
3 that confer resistance to therapeutic antibodies as well as post vaccination sera. Dr.
4 Berkower's lab program focuses on live-attenuated rubella vector for antigen delivery
5 and protection, as well as vector prime boost vaccine strategies focused on HIV
6 protection and cure. Dr. Berkower's program was not reviewed in this site visit cycle, so
7 I will not be mentioning it further. Next slide.

8 So, here I'm just pulling out just a few selected highlights of our studies in this
9 past cycle. So, for the influenza studies, we looked at the 2018-2019 seasonal influenza
10 vaccines and found that both the egg and the cell-based vaccines elicited very similar
11 neutralization titers against all of the vaccine viruses, and that the titers elicited by the
12 recombinant HA vaccine were actually slightly higher against all these viruses as well.
13 For the SARS-CoV-2 studies, based on our prior very basic research on HIV as well as
14 influenza, we were able to quickly establish a safe pseudovirus neutralization assay for
15 characterizing SARS-CoV-2 variants and measuring antibody neutralization. We also
16 identified mutations that confer resistance to therapeutic antibodies and post-vaccination
17 sera. We also showed that primary mRNA COVID-19 vaccination series elicited
18 broader and higher neutralization responses against the variants than infection alone by
19 a single variant. And we also characterized antigenic changes in variants that inform
20 decisions about the variant composition update to COVID-19 vaccines. Next slide
21 please.

22 So, I just from a high level emphasize the overall research contributions that
23 really cover many different aspects. So, firstly and importantly, they provided
24 laboratory expertise for supporting scientific regulatory review. As I mentioned, the
25 assessments of all the manufacturing processes and testing methods. It also gives us

1 credibility and important contributions in our technical communications with the
2 vaccine developers. And as well, as shown by our SARS-CoV-2 studies, having broad-
3 based current research methods gives us agility for adapting to changing priorities for
4 the Center. We've also generated materials and methods for actually facilitating the
5 development of vaccines. We developed some new cell lines, and one of them was
6 supported high level transduction of SARS-CoV-2 pseudoviruses which have been
7 shared widely in the scientific community and are available in a repository. We helped
8 develop assays and harmonized assays, as well as reference materials as I mentioned, as
9 well looking-- Participating importantly in these multi-laboratory harmonization
10 methods of methods that are used for vaccine evaluation. And finally, we have
11 contributed data directly for the science-based regulation. The data has been used in
12 both internal discussions and with meetings with vaccine stakeholders, and also have
13 been widely disseminated in peer-reviewed scientific journals for the broader
14 community. And with that, I'm over. I've finished my talk and I'm happy to take
15 questions. Thank you.

16 **Overview of Laboratory of Immunoregulation – Q&A**

17 Dr. El Sahly: Great. Thank you so much, Dr. Weiss. Any questions from the
18 Committee members? That was a whirlwind of a lot of work. Use the raise-your-hand
19 function should you have any questions. Okay. I guess no questions today. Thank you
20 so much, Dr. Weiss.

21 Dr. Weiss: Thank you.

1 **Overview of Laboratory of Retroviruses**

2 Dr. El Sahly: Know we asked a lot of questions during our meeting a couple of months
3 ago. I'd like to invite now Dr. Golding. Dr. Golding, Hana Golding, is Chief and
4 Principal Investigator, Laboratory of Retroviruses in the Division of Viral Products,
5 Office of Vaccines Research and Review. Dr. Golding will give an Overview of
6 Laboratory of Retroviruses. Dr. Golding?

7 Dr. Golding: Thank you very much and I want to thank again both the side visit team
8 and the current members of the VRBPAC for their input to our research program. Next
9 slide, please.

10 So, we have two units in the Laboratory of Retroviruses, the Unit of Viral
11 Immunology and Pathogenesis, and the overall title of the program is Development of
12 New Immunological Assays and Animal Models Evaluate Vaccine Safety and Efficacy.
13 In addition to myself as the PI and the Lab Chief, I have two senior staff scientists at the
14 high level, Marina Zaitseva and Surender Khurana that carry on both the mentoring of
15 the independent project as well as regulatory work. And we are assisted by Jody
16 Manischewitz, Lisa King and David Acosta, and we, during the years, have mentored
17 between five to six post-doc, post-bacc, and contracts per year. Next slide.

18 The unit headed by Arifa Khan is the Unit of Molecular Retrovirology and the
19 emphasis of the project is Development of Sensitive Virus Detection Assays for Safety
20 of Vaccines and Other Biologics and Evaluation of their Potential Threat for Human
21 Infection. In addition to Dr. Khan, the lab includes several staff scientists and staff
22 fellows. Hailun Ma, Andrea Kennard, Sandra Fuentes, and Pei-Ju Chin, and they have
23 always mentored between two to four post-doc, post-bacc, and contracts. Next slide,
24 please.

1 I like to always introduce our program, similar to what Dr. Merkel mentioned,
2 and that's a famous slide by Dr. Fauci that keeps reminding us the arena, and that there
3 are constantly newly emerging diseases and it's sort of a moving target. All of those in
4 red are newly emerging, while the blue are emerging, and in the last four or five years
5 we had to deal with many of these, including of course, coronavirus, monkeypox, and
6 the reemerging H5N1. Next, please.

7 And as Dr. Merkel mentioned, the goal of our program is to identify regulatory
8 and scientific gaps in knowledge methods for vaccine release and correlates of
9 protection. LR researcher-regulators provide CMC expertise and readiness to redirect
10 their scientific programs to meet the challenges of the emerging diseases, including the
11 use of new cell substrates, manufacturing platforms, novel immunogen and adjuvant
12 design, and clinical protocols. How do we do it? By developing advanced technologies
13 for improved analysis of known and emerging viruses for evaluation of cell substrate
14 and product safety, humoral immune responses post-infection, immune response to
15 novel viral vaccines, adjuvant safety and mode of action, vaccine potency assays, and
16 animal models for preclinical evaluation of vaccines including safety and effectiveness.
17 Next slide, please.

18 The type of regulatory work is actually-- Our regulatory portfolio is extremely
19 diverse. It includes vaccines against the following human pathogens: HIV, influenza,
20 RSV, SARS-CoV-2, and many, many adjuvanted vaccines across both the Division of
21 Viral Product and our sister, DPEP, as well as across the multiple centers. The platforms
22 that we are looking at are as diverse as the viruses. They include non replicating and
23 replicating viral vectors, Poxviruses, NDV, PIV, DNA vaccines, mRNA vaccines, live-
24 attenuated vaccines, recombinant proteins, peptide-based vaccines and nanoparticles.
25 Novel adjuvants are one of the large responsibilities of LR, as well as a vaccine delivery

1 system and routes, universal influenza vaccines, and novel cell substrates and detection
2 of adventitious agents using next generation sequencing technology, which is led by Dr.
3 Khan, that include mammalian tumorigenic and non tumorigenic cell lines, insect cell
4 lines for baculovirus expression vectors, and avian cell lines. Next slide, please.

5 The regulatory work that's kind of detailed here has increased significantly since
6 the last site visit, and if you look in the right for both labs, the increase in the numbers
7 of original IND amendment and pre-IND including BLA, increased between 150% to
8 250%. Next slide.

9 In addition to the direct regulatory work, we members of LR have been involved
10 in guidance documents. Dr. Khan, particularly in ICH, WHO, EDQM and USP
11 guidelines on the implementation of NGS technologies for enhancing safety of vaccines
12 and cell substrate. We are involved with WHO guidelines on nonclinical safety
13 evaluation of vaccine adjuvants and adjuvanted preventive vaccines for infectious
14 disease indications, and FDA guidance for industry on pharmacogenomic data
15 submissions. There were multiple WHO consultations and BARDA presentations as
16 well as cross-office and cross-center consults. Next slide, please.

17 So, the scientific project in my lab was quite diverse and very much reflected our
18 response to emerging and reemerging diseases. Elucidation of humoral immune
19 response following Ebola and Marburg infection and vaccination was led by Dr.
20 Khurana; SARS-CoV-2 pathogenesis; antibody responses following SARS-CoV-2
21 infections versus vaccination in different cohorts that included adults, pediatrics,
22 including MISC as well as immunocompromised individuals; elucidation of humoral
23 immune responses following RSV infection and vaccination in different age groups;
24 influenza vaccines, seasonal, pandemic and next generation/universal vaccines; mucosal

1 vaccines; and adjuvant safety that included in vitro human cell-based assays for testing
2 of novel adjuvants including primary monocytes, differentiated macrophages, and
3 broncho-epithelial cells grown under Liquid-Air-Interface that was led by Dr. Zaitseva.
4 Next slide.

5 I just wanted to outline some of the methods that have to be implemented to
6 respond to all these pathogens. First of course, virus neutralization assays for influenza.
7 We looked both at hemagglutination inhibition and microneutralization assays using all
8 available vaccine strains using the CDC protocol, and we were part of the FLUCOP and
9 the [Indiscernible 00:47:30] to demonstrate the added value of standards for some of
10 these assays. RSV (A/B), we developed an RSV luciferase reporter-based neutralization
11 assay in addition to PRNT. And for SARS-CoV-2, similar to Dr. Weiss, we are using
12 the lentivirus based pseudovirus neutralization assay against all circulating strains and
13 variants of concern. Next slide.

14 One of the important technologies that was introduced by Dr. Khurana in the lab
15 is the generation of whole-genome phage display libraries. This technique basically
16 subjects the genome to limited DNA's digestion that generates both large and smaller
17 fragments. The larger fragments are expected to express some important conformational
18 epitopes and after polishing, it's been cloned as a fusion protein with the extracellular
19 gIII fusion protein of phage. And after electroporation, we are generating a very large
20 library of phages, each expressing a unique epitope on this extra cellular. Next slide,
21 please.

22 These kinds of phage display libraries have been generated chronically during
23 the years against avian influenza, seasonal influenza, filovirus including Ebola and
24 Marburg, Zika, and most recently the SARS-CoV-2. This type of technology really gave

1 us an opportunity to look at the unique repertoire of different polyclonal antibodies in
2 multiple infections as well as post-vaccination. And what was interesting in the case of
3 COVID, most recently, we were able to demonstrate the independent evolution of
4 mucosal IGM, IGG and IGA repertoire compared with serum in asymptomatic versus
5 symptomatic patients. In particular, we noticed that a significantly higher number of
6 phages were bound by mucosal IGA in asymptomatic versus symptomatic patients. Dr.
7 Khurana also looked at the repertoire of young children at pediatrics that were infected
8 with COVID-19, either moderate cases or severe cases, as well as MIC, and found a
9 significant number of differences between the repertoire of these different
10 subpopulations, suggesting that you can really learn a lot by don't just looking at one
11 particular region, but asking the virus and the sera to tell us what else is recognized.
12 And that may even lead to identifying protective epitopes as well as diagnostic epitopes.
13 Also, in the RSV field, we looked earlier at very young children right after their first
14 infection versus older children and adults, and noticed significant differences in the
15 repertoire during the aging. Next slide.

16 Another very important, I think, contribution of Dr. Khurana was the use of
17 kinetics-- The ability to use biocore to measure real-time kinetics of antibodies affinity,
18 and that has been demonstrated here by looking at the red and the blue curves. You
19 basically look at the same post-vaccination sera with tenfold difference. The important
20 thing to notice is that the on rates are affected indeed by the total antibodies as well as
21 the maximum binding, but the dissociation is parallel between the two curves,
22 suggesting that the dissociation rate is mainly reflecting of the overall or average avidity
23 of the antibodies. And using the heterogenous sample model software, we are able to
24 measure the average avidity of the antibodies.

1 Of course, this technology requires a very careful use of only-- Properly for the
2 proteins that are on a chip density that allow single binding to each protein. We were
3 able to use this technology to measure total antibody binding, isotype distribution, and
4 antibody off-rates and avidity. And again, during the years, we were able to show that
5 measuring affinity of antibodies either post-infection or post-vaccination can provide a
6 very important additional insight in trying to understand symptomatic versus
7 asymptomatic infections. For example, in the case of COVID, following the added
8 value of adjuvants to vaccines, we were able to show that the adjuvanted vaccines with
9 oil and water adjuvants not only led to epitope spreading, but also to significant increase
10 in a affinity maturation which correlated directly with the breadth of cross
11 neutralization. Similar types of studies were recently done following COVID
12 vaccination, either alone or together with infection, and the increase in avidity was the
13 main correlate with a broader cross neutralization of variants of concern, including
14 some variants that happened later.

15 So, I would like, with that now, to move to Dr. Khan's program. Next slide.
16 Evaluation of high-throughput/next-generation sequencing as technologies for
17 adventitious virus detection in biologics. Generating reference materials for validation
18 of high-throughput sequencing. Development of WHO virus standards for viromics;
19 development of virus-infected cell standards for genomics and transcriptomics; and
20 refinement and annotation of the Reference Virus Database. Determining the sensitivity
21 and breadth of virus detection by short-read and long-read HTS technologies.
22 Investigating adventitious agents and endogenous viruses for safety of cell lines used
23 for manufacturing of biologics, including Sf9 insect cells used for baculovirus-
24 expressed products and Chinese hamster ovary cells used for recombinant protein
25 production. In vitro cell cultures and in vivo animal models to assess potential outcomes

1 of simian foamy virus infections in humans that involve characterization of SFV
2 expression in infected human A549 cell clone; identification of SFV microRNAs as
3 potential biomarkers or virus infection; in vitro studies of SFV replication and genome
4 analysis to elucidate factors influencing virus expression. Next slide.

5 Some of the most outcomes of Dr. Khan's program. First of all, the development
6 of reference viruses for HTS implementation. That included creation of CBER NGS
7 Virus Reagents to support NGS development and advancement, and the first WHO
8 International Reference Panel for Adventitious Agent Detection in Biological Products
9 for NGS qualification and validation studies. Thus, reference reagents are publicly
10 available for distribution free of charge. Secondly, providing a Reference Virus
11 Database or RVDB for detection of known, emerging and novel viruses by HTS with
12 the high diversity of viral sequences for broad virus detection, with reduced nonspecific
13 cellular hits resulting in less computational time and reducing cost of unnecessary
14 follow-up work to verify a true virus signal. This is also freely available. Next slide.

15 Generation of in-house data and by external collaborations to fill knowledge
16 gaps for using HTS as a routine assay that included developing optimized protocols for
17 analyzing HTS short-read and long-read platforms. Determining LOD for virus
18 detection by HTS in different matrices relevant to biological materials during
19 manufacturing for developing general regulatory and industry expectations. Developing
20 virus-infected cell standards for HTS genomics and transcriptomics including all cell
21 substrates, cell therapies, and unprocessed bulk harvests. Introduced HTS in
22 international guidelines including ICH and new pharmaceutical European chapters to
23 replace-- Very importantly, to replace the in vivo assays and PCR assays and to replace
24 or supplement the in vitro cell culture assays. Dr. Khan organized international HTS
25 trainings, webinars and workshops to facilitate establishment of HTS in Low-Medium

1 Income Countries and other regions considering use of HTS to replace the conventional
2 assays for adventitious virus detection. Many of those trainings took place in 2024.
3 With that, I will finish my presentation and both myself and Dr. Khan are available to
4 answer any questions.

5 **Overview of Laboratory of Retroviruses – Q&A**

6 Dr. El Sahly: Wonderful. Thank you so much, Dr. Golding, for the presentation and
7 importantly for all the work that this lab and Dr. Weiss's lab have been doing, preparing
8 us for pandemics that happen and pandemics that did not happen. So, I invite the
9 Committee members to use the raise-your-hand function to ask the investigators
10 questions or comments, or anything they may have on the-- Okay, I don't see any raised
11 hands functions. Thank you, Dr. Golding and Dr. Khan and the team. And we will be
12 moving to the next session.

13 Dr. Golding: Thank you very much.

14 **Open Public Hearing**

15 Dr. El Sahly: Okay. Dr. Paydar, do we go to the OPH or does it have to be 25 minutes
16 after the hour?

17 Dr. Paydar: No, we could go to OPH but there are no OPH-- So, you need to end.
18 Yeah, we need to end--

19 Dr. El Sahly: Alright. So, the next item on the agenda is the Open Public Hearing
20 Session. There were no Open Public Hearing Session requests. So, that ends the Open
21 Public Hearing Session. I would like to hand the meeting over to-- First, I would like to

1 ask Dr. Janssen, who is the Industry Representative, to drop down. I want to thank you
2 for being with us all day long for these important discussions.

3 Dr. Janssen: Yep. Thank you. Bye, everybody.

4 **Transition to Closed Session**

5 Dr. El Sahly: Thanks. And we hand the meeting over now to Dr. Marks and Dr.
6 Kaslow before we move to the next session.

7 Dr. Kaslow: We'll wait to see if Dr. Marks has joined us. Oh, okay. So, as we go into
8 the Closed Session, I'd like to thank VRBPAC for your service today. As always, your
9 discussions and recommendations are critical input to our internal deliberations,
10 especially when there's incomplete or just preliminary information to take a regulatory
11 action. And Topic I today I think is an example of how VRBPAC discussions contribute
12 to our deliberations. So, I'd like to thank all of today's temporary voting members,
13 speakers for both Topic I and Topic II, as well as the FDA staff from OBRR and DSEC
14 and our technical staff that ran yet another flawless virtual VRBPAC meeting. And a
15 big thank you to you, Dr. El Sahly, for another beautifully chaired VRBPAC meeting.
16 Back to you.

17 Dr. El Sahly: Thank you all. So, that ends the Open Session. We will now move to the
18 Closed Session. So, I think now the electronic thing has to happen, right?

19 Dr. Paydar: No, I believe Dr. Marks just joined the call.

20 Dr. El Sahly: Yes, Dr. Marks.

21 Dr. Marks: I'm sorry. I was on and I dropped off for a moment. Sorry about that. I
22 just wanted to echo what Dr. Kaslow said. I want to thank you very much. I think the
23 discussion was really quite outstanding earlier today for Topic I and we appreciate all of

1 the work that goes into all of the laboratory evaluations and comments. So, I just want
2 to say thank you so much for everything to the members. I think this Committee is
3 incredibly important for helping to be transparent about what we do with the products
4 that we regulate. This issue, I think, is important because there is a lot of complexity in
5 the area of vaccines. But one thing I would just say so that anyone listening understands
6 this, although there has been a very high-level discussion today of some very complex
7 topics, the underlying principles of the products that are regulated, the soundness of
8 vaccines and the principles of active immunization are unambiguous. So, really, I thank
9 this Committee for the transparency that they help us provide to the public and for this
10 scientific input to very complicated topics. I just really appreciate it and appreciate
11 everyone. I think Dr. Kaslow already called out Sussan and all of the members, and you,
12 Dr. El Sahly, thank you so much for everything. We also appreciate everyone who's
13 tuned in today to listen to this, so I won't belabor things anymore. Thank you so much.

14 Dr. El Sahly: Thank you, Dr. Marks. So, I guess now we end the Open Session of the
15 meeting and we will electronically move to the Closed Meeting, so no one logs off.
16 Please, just stay where you are.